

1 safety database, the patient exposure, baseline  
2 demographics of the study population, and the adverse  
3 events which include the incidence and discontinuation  
4 due to adverse events. We will also discuss the vital  
5 sign changes, the major safety issues seen with  
6 sibutramine, and other safety information related to  
7 these changes.

8 The safety data presented in the  
9 discussion are from the database with a cutoff date of  
10 September 30, 1994 for the NDA submitted in August of  
11 1995. The data we have seen subsequent to the cutoff  
12 date remain consistent with the results of this  
13 database. All serious adverse events, however, are  
14 current. That is, if we received a report since the  
15 cutoff date, it is included in today's discussion.  
16 The data from the Holter Study which will be presented  
17 later on in the presentation was obtained after the  
18 cutoff date, but was included in the four month safety  
19 update of the FDA.

20 Over 2,500 patients received sibutramine  
21 in obesity studies. Of these, almost 2,000 received  
22 sibutramine in controlled trials. In the comparator  
23 trial, dexfenfluramine was given to patients in  
24 obesity studies and desipramine or imipramine were  
25 given to patients in depression trials. Overall, in

1 the NDA database, there were over 4,200 exposures to  
2 sibutramine.

3 The largest group of subjects were  
4 Caucasian females between the ages of 31 and 50. Most  
5 other groups of the population were well represented.  
6 Approximately 500 males, 250 Blacks, and over 700  
7 patients over the age of 50 years were involved in  
8 sibutramine clinical trials. Ten percent of patients  
9 receiving sibutramine discontinued due to an adverse  
10 event compared to eight percent of the placebo  
11 patients. Six percent of patients receiving placebo  
12 discontinued due to a lack of efficacy compared to  
13 four percent of patients receiving sibutramine.  
14 Approximately one-third of the patients in both  
15 placebo and sibutramine group discontinued the studies  
16 prematurely. These differences were not statistically  
17 significant.

18 This table presents the adverse events in  
19 placebo control obesity trials which cause  
20 discontinuation rates of at least a half-a-percent.  
21 These events include hypertension, insomnia,  
22 depression and dizziness. The incidences of  
23 discontinuation for each of the events was not  
24 statistically significant between sibutramine and  
25 placebo.

1           There were three deaths in the clinical  
2 program. Two were suicides in depression studies.  
3 The third was a patient with a history of coronary  
4 heart disease and angioplasty who died of a myocardial  
5 infarction 15 days after receiving his last dose of  
6 sibutramine. The EKG at the last on treatment visit  
7 was unchanged from baseline. None of these deaths  
8 were attributed to sibutramine therapy.

9           This slide summarizes the incidences of  
10 adverse events occurring with a frequency of greater  
11 or equal to one percent in sibutramine treated  
12 patients. The majority of these adverse events, such  
13 as dry mouth, anorexia, constipation and insomnia were  
14 predictable based on the pharmacologic action of the  
15 drug. These events were typically mild to moderate in  
16 severity and self-limiting. The incidence of adverse  
17 events by demographic subgroups was not affected by  
18 gender or race. There was no evidence of primary  
19 pulmonary hypertension, neurotoxicity, or abuse  
20 potential.

21           I will now direct discussion to vital  
22 signs. The topics that will be discussed include the  
23 mean change in blood pressure, analysis of outliers,  
24 the frequency of discontinuation and dose reductions  
25 due to elevated blood pressure, ambulatory blood

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 pressure monitoring, changes in pulse rate, and the  
2 incidence of clinical events potentially related to  
3 blood pressure and pulse rate.

4 Consistent with the sibutramine mode of  
5 action, there were observed increases in mean systolic  
6 and diastolic pressure in the range of two to three  
7 millimeters of mercury and three to five beats per  
8 minute in pulse rate across the dose range studied.

9 This slide shows a meta-analysis of  
10 placebo subtracted mean change from baseline to the  
11 last on treatment measurement in blood pressure in all  
12 placebo controlled obesity studies. With sibutramine  
13 therapy, the systolic blood pressure increased from .7  
14 millimeters of mercury in the one milligram dosage  
15 range to 4.1 millimeters of mercury in the 30  
16 milligram treatment group. In sibutramine treated  
17 patients who lost five percent of their initial body  
18 weight, the systolic blood pressure ranged from a  
19 decrease of 2.9 millimeters of mercury to 2.8  
20 millimeters of mercury in the 30 milligram dosage  
21 group.

22 The changes seen in diastolic pressure are  
23 of similar magnitude as those seen as systolic blood  
24 pressure. The diastolic blood pressure change ranged  
25 from a decrease of .2 millimeters of mercury in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 one milligram dosage group to a 2.5 increase in the 20  
2 milligram dosage group. In sibutramine treated  
3 patients who lost at least five percent of initial  
4 body weight, the diastolic blood pressure ranged from  
5 reduction of 1.6 millimeters of mercury for the one  
6 milligram group to 2.6 millimeters of mercury for the  
7 30 milligram group.

8 It is important to point out that only  
9 patients who achieved weight loss will be treated with  
10 sibutramine. In the group of patients who lost five  
11 percent of their body weight, the change in blood  
12 pressure ranged from a decrease of 1.2 for the five  
13 milligram dosage group to an increase of 2.3 for the  
14 20 milligram dosage group.

15 This slide shows the effect of sibutramine  
16 in a 12 week placebo controlled study in hypertensive  
17 patients. Both placebo and sibutramine groups had  
18 mean decreases in systolic and diastolic pressure.  
19 While there was no statistical difference between  
20 treatment groups, the decrease is numerically lower in  
21 the placebo group.

22 This slide shows an analysis of the data  
23 from the 239 additional hypertensive patients treated  
24 in other placebo controlled obesity studies.  
25 Hypertension for this analysis is defined in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 footnote at the bottom of the slide. The systolic  
2 blood pressure in the placebo group decreased 7.6  
3 millimeters of mercury compared to a decrease of 4.5  
4 millimeters of mercury for the ten milligram group,  
5 and a decrease of 4.7 for the 15 milligram dosage  
6 group. The diastolic blood pressure decreased 2.6  
7 millimeters of mercury in the placebo group compared  
8 to a decrease of 1.4 in the ten milligram group, and  
9 an increase of .1 millimeters of mercury in the 15  
10 milligram dosage group.

11 This slide illustrates the percent of  
12 patients who had increases, decreases, or no change in  
13 diastolic blood pressure from baseline to the last on  
14 treatment visit in the placebo controlled obesity  
15 studies. Thirty-seven percent of the placebo group  
16 had increases in diastolic blood pressure at the end  
17 of the study, compared to 46 for the combined  
18 sibutramine group. For the ten milligram dosage  
19 group, 39 percent of the patients had a decrease in  
20 diastolic blood pressure and 20 percent had no change.  
21 Over the whole dose range study, more than half the  
22 sibutramine treated patients had a decrease or no  
23 change in diastolic blood pressure at the end of the  
24 study. A similar pattern was seen for systolic blood  
25 pressure changes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1                   This slide illustrates an outlier analysis  
2 done in two pivotal trials. For this analysis, an  
3 outlier was defined as any reading of systolic blood  
4 pressure greater than or equal to 140 millimeters of  
5 mercury or a diastolic blood pressure reading greater  
6 than or equal to 90 millimeters of mercury at any  
7 visit.

8                   In BPI 852, the six month US dose ranging  
9 study in which hypertensive patients were excluded, we  
10 can see that in the five milligram dosage group, there  
11 were 3.4 percent more outliers than in the placebo  
12 group. In the 20 milligram dosage group, there were  
13 13.3 more outliers than in the placebo group. A  
14 similar pattern is observed in the UK one year  
15 efficacy study. In the ten milligram group, there  
16 were 5.2 percent more outliers than in the placebo  
17 group and in the 15 milligram dosage group, there were  
18 3.3 percent more outliers than in the placebo.

19                   This slide illustrates another outlier  
20 analysis. For this analysis, an outlier is defined as  
21 any increase of 15 millimeters of mercury above  
22 baseline for two consecutive visits. The frequency of  
23 outliers increased slightly with increasing dosage of  
24 sibutramine for both systolic and diastolic blood  
25 pressures. This increase in frequency in outliers is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 consistent with the small mean change seen in  
2 diastolic and systolic pressure.

3 This slide will illustrate that when blood  
4 pressure is assessed by individual treating  
5 physicians, clinically meaningful increases in blood  
6 pressure are rare. In the whole placebo controlled  
7 database, there are only 17 discontinuations on -- for  
8 elevated blood pressure. In study BPI 852, the large  
9 US dose ranging study, dose reduction or  
10 discontinuation was mandated if the systolic blood  
11 pressure was greater than or equal to 160 millimeters  
12 of mercury, or the diastolic blood pressure was  
13 greater than or equal to 95 millimeters of mercury at  
14 a single visit.

15 In that study, 1.4 percent of the patients  
16 were discontinued because of these criteria, compared  
17 to .7 percent in the placebo group. The frequency of  
18 dose reductions was the same in sibutramine and in  
19 placebo. Approximately half the dose reductions and  
20 discontinuations were in patients taking 30 milligrams  
21 of sibutramine, a dose which is now not being  
22 recommended. If the 30 milligram dosage group is  
23 eliminated from the 852 analysis, the frequency of  
24 discontinuations would be .8 percent with sibutramine  
25 compared to .7 percent as seen with placebo.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1           If we now look at placebo controlled  
2 studies for discontinuation of blood pressure were at  
3 the discretion of the investigator, we see there is  
4 only .5 percent discontinuations in the sibutramine  
5 treatment group compared to two percent of  
6 discontinuations in the placebo treatment group.  
7 Overall, this indicates a discontinuation for  
8 hypertension even when mandated by protocol were  
9 infrequent with sibutramine treatment.

10           It is also important to be able to  
11 identify patients who have an elevation of blood  
12 pressure early in treatment. This slide illustrates  
13 the time to the first reported occurrence in patients  
14 who had an increase of ten millimeters of mercury at  
15 two consecutive visits in BPI 852. Most of these  
16 increases in either systolic or diastolic pressure  
17 occurred within the first four weeks of treatment.  
18 Therefore, patients with potentially significant  
19 elevations in blood pressure can be identified early  
20 and discontinued from treatment if so indicated.

21           Ambulatory blood pressure monitoring was  
22 carried out in two pilot studies. In BPI 822, a  
23 crossover study done in six normal volunteers, given  
24 20 milligrams of sibutramine over a one week treatment  
25 period, there was no statistically significant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 difference found between systolic or diastolic blood  
2 pressure between the sibutramine and placebo groups.  
3 BPI 855 was a small pilot study designed to evaluate  
4 blood pressure in hypertensive patients.

5 As BPI 855 was extensively discussed in  
6 the FDA medical review, I would like to comment on the  
7 technical problems encountered in this study.  
8 Information that was unknown to the FDA medical  
9 reviewer is that the instrument used to measure  
10 ambulatory blood pressure, the Takeda TM 2420, is now  
11 rated unacceptable by both the Association for the  
12 Advancement of Medical Instrumentation and the British  
13 Hypertension Society because the ambulatory  
14 measurements do not correlate with simultaneous blood  
15 pressure measurements obtained with a mercury  
16 sphygmomanometer. Our conclusion to the study is that  
17 there were no unexpected effects of sibutramine on  
18 blood pressure in hypertensive patients and that the  
19 diurnal variability was maintained.

20 To summarize the effect of sibutramine on  
21 blood pressure, the mean change from baseline ranged  
22 from two to three millimeters of mercury across the  
23 dose range studied. In patients who lost greater than  
24 five percent of the weight, the group of patients who  
25 received sibutramine for long-term treatment, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 increase were in the order of one to two millimeters  
2 of mercury. Patients with hypertension had reductions  
3 in their blood pressure, however these reductions were  
4 not as a great as those seen in the placebo group.

5 The frequency of outliers was slightly  
6 higher in sibutramine treated patients which is  
7 expected from the small increase seen in mean blood  
8 pressure. The incidence of discontinuations was less  
9 than one percent for all sibutramine treated patients.  
10 Over half the discontinuation of blood pressure were  
11 in the 30 milligram dosage group, a dose which is now  
12 not recommended. Clinically significant changes in  
13 blood pressure were rare and seen early in treatment.

14 I would now like to turn our attention to  
15 the pulse rate changes seen with sibutramine therapy.  
16 This slide is a meta-analysis of the placebo  
17 subtracted change from baseline in pulse rate for all  
18 placebo controlled studies. In all patients, the  
19 increase in pulse rate ranged from .7 beats per minute  
20 for the one milligram dosage group to 5.5 beats per  
21 minute for the 30 milligram dosage group. In patients  
22 who lost at least five percent or more of their body  
23 weight, the pulse rate ranged from a decrease .1 beats  
24 per minute to an increase of 6.3 beats per minute for  
25 the 30 milligram dosage group.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 To better understand the observed heart  
2 rate changes, a Holter study in 21 obese patients was  
3 conducted in which all subjects had a baseline 24 hour  
4 Holter recording. Each subject received sequentially  
5 at two week intervals, the sibutramine in escalating  
6 doses of five, ten, 15, 20 and 30 milligrams. At the  
7 end of each two week period before the subject  
8 received the next successively higher dose, a 24 hour  
9 Holter was repeated. The results of this study show  
10 that there was a dose related increase in heart rate.  
11 The peak heart rate occurred approximately between  
12 four and six hours following doses of sibutramine  
13 which parallels the peak concentrations of metabolites  
14 1 and 2. Importantly, the normal circadian pattern is  
15 maintained.

16 The following table shows the mean change  
17 from baseline in daily mean heart rate from the Holter  
18 study. The mean heart rate change from baseline in  
19 the five milligram group was .4 beats per minute and  
20 rose to 4. beats per minute for the 20 milligram  
21 dosage group. These data are consistent with the  
22 pulse rate data seen in the clinical trials.

23 In summary, the circadian pattern of heart  
24 rate was maintained. In addition, no clinically  
25 significant changes in PR, QRS, or QTC intervals were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 seen in this study. No proarrhythmic potential was  
2 identified.

3 I would now like to present data on  
4 clinical events which may be associated with vital  
5 sign changes. The following table illustrates the  
6 incidence of cerebral vascular events including  
7 patients in ongoing clinical trials. When we look at  
8 the incidence in placebo controlled studies, we see  
9 that the placebo group had an increase of .11 percent  
10 -- an incidence of .11 percent compared to an  
11 incidence of .10 percent in the sibutramine group.  
12 The incidence in this table is lower than shown in the  
13 briefing document as one patient originally listed as  
14 a possible cerebral vascular accident has now been  
15 definitely diagnosed as having spondylitic myelopathy.  
16 Overall, the incidence of cerebral vascular events is  
17 .11 percent in over 5,600 exposures to sibutramine.

18 The following table illustrates the  
19 incidence of chest pain, substernal chest pain, and  
20 angina pectoris reported in all placebo controlled  
21 studies. The incidence of these three events in the  
22 sibutramine group was comparable to the incidence in  
23 the placebo group. The following table illustrates  
24 the incidence of arrhythmia seen in placebo controlled  
25 trials. The incidence of these rhythm disturbances

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 range from .2 percent for those classified as  
2 arrhythmia to .4 percent for those classified as  
3 ventricular extrasystoles. These incidences were  
4 similar to those found in placebo group. There have  
5 been two reported cases of super ventricular  
6 tachycardia to date, one in a placebo patient and one  
7 in a sibutramine treated patient.

8 In conclusion, there have been over 4,000  
9 exposures to sibutramine in the NDA database which is  
10 equivalent to over 1,300 patient years. The vital  
11 sign changes and the most common adverse events  
12 reported were not unexpected being consistent with  
13 sibutramine's mode of action. The mean blood pressure  
14 increases two to three millimeters of mercury, and  
15 pulse rate increases three to five beats per minute  
16 across the dose range studied. The number of patients  
17 with clinically significant elevations in blood  
18 pressure are small and can be identified early in  
19 treatment. No proarrhythmic potential has been  
20 identified. There's no difference in the incidence of  
21 cerebral vascular accidents or overall cardiovascular  
22 events between sibutramine and placebo groups.  
23 Overall, these data show that sibutramine is a safe  
24 and well tolerated medication.

25 Thank you.

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 CHAIRMAN BONE: There may be one or two  
2 questions from members of the Committee.

3 Dr. Flack first, then Dr. Kreisberg.

4 DR. FLACK: I'd like for you to clarify  
5 something for me about the ambulatory blood pressure  
6 monitoring. There is a fall at night, looking at  
7 these graphs, with sibutramine. Throughout much of  
8 the night, at least with this ambulatory data supplied  
9 here in the graph, the pressure is higher. Is that a  
10 fair interpretation based on your looking at this  
11 graph? Because I'm having trouble reconciling that  
12 there's no affect on the nocturnal dip looking at this  
13 weak -- systolic blood pressure change here.

14 DR. SEATON: Well, we have data -- the 855  
15 study was a very early study. It was the pilot study  
16 done in hypertensive patients. There were ten  
17 patients studied. When we did the study, the reports  
18 that were in the literature said the equipment was  
19 very good. Subsequent to that, we found the equipment  
20 was not very good. So, the conclusions we can draw  
21 from that is that there is a diurnal pattern.

22 I think there's another way we could also  
23 look at the diurnal pattern. If you look at the pulse  
24 rate changes which, again, also reflect a potentially  
25 similar mechanism diurnal pattern, it is maintained in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the Holter monitor study.

2 Perhaps Dr. Singh would be willing to  
3 comment on another way of looking at this data in the  
4 spectral analysis which again suggests that there's no  
5 reason to think why the diurnal pattern would not be  
6 maintained with sibutramine therapy.

7 DR. FLACK: Is it also true that the  
8 dosing for the blood pressure medications in these  
9 studies where hypertensive patients were on medication  
10 really wasn't standardized across patients?

11 DR. SEATON: In the hypertensive studies?

12 DR. FLACK: Yes, where the patients were  
13 asked to take their medicine within a narrow time  
14 range during the day for comparability.

15 DR. SEATON: That's true. There were a  
16 number of different medications they could be taking  
17 and there were no standards. They were not supposed  
18 to change their medication but, again, it was not  
19 standardized.

20 CHAIRMAN BONE: Excuse me. Exactly what  
21 was the problem with this equipment?

22 DR. SEATON: The problem with the  
23 equipment is that when you look at sphygmomanometer  
24 readings comparing them to the readings obtained with  
25 the instrument, they do not correlate.



1 CHAIRMAN BONE: Well, is there a  
2 systematic error or what kind of discrepancies were  
3 observed?

4 DR. SEATON: Well, maybe I could have Dr.  
5 Weber comment on that, please?

6 DR. WEBER: Mr. Chairman, just for the  
7 record before I comment on that question, I should  
8 declare that I am a current active member of the  
9 Cardiovascular and Renal Drugs Advisory Committee of  
10 the FDA. But I'm not here in any sense in that  
11 capacity but simply as an expert in hypertension and  
12 ambulatory blood pressure monitoring.

13 The problem with the Takeda instrument is  
14 that it was, according to the tests done by the  
15 British Hypertension Society, inconsistently  
16 inaccurate. They had difficulty studying it because  
17 the frequency of mechanical breakdown during tests  
18 lead to the fact that most readings, in fact, could  
19 not be obtained. They gave it a classification of  
20 "D", which meant that it could not even meet minimum  
21 standards that would allow it to be compared with  
22 other equipment.

23 I must say, having said that, that the  
24 design of the studies and the way in which the studies  
25 were done created so many problems that even if the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 equipment was good, they would be almost impossible to  
2 interpret. I guess we'll discuss that a little later.

3 But one answer to Dr. Flack's questions on  
4 it was that the baseline was done institutionalized  
5 and the treatment effect was done ambulatory. That,  
6 alone, could explain the very marked discrepancy.

7 CHAIRMAN BONE: Well, excuse me, just to  
8 pursue this question for a minute. If I understand  
9 correctly, you said that the major reason for deciding  
10 that this equipment wasn't useful was that the  
11 instruments that were tested by the British  
12 Hypertension Society -- is that right? -- broke down  
13 frequently during the testing? Is that correct that  
14 it was the major problem?

15 DR. WEBER: That they identified as the  
16 biggest single concern.

17 CHAIRMAN BONE: Was there a problem with  
18 the equipment breaking down during the study that was  
19 conducted with this drug?

20 DR. SEATON: No, but at least five percent  
21 of the readings were considered abnormal. In other  
22 words, there were readings that would go from 140, 150  
23 to shoot up to 200 on one reading and then drop back  
24 down to normal. This is a very large percentage of  
25 unacceptable readings.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 CHAIRMAN BONE: Did this introduce a  
2 systematic bias or just more uncertainty in the  
3 measurements?

4 DR. WEBER: According to them, the  
5 comparison with the mercury sphygmomanometer was  
6 inconsistent in both directions.

7 I am not a huge fan of those sorts of  
8 validation studies because the sphygmomanometer itself  
9 in the hands of many observers is not exactly a gold  
10 standard either. My feeling is that the problem with  
11 the ambulatory monitoring studies, you don't need to  
12 invoke problems with the equipment to see the problems  
13 with the studies. I agree with what Dr. Seaton has  
14 said that it's very poor quality equipment and  
15 certainly would no longer be used, but I think there  
16 are other easily identified problems with the  
17 ambulatory studies.

18 DR. SHERWIN: And what are they because  
19 I'd like to get that straight?

20 DR. WEBER: Well, I think the first very  
21 dramatic problem is that there was no basis of  
22 comparability between the baseline observations and  
23 the treatment observations. The baselines were done  
24 in an institution with patients essentially at risk.  
25 The treatment readings were done with patients

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 ambulatory.

2 The second problem is that --

3 DR. SHERWIN: But aren't there two  
4 different groups? I mean, are you comparing one group  
5 to another group so that they were both, you know,  
6 treated the same way even though they were different  
7 baseline and experimental?

8 DR. WEBER: Yes, indeed that's correct.

9 If you'll allow me, Mr. Chairman, there is  
10 a slide with a very long number called 5440. If that  
11 could be called up, that actually shows the actual  
12 data that we're talking about.

13 CHAIRMAN BONE: Well, let's get that  
14 because I think there's a lot of interest in this  
15 question. It seems like a technical question, but it  
16 sounds like it's an issue about whether a lot of data  
17 should be included or excluded from our analysis. I'm  
18 not convinced yet about it.

19 DR. WEBER: Okay, these are the data in  
20 the placebo group. You can see that the baseline is  
21 shown in blue and the eight week ambulatory values are  
22 shown in yellow. These are the systolic data and they  
23 seem moderately similar to each other. You could  
24 argue that during the day, the patients when they're  
25 ambulatory do have a somewhat higher blood pressure.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 At night, maybe they have a fractionally lower blood  
2 pressure. But you're guessing and remember the n is  
3 only ten here.

4 DR. SHERWIN: And this is the different  
5 between -- blue is hospital and yellow is outside the  
6 hospital?

7 DR. WEBER: That's correct, yes.

8 DR. SHERWIN: Okay.

9 DR. WEBER: Okay, so you can see when your  
10 ambulatory pressure is a little by day and maybe a  
11 little lower by night for what's that worth. But it's  
12 all over the place. That's systolic.

13 If you look at the diastolic which is the  
14 next slide -- oh, oh --

15 CHAIRMAN BONE: Maybe we're going the  
16 wrong direction.

17 DR. WEBER: Well, make it 5441.

18 These are the diastolic data. Now, these  
19 are actually different. What's a little scary to me  
20 is if you look at between hours 2:00 and 4:00, there  
21 is a huge plunge in blood pressure for reasons that I  
22 have no explanation for. You can see about 93 to the  
23 mid-70s, a fall of about 20 points which I suspect may  
24 have been one or two aberrant patients. Remember,  
25 these are people on placebo. Then at night, there is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 a big fall in blood pressure. You didn't see it that  
2 much at baseline. You see it more in the ambulatory  
3 patients. But if you just look at the ambulatory  
4 patients and see the tremendous variability, it's  
5 really just -- to work with.

6 CHAIRMAN BONE: What happened in the  
7 treated patients?

8 DR. SHERWIN: Yes, let's take a look at  
9 the treated patients.

10 CHAIRMAN BONE: Can we see the treated  
11 patients' results, please?

12 DR. WEBER: Yes, the next slide.

13 These are the sibutramine patients. These  
14 are the systolic values. You can see that they are  
15 somewhat higher at eight weeks than on the baseline.  
16 But it's interesting that unlike the placebo people,  
17 there was actually a fall in the systolic pressure in  
18 the sibutramine people when they were in the  
19 institution. That's something we didn't see with the  
20 placebo group.

21 If we go to the next slide, we have  
22 diastolic. You can see, again, there is a slight  
23 increase in blood pressure with sibutramine compared  
24 with its baseline. But again, remember this is  
25 ambulatory as opposed to institutionalized.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           Mr. Chairman, I'd have to say I'm very  
2 reluctant in a sense to start trying to analyze it and  
3 dicker with these data because I just think that the  
4 number of patients in the study, the way in which it  
5 was done, just don't allow us to reach any conclusion.  
6 If you say that to now go ahead and start playing  
7 games with it, to my mind is not appropriate. The  
8 numbers of patients are too small. There were also  
9 gender differences between the placebo and treatment  
10 group. There were just too many problems.

11           And I don't think the study was ever  
12 intended -- correct me if I'm wrong -- to be used for  
13 this purpose. I think it was originally intended to  
14 be --

15           DR. SEATON: It was the first time the  
16 drug had been given to hypertensive patients. It was  
17 a pilot study. It was really to look to make sure  
18 there would be no major untoward effects in patients  
19 with hypertension.

20           CHAIRMAN BONE: Well, I'm sure that we're  
21 going to come back to discussion of this issue. I  
22 think the technical question that was asked here about  
23 disqualification, in effect, of the study based on the  
24 instrumentation is hard to understand. Because it  
25 seems as though from what we've been told, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 instrument problem would have, if anything, increased  
2 the randomness and perhaps created more overlap  
3 between groups rather than less. But I think we'll  
4 have a further discussion later on about the  
5 implications of this and what weight to give the  
6 studies.

7 Further questions?

8 I know that Dr. Kreisberg and then Dr. New  
9 had questions and I think others may.

10 DR. KREISBERG: It seems to me that the  
11 patients for participation in these studies were --  
12 can everybody hear me all right? -- largely selected  
13 to be free of physical or comorbid medical problems.  
14 And so, the safety data is a best case scenario. Most  
15 of these patients have comorbid medical problems and  
16 they were more-or-less systematically excluded from  
17 the evaluation.

18 So, the questions I have relate to do you  
19 have any information about how renal insufficiency,  
20 co-existent liver disease, or co-existent  
21 cardiovascular disease influence either drug disposal  
22 or side effects? And if you don't have any of that in  
23 humans, do you have any studies in animals with renal  
24 insufficiency or other problems that would give us an  
25 idea about how the drug might be used in obese

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 patients who would be candidates but would have  
2 comorbid problems?

3 DR. SEATON: I'd like Rod Haddock to  
4 address that, please?

5 CHAIRMAN BONE: Did the transcriptionist  
6 get the name?

7 DR. HADDOCK: My name is Dr. Rod Haddock.  
8 I'm head of pharmacokinetics of Knoll, UK.

9 Yes, we've carried out a standard  
10 pharmacokinetic study in hepatically impaired  
11 subjects.

12 Could I have slide eight, please?

13 This slide shows the mean plasma profiles  
14 of subjects with moderate hepatic impairment. That's  
15 Child Pugh score five to five versus normal hepatic  
16 impairment. On the top in yellow you can see the  
17 metabolite 2 concentrations. Those in red are the  
18 impaired which are pretty well superimposable.  
19 There's a slight delay in the removal of material but  
20 that did not reach statistical significance. In fact,  
21 against the standard statistical text, these two  
22 curves were superimposable.

23 In terms of metabolite 2 -- metabolite 1  
24 which is the minor metabolite, as you can see below  
25 here. There was a minor difference in Cmax between

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the impaired and the non-impaired. Overall, the  
2 bioavailability when you add these two curves  
3 together, there's an overall deficit in the hepatic  
4 impaired. The bioavailability is up by a factor of  
5 about 25 percent. So, in kinetic terms, the drug is  
6 handled very similarly by hepatic impaired people.  
7 And there was a minor increase in the overall  
8 bioavailability of these pharmacologically active  
9 metabolites.

10 CHAIRMAN BONE: Were there further studies  
11 along the lines, Dr. Kreisberg asked about, for renal  
12 impairment?

13 DR. HADDOCK: With regard to renal  
14 impairment, the active metabolite of sibutramine are  
15 removed from the body by further metabolism. So, we  
16 would not anticipate that renal impairment would have  
17 any effect on the termination of the pharmacological  
18 response. However, we have a study in renal  
19 impairment ongoing and a small, again, standard  
20 pharmacokinetic type study in moderate and severe  
21 renally impaired subjects.

22 CHAIRMAN BONE: Was that a satisfactory  
23 answer, Dr. Kreisberg? Yes.

24 Thanks. I think Dr. New has the next  
25 question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. NEW: I need some clarification on the  
2 presentation that indicated that there were  
3 differences of one and two millimeters blood pressure.  
4 When you saw the great variability that was presented  
5 in the ambulatory --

6 DR. SEATON: I'm sorry, I can't hear.

7 CHAIRMAN BONE: Speak into the microphone.

8 DR. NEW: Of course, I'm sorry.

9 I need clarification on the one millimeter  
10 to two millimeter changes that you're reporting in the  
11 various groups. Then we've just seen that the  
12 ambulatory changes are extremely variable -- there  
13 being as much as 20 millimeters of difference. So,  
14 what I need, I guess, is a standard error or a  
15 standard deviation of those measurements to know how  
16 you came out to one to two millimeter difference.

17 DR. SEATON: The standard error in those  
18 measurements was similar in the placebo group and in  
19 the treatment groups and was a range between 10 and 14  
20 millimeters of mercury. I'm sorry, the standard  
21 deviation -- that's not the standard -- standard  
22 deviation.

23 CHAIRMAN BONE: Just to pursue this  
24 question of the magnitude of the change in blood  
25 pressure, the largest chunk of your experiences in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 terms of patient years of exposure is 852 and this  
2 extension?

3 DR. SEATON: Yes.

4 CHAIRMAN BONE: And I note from the table  
5 that was prepared that in that study -- I'm  
6 particularly referring to a couple of issues. One is,  
7 the issue of looking at the change in blood pressure  
8 across the entire dose response curve as opposed to at  
9 the doses which were efficacious. Of the doses that  
10 you were recommending, those that were efficacious  
11 were the 15 and 20 milligram dose according to the  
12 five percent criterion.

13 Sorry?

14 DR. SPIGELMAN: No, the recommended dose  
15 range is five to 20.

16 CHAIRMAN BONE: I think you said that you  
17 would have a starting dose of ten. If you look at the  
18 doses that actually achieved five percent mean  
19 reduction in blood pressure, they were 15 and 20  
20 milligrams.

21 DR. SPIGELMAN: Depending on the study and  
22 the parameters that are used in the guidelines, then  
23 different parameters were met by different doses. If  
24 a categorical analysis is used based on five percent  
25 responders, then five milligrams even met the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 guidelines in 852. If other analyses are used, then  
2 it sometimes is ten milligrams. Or if other criteria  
3 are used -- if it's five percent placebo subtracted,  
4 then it would be a higher dose.

5 CHAIRMAN BONE: I understand your point.  
6 For the purposes of my question, it will be the doses  
7 at which at least 50 percent of patients met the five  
8 percent reduction or where the mean was five percent.  
9 By both of those criteria, it would be 15 milligrams,  
10 I think, overall?

11 We can discuss what the dose is later but  
12 I'm just referring to the fact that at 15 and 20  
13 milligrams, the changes in blood pressure in that very  
14 large study were a little greater than you've  
15 suggested at 15 milligrams, and substantially greater  
16 at 20 milligrams. And when we look at the extension  
17 phase which goes to this point about whether the  
18 changes occur early or late, they're actually greater  
19 in the subjects that participated in the extension in  
20 the six to ten millimeter of mercury range at the 18  
21 month time point for the systolic blood pressure and  
22 five to eight percent for the diastolic blood  
23 pressure. I note that the lowest dose in that  
24 extension was 15 milligrams, apparently on the basis  
25 of efficacy. Also, at 12 months, a similar experience

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 was noted.

2 So, I guess the question here is whether  
3 using a two millimeter increase in blood pressure, as  
4 the basis for estimating the risk of the pressor  
5 effect is really as solid as it might be?

6 DR. SPIGELMAN: Well, I think, you know,  
7 when we looked at the extension study, this was an  
8 open label study so it's very difficult to compare  
9 what blood pressure changes would have been. I think  
10 when we look at our whole placebo controlled database,  
11 while you've not seen this meta-analysis -- this was  
12 recently completed -- we thought it was the best way  
13 of trying to capture all the data. Particularly when  
14 we see in the hypertensive studies that there was a  
15 lowering of blood pressure in the group, but the  
16 lowering was not as great as we saw in the placebo  
17 group.

18 We thought the best way of presenting the  
19 data was really to combine all this data in a meta-  
20 analysis to really look at what the changes were. We  
21 think that's the best way of really trying to assess  
22 what it is -- placebo controlled trials and not to  
23 use, you know, one extension trial, particularly since  
24 we have not completely re-analyzed the extension  
25 trial. Data is being cleaned up right now. We don't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 have all the results back from that trial.

2 CHAIRMAN BONE: All right.

3 Dr. Sherwin?

4 DR. SHERWIN: Two questions. First of  
5 all, have there been any patients at all that in long-  
6 term use have had primary pulmonary hypertension?

7 DR. SEATON: No. There's been one case  
8 with sclera derma who developed hypertension which was  
9 attributed to the sclera derma. But there have been  
10 no cases of primary pulmonary hypertension.

11 Perhaps Dr. Heal would care to address  
12 this issue?

13 DR. SHERWIN: Is there any potential,  
14 let's say --

15 DR. SEATON: Well, Dr. Heal will, I think,  
16 address this issue for you.

17 DR. HEAL: Could I have the third carousel  
18 and slide number five, please?

19 CHAIRMAN BONE: Is this going to answer  
20 Dr. Sherwin's question?

21 DR. HEAL: I hope so.

22 CHAIRMAN BONE: Okay.

23 DR. HEAL: As I pointed out in the pre-  
24 clinical discussion, we need to think about the mode  
25 of action of sibutramine as well as thinking about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 actions of drugs which are associated with pulmonary  
2 hypertension.

3 Sibutramine is a serotonin and  
4 norepinephrine reuptake inhibitor. SNRIs have been  
5 around in various forms for 40 years now. We know  
6 that there are tricyclics which are selected for  
7 nortriptyline, selected for 5-HT, or they're mixed  
8 uptake inhibitors. Primary pulmonary hypertension has  
9 never been an issue with these drugs.

10 We know that there are new generations of  
11 drugs such as the SSRIs fluoxetine. As I showed you  
12 in my presentation, there's nothing unusual about  
13 sibutramine's actions on body weight and feeding.  
14 These can be mimicked by giving fluoxetine with a  
15 norepinephrine reuptake inhibitor. There have also  
16 been new SNRIs like venlafaxine. In fact,  
17 sibutramine's actions can be mimicked by high doses of  
18 venlafaxine.

19 We know of no case reports associated with  
20 fluoxetine and PPH despite the many million exposures  
21 to Prozac which have occurred. In addition, the Case  
22 Control study that showed the association between  
23 dexfenfluramine and other weight reducing agents  
24 showed no association between fluoxetine and PPH, even  
25 though there was sufficient patients exposed to assess

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20006



1 this.

2 Now, we are uncertain at present about  
3 what the reasoning behind the induction of pulmonary  
4 hypertension is. But if we take a look generally  
5 amongst the drugs which produce this, they appear to  
6 fall into two categories. They are the 5-HT releasing  
7 agents, fenfluramine and dexfenfluramine, and there  
8 are patical releasing agents like mazindol,  
9 diethylpropion, clobenzurex, phenmetrazine, and  
10 fenpropurex.

11 As I clearly pointed out in my  
12 introduction, sibutramine is not a releasing agent for  
13 catecholamines and it is not a releasing agent for 5-  
14 HT. Therefore, it should be thought of in terms of  
15 the other SNRIs. I believe that its potential for  
16 pulmonary hypertension will be exactly the same as  
17 drugs of that class.

18 CHAIRMAN BONE: Thank you.

19 DR. SHERWIN: That was a good answer.

20 My second question relates to the fact  
21 that blood pressure, as we all know, can vary  
22 enormously if you lift weights or do something like  
23 that. Blood pressures can rise dramatically, exercise  
24 has dramatic effects. Most of the focus, except for  
25 the ambulatory blood pressure readings, are resting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 type blood pressure.

2 Have you looked systematically at factors  
3 that would promote blood pressure elevations? I would  
4 expect that in a situation where you have that, you  
5 would release norepinephrine and then you couldn't get  
6 rid of it very easily. So, my biggest concern about  
7 this drug relates to induced -- sort of  
8 physiologically induced increases in blood pressure  
9 that would occur with activities like lifting  
10 packages.

11 DR. SEATON: That's an interesting  
12 question. We have some data on physiological testing.

13 I'd like Dr. Bramah Singh to address this,  
14 please?

15 DR. SINGH: There has been one study with  
16 treadmill exercise, twenty-four patients with three  
17 groups. One was placebo, one at low dose, five  
18 milligrams of sibutramine, and the other one 20. The  
19 patients were given the drugs for a whole week.

20 DR. SHERWIN: One week?

21 DR. SINGH: One week and the baseline  
22 pressures were taken and all the other parameters.  
23 Now, an interesting pattern emerged that at the  
24 maximal exercise, there was no difference in terms of  
25 the O<sub>2</sub> consumption. The only effect that was seen was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20006

1 at the maximal exercise, the heart rate increase was  
2 about seven beats higher than compared to the placebo.  
3 Actually, the diastolic blood pressure actually fell.  
4 All the other parameters, in fact, there were no  
5 differences between the placebo responders and the  
6 responders of the five or 20 milligrams of  
7 sibutramine. And the exercise capacity was not  
8 altered.

9 CHAIRMAN BONE: Thank you.

10 DR. MARCUS: Excuse me. This was  
11 treadmill exercise?

12 DR. SINGH: This was on Bruce protocol,  
13 the standard kind of treadmill that we do in patients  
14 with coronary --

15 DR. MARCUS: So, you don't have  
16 information regarding resistance activity of the sort  
17 of lifting or Valsalva, or other things which are well  
18 known to really send the systolic pressure up?

19 DR. SINGH: No, those were not done. This  
20 study was purely on treadmill exercise.

21 DR. FLACK: Right. Was this only after  
22 one week?

23 DR. SINGH: After one week.

24 DR. FLACK: Are you planning to look at  
25 them later -- this group or any other group at a later

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 point in time after they've been on the drug for a  
2 longer period of time?

3 DR. SEATON: These are all studies that  
4 are being considered for Phase IV.

5 CHAIRMAN BONE: But that study is done and  
6 you didn't do that, right?

7 DR. SINGH: No, in this particular study,  
8 that was the end of it.

9 CHAIRMAN BONE: Right, thanks.

10 Dr. Colley?

11 DR. COLLEY: I've got a question about the  
12 blood pressure response and age. The average age of  
13 your subjects was relatively young, although this drug  
14 would presumably be used in older patients especially  
15 if we consider this to be a treatment that would be  
16 used chronically.

17 Did you notice any difference in ages in  
18 terms of the incidence of blood pressure increase?

19 DR. SEATON: No, there is no effect of age  
20 on the blood pressure effects of sibutramine.

21 DR. COLLEY: How about in patients who  
22 were hypertensive versus normal, or in treated versus  
23 untreated hypertensive?

24 DR. SEATON: Well, in the one study where  
25 we had patients in the hypertensive trial, one-third

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 of those patients were on anti-hypertensive  
2 medications. The changes were no different between  
3 patients who were on hypertensive medications or off  
4 hypertensive medications. We saw the similar pattern.  
5 In general, there was reductions in both groups but  
6 the reductions were not as great with sibutramine  
7 treatment as we saw in the placebo group. They were  
8 not statistically different, but numerically they were  
9 higher.

10 CHAIRMAN BONE: Thank you.

11 I think Dr. Molitch had a question?

12 DR. MOLITCH: Yes. I noticed in the  
13 earlier presentation that the p450 enzymes were  
14 involved in the generation of the active metabolites.  
15 I was wondering if any medications that would induce  
16 those enzymes would result in higher blood levels?  
17 And would that then alter the blood pressure  
18 responses, perhaps, of these patients?

19 DR. SEATON: Yes, I'd like to have Rod  
20 Haddock address this question, please?

21 DR. HADDOCK: Could I have slide 11,  
22 please?

23 We've examined the p450 isozymes that are  
24 involved in the metabolism of sibutramine and the  
25 major enzyme involved is an enzyme called CYP3A4.

1 There was a minor contribution from CYP1A2 and CYP2C9.  
2 There is no contribution from CYP2D6 and a known low  
3 capacity in enzyme of genetic -- which also shows  
4 genetic polymorphism.

5 Because CYP3A4 was the major enzyme  
6 involved, we decided to carry out a study in vivo in  
7 LB subjects whereby we would coadminister CYP3A4  
8 competitor substrates erythromycin or ketoconazole.  
9 The results are as indicated on the slide here. There  
10 was a negligible effect of erythromycin on the plasma  
11 concentrations of the active metabolite though there  
12 was a trend to slightly higher levels in the  
13 erythromycin treated patients when erythromycin was  
14 added to sibutramine treatment at steady state.

15 In respect to ketoconazole, which has a  
16 potent potential to inhibit CYP3A, there was a minor  
17 effect on the active metabolite concentrations. But  
18 this effect overall was some 23 percent increase in  
19 active metabolite concentrations when ketoconazole was  
20 added at normal regimen and to sibutramine regimen.

21 CHAIRMAN BONE: All right, thank you.

22 Are there further questions from the  
23 Committee before we go ahead with the remainder of the  
24 company's presentation?

25 Fine. Then I think we'll be ready to hear

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the epidemiology benefit and risk analysis.

2 DR. SEATON: Yes. I'd like to introduce  
3 Dr. Sylvia Smoler who will present an epidemiologic  
4 risk/benefit analysis.

5 DR. SMOLER: To assess the public health  
6 risks and benefits of sibutramine, we used two models,  
7 the Nurses Health Study and the Framingham model. In  
8 this presentation, I'm going to concentrate on the  
9 Framingham model. The reason for doing this is that  
10 sibutramine treatment is associated with a small  
11 increase in population mean blood pressure and a  
12 concomitant improvement in lipids in those losing  
13 weight. The Framingham model allows us to examine the  
14 interrelationships between changes in blood pressure  
15 and lipids and changes in the risk of coronary heart  
16 disease and cardiovascular disease.

17 Now, in the absence of large, long-term  
18 clinical trials that would have these endpoints as  
19 outcomes, the only way to assess the risk is really  
20 through proportional hazards regressions and logistic  
21 regressions based on models in established  
22 populations. That's why we're using these two models.  
23 The Framingham study is one on which many national  
24 policies with regard to risk factor, control and  
25 prevention have been based. So, it's widely used and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 it's a very important database.

2 In order for it to be useful, however, we  
3 have to demonstrate that it is generalizable. There  
4 have been a number of studies that indicate that  
5 Framingham models are generalizable to the population  
6 and I'll just mention two of them. The NHANES  
7 epidemiological follow-up study, which was the first  
8 national cohort study based on a medical examination  
9 of a probability sample of US adults and included over  
10 14,000 people showed that the events predicted by  
11 Framingham predicted remarkably well for this NHAMES  
12 follow-up study sample.

13 In the Western Collaborative study group  
14 which was a prospective study of middle-aged men with  
15 about eight years' follow-up -- the NHANES had about  
16 10 years' follow-up. But in the Western Collaborative  
17 group, again, the events -- the observed coronary  
18 heart disease events did not differ from those  
19 predicted from the Framingham equations. So, that's  
20 why we're using that.

21 The two events I'm going to be discussing  
22 are coronary heart disease which consist of angina,  
23 unstable angina, MI, and sudden death; and  
24 cardiovascular disease which is CHD plus congestive  
25 heart failure, cerebrovascular disease and



1 intermittent claudication. Now, the independent  
2 variables which are controlled in these models are  
3 age, systolic blood pressure for the cardiovascular  
4 disease, cholesterol, LDH by ECG, diabetes, and so on.  
5 In coronary heart disease, there's also the added  
6 variable of HDL cholesterol which was not measured  
7 early-on in Framingham.

8 We're going to have a prototype scenario  
9 which we've devised for a 40 year old woman who is a  
10 non-diabetic, non-smoker, and has no LVH, has a  
11 diastolic blood pressure of 80 millimeters of mercury,  
12 a cholesterol of 220, and an HDL of 45. Those are all  
13 the variables that are entered into the CHD model. As  
14 you can see, the risk of CHD in eight years per  
15 million for such a woman is 13,450. With an increase  
16 of two millimeters blood pressure, the risk rises to  
17 14,260. This two millimeter increase is based on the  
18 sibutramine trial data.

19 Now, with a concomitant weight loss of  
20 five kilograms which would result in a decrease of ten  
21 milligrams in cholesterol and an increase of two  
22 milligrams in HDL, the risk would drop to 11,982 per  
23 million. These data are from the meta-analysis of the  
24 effect of weight loss in the publication shown here  
25 and are also consistent with the sibutramine trial

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 data. So, subtracting then this from this, we have a  
2 net event diverted in eight years per million of 1,468  
3 or a 10.9 percent reduction in CHD rates.

4 I'm going to walk through this slide  
5 because the rest of them follow the same pattern.  
6 Here on the left, is a graph version of what I've just  
7 shown you on the prototype slide. Again, the DBP of  
8 80, cholesterol is 220, HDL of 45. The rise in risk  
9 with an increase of two millimeters blood pressure and  
10 then the drop of risk with that decrease of  
11 cholesterol and an increase in HDL, resulting in the  
12 10.9 reduction in CHD shown on the previous slide.  
13 This is applied to the CVD model which does not have  
14 HDL in it, and which uses systolic blood pressure.  
15 And again, the rise in CVD risk with a two millimeter  
16 increase in blood pressure, a drop, resulting in 617  
17 events averted in eight years per million, or 4.1  
18 percent reduction in cardiovascular disease.

19 This shows the same kind of data for a man  
20 aged 50. For him we have assumed he has a diastolic  
21 blood pressure of 85, a cholesterol of 230 and an HDL  
22 of 40. The scale is different here and there's no  
23 zero point. But the absolute number of events averted  
24 are greater for the man because he is at higher risk,  
25 7,179 and that results in an 8.2 percent reduction in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 CHD and a 4.4 percent reduction in CVD.

2 Here, we have looked at the same thing for  
3 the 40 year old woman who has no LVH, but who is a  
4 diabetic and is a non-smoker. Again, the rise in risk  
5 and then the drop in risk with the lipid changes  
6 resulting in a 9.3 reduction in CHD. Here it is for  
7 a smoker who is non-diabetic. Again, there's the rise  
8 and the drop with the lipid changes for a 9.9 percent  
9 reduction in CHD. If it's a diabetic smoker, the same  
10 kind of pattern applies.

11 Okay, this shows the trade-offs between an  
12 increase in blood pressure and a beneficial effect on  
13 lipids. So, the yellow line here pertains to a 50  
14 year old, non-smoking, non-diabetic man who has a  
15 cholesterol of 230 and an HDL of 40. The percent CHD  
16 risk rises with the rising diastolic blood pressure.  
17 The green curve is the similar kind of thing for the  
18 man with cholesterol of 220 and HDL is 42.

19 The dashed line is the line of equivalent  
20 risk. So, you can see that the risk at 84 millimeters  
21 diastolic blood pressure for the man with the baseline  
22 lipids is equivalent to the risk for the man with the  
23 better lipid profile who has a 90 millimeter diastolic  
24 blood pressure. Meaning that this six millimeter rise  
25 in diastolic blood pressure is offset by the benefit

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 on the lipids of the weight reduction. We did the  
2 same thing for a woman and that amounts to five  
3 millimeters being offset by the benefit due to the  
4 lipids.

5 So, in summary, the increase in risk of  
6 CHD or CVD with the increase in blood pressure that  
7 results from the sibutramine is more than offset by  
8 the beneficial effect of the weight loss on the lipids  
9 alone, with a net decrease ranging from four to ten  
10 percent. Similar effects were found for men and for  
11 women, for diabetics and for smokers. These data are  
12 based on the actual results obtained in the meta-  
13 analysis of the sibutramine trials and they are  
14 consistent with the effects of weight loss as analyzed  
15 in the meta-analysis of weight loss.

16 So, it's wonderful when you see everything  
17 consistently pointing in the same direction. The  
18 Nurses Health Study model which you have in your  
19 briefing document yields similar results in that there  
20 is a nine percent reduction in mortality. So, in  
21 summary, all of the data really are quite consistent  
22 and for an epidemiologist, that's always a great  
23 pleasure. Thank you.

24 CHAIRMAN BONE: Thank you, Dr. Smoller.

25 I have a question. I know you said this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 and I think I just missed it. You said that the  
2 estimated lipid benefit that you used for your  
3 calculation would approximately offset a five  
4 millimeter increase in blood pressure for men.

5 DR. SMOLER: For women.

6 CHAIRMAN BONE: Yes.

7 DR. SMOLER: Six for men.

8 CHAIRMAN BONE: So, five to six  
9 millimeters, okay. Five millimeters for women and six  
10 millimeters for men. Okay, thank you.

11 Questions?

12 All right, very good. Thank you.

13 DR. SMOLER: Let me introduce Dr. Michael  
14 Lean.

15 DR. LEAN: Thank you very much. My  
16 presentation is going to be from the perspective of a  
17 practicing clinician. My background is as a physician  
18 with specialist training in general medicine,  
19 diabetes, and endocrinology. I have a continuing  
20 consultant practice in a busy general hospital. I  
21 also have research training in human nutrition with a  
22 special interest in obesity. I'm the head of a fairly  
23 large, multi-disciplinary university department of  
24 human nutrition in Glasgow.

25 I'm heavily involved in developing

1 clinical guidelines for weight management in the  
2 United Kingdom and I've had clinical and research  
3 experience with most of the drugs available and under  
4 development at the moment. I've had some experience  
5 with sibutramine in the context of a double blind,  
6 placebo controlled trial in dyslipidemia which has  
7 gone on to a two year open extension which is ongoing.  
8 At present, we've recruited 150 subjects into the  
9 study, of whom 115 have proceeded into the open  
10 extension phase. In this trial, it has not been  
11 possible to distinguish those patients who are on  
12 placebo from those on active drug therapy. But my  
13 experience in the open phase of that study is that the  
14 drug is extremely well tolerated. Both the patients  
15 and the staff are extremely happy with the way it is  
16 progressing.

17 From the evidence I've seen presented  
18 today and from my own experience, I believe that  
19 sibutramine would be a valuable adjunct as part of a  
20 structured, multi-disciplinary program of weight  
21 management. The evidence showing the likelihood of  
22 specific benefits for patients with diabetes or  
23 hyperlipidemia is interesting and worthy of further  
24 research. But it is important to recognize that this  
25 submission, the aim of today's submission, is to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 obtain a license for weight management and not as  
2 primary treatment for these conditions.

3 Now, in my clinical practice, I regularly  
4 see patients with important improvements, clinical  
5 improvements associated with weight loss which can be  
6 achieved routinely using our standard approaches.  
7 Clinical observations of that kind have been confirmed  
8 in a vast number of published studies. For example,  
9 those in looking at the metabolic control in Type II  
10 diabetic patients. I believe it is very important for  
11 doctors and for patients to be aware of the medical  
12 benefits from modest but sustained weight loss without  
13 the need to convert very obese people into very thin  
14 ones.

15 I conducted a study which was published in  
16 1990 in Diabetic Medicine which carried out the  
17 survival analysis to look at the life expectancy in  
18 patients who were overweight and had non-insulin  
19 dependent diabetes. These subjects were recruited at  
20 the mean age of 64 and this was a total population  
21 study. So, it reflects the relative kinness of  
22 Scottish diabetic patients compared with those,  
23 perhaps, in the United States.

24 At that age, they had a life expectancy  
25 without any weight loss of eight years. What we found

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20006

1 was that those who lost weight under advice in the  
2 first year of treatment went on to a longer life  
3 expectancy and this was highly significant. The data  
4 had been controlled for pre-existing heart disease,  
5 for age, for sex, and for blood pressure. So, this  
6 study illustrated for me that quite modest weight  
7 loss, of the kind achieved routinely, was of great  
8 value for patients at high risk.

9 The benefits of each kilogram of weight  
10 loss were equivalent, approximately, to three to four  
11 months' survival. By the time these patients had lost  
12 nine or ten kilograms, their survival had increased to  
13 much that of the background population. So, the  
14 impaired life expectancy of non-insulin dependent  
15 diabetes was abolished by weight loss of the order of  
16 nine to ten kilograms.

17 This slide shows data from David  
18 Williamson from the Center for Disease Control  
19 published last year, which gives really quite striking  
20 similar benefits from relatively modest weight loss.

21 Firstly, in people who already had obesity related  
22 diseases, those who lost five to nine kilograms of  
23 weight loss had a reduction in all cause mortality of  
24 about 20 percent. Those who had no secondary  
25 diseases, the analysis was able to find that for those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 who lost nine kilograms or more, a staggering 25  
2 percent reduction in mortality combined both from  
3 heart disease and from cancer risks. It is important  
4 to recognize that the benefits in both these groups  
5 included reductions in obesity-related cancers. A lot  
6 of our discussions today have focused on risk factors  
7 for coronary heart disease and that's only one part of  
8 the story.

9 More recently, we've conducted studies  
10 using dietary approaches. This is just one where a  
11 dietary study produced a weight loss of between four  
12 and five kilograms. We looked at patients with angina  
13 and those who were simple overweight and we found in  
14 the study a quite significant reduction in clotting  
15 factor VII, another factor which may be associated  
16 with long-term mortality. So, again, another factor  
17 in addition to the lipid improvements which we also  
18 found in the same study. It applied both in patients  
19 who already had angina and those with simple obesity.

20 Now, my patients come to me in clinical  
21 practice, really in two sorts. In the next part of  
22 this presentation, I would like to suggest that some  
23 of the risk factor analyses that we've been  
24 concentrating on today are a little bit remote from  
25 the problems that my patients present to me. If

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 you'll excuse the familiarity, I'm going to describe  
2 two patients.

3           The first one here is a hypothetical Mr.  
4 Johnstone. He's aged 60. He has developed non-  
5 insulin dependent diabetes and is on treatment with  
6 sulfonylurea. He's hypolipidemic, on treatment with  
7 lovastatin. He's hypertensive on enalapril. He also  
8 has arthritis and is on regular analgesics. He has a  
9 body mass index of 36. He's unemployed. He's unhappy  
10 and he's expensive for the health care system. I see  
11 Mr. Johnson as a new patient two or three times every  
12 week, and I think that's a familiar experience for  
13 many of my colleagues.

14           On the next slide, Mr. Johnstone's  
15 daughter, who we'll call Ms. Johnstone is aged 35.  
16 Her body mass index has reached 30 and she's coming  
17 complaining of tiredness, of back pain, of shortness  
18 of breath. But we note, the worrying family has a  
19 history of diabetes, hypertension and coronary heart  
20 disease.

21           Now, when I see a patient like the first  
22 one, Mr. Johnstone, who is already on life-long  
23 therapies for three or four direct consequences of  
24 obesity, I wish I could have done something  
25 constructive at a much earlier stage. There's, of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 course, a lot that I can do for him and I have a  
2 medical responsibility to do it. But I feel that  
3 earlier treatment with an effective agent for patients  
4 like Ms. Johnstone here, who have not yet developed or  
5 required treatment for secondary complications would  
6 be more rewarding, or would ultimately be more cost  
7 effective.

8 The next slide shows the sort of  
9 trajectories of weight change which we see in adults  
10 as they grow older. In some ways, it is similar to  
11 the slide which was shown earlier by Dr. Pi-Sunyer  
12 which was commented on by Dr. Marcus. The patients  
13 I've described are following the trajectory of the top  
14 10 percent. We find that in the UK at least, around  
15 20 percent of our 20 year olds already have a body  
16 mass index which is exceeding 25. That is destined to  
17 follow this high trajectory and they'll run into  
18 symptoms before they run into the more medical, if you  
19 like, complications.

20 Our aim of therapy should be to reduce the  
21 level of trajectory to a lower one. We remember that  
22 less than half of all adults remain within the range  
23 of body mass index which is considered healthy or  
24 normal. I would like to think that if we could treat  
25 Ms. Johnstone when she is at approximately this point,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 we could reduce her body mass index to that of a lower  
2 point. Whether we can continue it steadily or not  
3 I'm not sure. I think instinctively that it is likely  
4 that we would reduce the body mass index, and we would  
5 see it then climb up along a lower trajectory as she  
6 grows older.

7 I'd like to pause for a moment to look in  
8 a bit more detail at these symptoms which, again, to  
9 some extent, are neglected except by clinicians who  
10 see obese patients regularly. The list is very long.  
11 They're very familiar and often attributed to other  
12 diseases rather than being recognized as direct  
13 consequences of obesity itself. They're expensive and  
14 cause a lot of unhappiness in our patients who are  
15 already discriminated and don't like to complain  
16 directly about their symptoms and relate them to their  
17 weight.

18 Without professional help, the treatment  
19 for overweight and obesity are limited in success, and  
20 we heard something about that earlier. Mr. Johnstone  
21 or his daughter have only about a ten percent  
22 likelihood of maintaining a ten percent weight loss  
23 and they're referred to me after they've failed. My  
24 approach to these patients would always be to provide  
25 the very best dietary and behavioral care I can offer,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 including physical activity.

2 Most of my patients can, in fact, lose  
3 five or 10 percent of their weight under this sort of  
4 regimen but the difficulty is in preventing regain in  
5 the long-term and maintaining that weight loss. To  
6 combat that, I would, I believe, be ready to add a  
7 therapeutic trial of a drug such as sibutramine.  
8 Hitherto, I've been unenthusiastic about the use of  
9 very low calorie diets on the basis that weight regain  
10 is usual and the long-term results are generally poor.  
11 But I have been impressed by the data we've seen today  
12 which suggests that it may be possible to maintain  
13 quite rapid weight loss, which is attractive to  
14 patients, by the use of drug therapy.

15 I am very attracted by being able to  
16 identify non-responders to drug therapy as an early  
17 stage. I think that's extremely important partly from  
18 the point of view of efficacy and partly from the  
19 point of view of avoiding unwanted side effects,  
20 particularly from the point of view of blood pressure,  
21 are more apparent in those who do not lose a lot of  
22 weight. I was always taught at medical school that  
23 whenever I start a drug therapy of any kind to any  
24 patient, this should be regarded as a therapeutic  
25 trial, should be evaluated at follow-up, as there are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 non-responders to virtually every drug that we  
2 prescribe. The data we've seen today suggests a very  
3 simple and effective way of ensuring that sibutramine  
4 would not be given to non-responders indefinitely.

5 Now, some of the principles of weight  
6 management I've mentioned are relatively new. In  
7 teaching medical students and doctors, I stress the  
8 need to address our own attitudes towards obesity and  
9 its management. They're summarized on this slide.

10 We need to recognize the symptoms of the  
11 overweight. We need to treat the disease process and  
12 not wait for an end result which might be a body mass  
13 index over 30. We need to start management at an  
14 earlier stage, not necessarily with drug therapy. We  
15 need to treat the underlying cause, which in this case  
16 is overweight, before we treat complications for  
17 waiting for them and there are lots of them. We need  
18 to recognize the medical benefits of five to ten  
19 percent of weight loss. I would add to this slide,  
20 the need to focus on prevention, including both  
21 primary prevention and the secondary prevention of  
22 regain and the maintenance of weight loss.

23 In summary, I feel that the long-term  
24 benefit risk ratio is clearly of benefit and positive  
25 for sibutramine in proper clinical use. The potential

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 side effects are mild and they should be easily  
2 managed. I recognize that they are outweighed by the  
3 achieved weight loss and the concomitant improvement  
4 in risk factors such as lipids. There are other  
5 benefits which haven't been measured.

6 Obesity is a serious disease with serious  
7 consequences. I am happy to have available another  
8 anti-obesity drug for my patients. I will, of course,  
9 observe all the usual cautions and monitoring required  
10 for any new medication as a part of routine good  
11 medical practice. I recognize the need to keep an eye  
12 on the blood pressure, as I would with any other drug,  
13 and that the fall in blood pressure expected with  
14 weight loss -- which I see routinely in weight loss in  
15 other settings -- is blunted in patients on  
16 sibutramine. But I accept that the net benefit of  
17 losing weight outweighs the hazards of a fairly small  
18 elevation in blood pressure. I'm also greatly  
19 reassured by the clinical safety data that there is no  
20 increase in strokes, cerebrovascular accidents on the  
21 sibutramine treated patients.

22 I'm personally not very worried about the  
23 small increase in heart rate. It's not as great as  
24 that which we see when treating patients with other  
25 drugs including such things as salbutamol which we do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 routinely. I would never prescribe sibutramine  
2 without recommending dietary change and physical  
3 activity which would help to lower the resting heart  
4 rate and blood pressure.

5 There remains some interesting questions  
6 about sibutramine which will be addressed in Phase IV  
7 post-marketing trials.

8 I will hand over to Dr. Spigelman to take  
9 over an outlined description of those trials. Thank  
10 you very much.

11 CHAIRMAN BONE: Any immediate questions  
12 from the Committee at this point? No? Thank you.

13 Go ahead, Dr. Spigelman.

14 DR. SPIGELMAN: Thank you, Dr. Lean.

15 What I'd like to now present is the status  
16 of our proposal regarding post-marketing clinical  
17 research with sibutramine.

18 Some months ago, we began to consider what  
19 were the most relevant issues that we felt could be  
20 best addressed in the Phase IV post-marketing setting.  
21 The two issues that really became most prominent in  
22 our thinking on this topic were expanding the safety  
23 database and specifically, in this regard, to  
24 beginning to look at non-surrogate and longer term  
25 endpoints and also beginning to measure what I'll call

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 the real world effectiveness of the compound.  
2 Although the criteria for approvability are based on  
3 showing weight loss and improvement in comorbid  
4 parameters like lipids and blood pressure, I think the  
5 effects on other non-surrogate parameters like  
6 mortality or like morbidity such as myocardial  
7 infarction or stroke, are clearly the ones that many  
8 of us are very interested in.

9 Similarly, it's well known that the  
10 artificial context -- somewhat artificial context  
11 really of a double blind placebo controlled clinical  
12 trial is excellent for answering certain scientific  
13 questions but really doesn't always predict exactly  
14 what will happen when a drug is placed in the real  
15 world setting of clinical practice.

16 In trying to approach these issues, we  
17 really, initially began by looking at three study  
18 designs that we considered scientifically valid and  
19 potentially feasible. Either following a cohort of  
20 patients on sibutramine, doing a classical case  
21 control study, or doing a large simplified clinical  
22 trial. Without going into detail, we concluded that  
23 the large simplified clinical trial, although it  
24 clearly is the most difficult and the most expensive,  
25 would also be the most likely to yield the most

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 valuable and unconfounded information.

2           Why don't we briefly just run through some  
3 of the hallmarks of large simplified clinical trials.  
4 They're usually randomized. By definition they're  
5 large. They are of relatively long duration, require  
6 innovative data collection because of, really, the  
7 sheer size of the trials. They're usually intended to  
8 measure either small important effects or long-term  
9 non-surrogate endpoints. They focus on a few critical  
10 variables rather than the massive data collection  
11 that's usually done for each patient in the Phase III  
12 clinical trial setting. Examples of large simplified  
13 clinical trials include the ISIS beta blocker trial,  
14 the metforman acidosis study, and the physician's  
15 health study of aspirin for MI prevention.

16           Before going further, I just want to take  
17 a minute to thank the FDA, and specifically Dr.  
18 Stadel, who really provided very constructive critique  
19 on our initial proposal.

20           As you're aware, in that regard, there  
21 really are very difficult decisions that have to be  
22 made in the design of any clinical trial. Today, what  
23 I'd like to present is simply our current thinking on  
24 some of the more crucial issues that surround the  
25 proposed Phase IV trial.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           The first issue is that of the comparator  
2 arm. We believe that the most instructive, as well as  
3 probably the most feasible design to do a randomized  
4 trial that would compare sibutramine with what would  
5 be the only other product approved for the long-term  
6 treatment of obesity, that is dexfenfluramine. The  
7 proposed duration of the study is two years. There  
8 would be a relatively simple schema for visit  
9 schedules and follow-up which I will describe in more  
10 detail in the next slide. This would be an open label  
11 trial. Projected accrual at this time is 10,000  
12 patients, 5,000 patients per arm. Endpoints would be  
13 all cause mortality, all cause hospitalization as a  
14 measure of morbidity, CVD mortality, CVD  
15 hospitalization, and we would measure weight loss.  
16 We have done power calculations and with this design  
17 would be able to detect differences between the arms  
18 of 19 CVD deaths or 57 hospitalizations.

19           After randomization and initiation of  
20 therapy, projected follow-up would consist of protocol  
21 mandated week four, eight, 24, 48, 72 and 96  
22 recordings, exposure status, weight, history of  
23 hospitalizations, and death. Every six weeks, there  
24 would also be telephone follow-up that would include  
25 exposure status, weights and hospitalization. For any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 hospitalization or death, medical records would be  
2 obtained and carefully scrutinized.

3 To give you an idea of the magnitude of  
4 the effort that such a study would entail, the  
5 projected requirement to do this is 500 physicians in  
6 order to recruit the required 10,000 patients. There  
7 would be approximately 34,000 office visits included,  
8 120,000 at least completed telephone calls, follow-up  
9 of approximately 800 to 1,000 hospitalizations.  
10 Clearly, as I alluded to earlier, there are many  
11 issues regarding study design that are really judgment  
12 calls and can be subjected to a great deal of  
13 discussion. If sibutramine is approved, it is  
14 certainly understood that the process that we have  
15 undertaken must continue as an iterative one to more  
16 fully consider all the ramifications of the various  
17 possibilities. I just want to emphasize, this is a  
18 work in progress at this time.

19 At this point, I would now like to  
20 conclude by briefly summarizing the morning  
21 presentations on sibutramine. Sibutramine is a novel  
22 pharmacological approach to the treatment of obesity.  
23 The first serotonin, norepinephrine reuptake inhibitor  
24 that has been, to my knowledge, proposed for the  
25 treatment of obesity.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           It produces clinically meaningful weight  
2 loss and weight loss maintenance, both of which meet  
3 the guidelines for weight loss. The data shows  
4 consistent reduction in waist and hip circumference,  
5 which is confirmed by the DEXA data that is present in  
6 your briefing documents that we have not actively  
7 presented today. The expected benefits from weight  
8 loss are seen in the lipid profiles and in glycemic  
9 control and additional benefits of decreased uric  
10 acid.

11           The adverse event profile is predictable  
12 based upon the pharmacology of sibutramine. The  
13 adverse events that have been seen are mild to  
14 moderate in severity and they are self-limited.  
15 Modest increases in mean blood pressure and pulse rate  
16 which, even though they may be clinically important,  
17 are easily measurable and easily measured.

18           The epidemiologic evaluations that have  
19 been performed predict uniformly that the benefit/risk  
20 will remain favorable, not only over the short period  
21 of time of one year that this drug has been studied in  
22 controlled clinical trials, but over longer periods.  
23 The benefit/risk furthermore though can be markedly  
24 enhanced through judicious clinical use which has not  
25 been factored into any of the epidemiologic models.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 The proposed Phase IV large clinical trial will  
2 further expand our knowledge base and do it very  
3 importantly in an actual practice setting.

4 In conclusion, in light of a clear  
5 positive benefit/risk ratio, we would conclude that  
6 sibutramine is safe and effective for the treatment of  
7 obesity. Thank you very much.

8 CHAIRMAN BONE: All right, thank you.

9 Are there specific questions related to  
10 the last presentation?

11 Then what we'll do, obviously, is break  
12 for a shortened lunch break and return for the FDA  
13 presentations after. I don't think we're going to get  
14 through those.

15 Is that right? That's what I thought.

16 Right. Dr. Kreisberg has a question.

17 DR. KREISBERG: I think John was first.

18 CHAIRMAN BONE: Oh, Dr. Flack does.

19 DR. FLACK: Could you tell me which  
20 endpoint you based your sample size on for power  
21 considerations? Also, even though this is a Phase IV,  
22 is there any consideration at all given to a dummy  
23 pill group in the sense that this trial will never let  
24 you know if the treatment is actually better than  
25 doing nothing? It will simply give you the relative

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 difference in benefit on all the endpoints between two  
2 treatments, but both could be worse than doing  
3 nothing, or could be better, or could be the same.

4 DR. SPIGELMAN: Yes. No, those are  
5 excellent questions and those are questions that we've  
6 struggled through, you know, over the past period of  
7 time. The simple one, or relatively straightforward  
8 one is that the numbers that I mentioned to you were  
9 for all cause -- I'm sorry, for CVD and for all cause  
10 mortality. For those endpoints, we would be able to  
11 detect a difference of 19. For all cause  
12 hospitalizations, 57.

13 Now, the answer to the double dummy  
14 technique, or double blinding, et cetera, gets to the  
15 heart of what are we really trying to measure in this  
16 sort of a trial? Is it a trial that is geared toward  
17 seeing what will happen in terms of the effectiveness  
18 of the drug when used in as close as we can come to  
19 the actual clinical practice? Or are we trying to  
20 learn sort of a step removed, more theoretical  
21 questions about what the drug could do but may not  
22 necessarily do when applied to people who really will  
23 get the drug in a more normal clinical practice  
24 setting?

25 At this time, our thinking is that what is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 needed more is to find out what anti-obesity drugs can  
2 really do when they're used by practicing physicians  
3 in as close a setting to the real life situation as  
4 possible. Therefore, our priority is to do this in an  
5 open label randomized, but not double dummy type of  
6 situations that would markedly restrict the real life  
7 extrapability of the results. But obviously, those  
8 are the sorts of issues that need to be honed in and  
9 further defined and thought through before coming up  
10 with a final study design.

11 CHAIRMAN BONE: Thank you.

12 Dr. Kreisberg?

13 DR. KREISBERG: Well, one of my questions  
14 is quite similar to John's. I wonder, can you tell me  
15 how many morbid and mortal events that you would  
16 project for a two year study with a population of the  
17 size that you've calculated, so I can have a frame of  
18 reference to some of the other large studies that look  
19 at similar endpoints?

20 It seems to me that you're going to have  
21 to have a relatively large event rate in order to do  
22 this. I wonder if you really know what the event rate  
23 is going to be?

24 DR. SPIGELMAN: Yes. I'm not sure I can  
25 pull the slides out right now, so I can get them to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 you after the break. The numbers are calculated  
2 primarily from the Nurses Health Study which we felt  
3 was a comparable population in terms of expected  
4 events. I will pull those out and get them for you.

5 CHAIRMAN BONE: Other questions or  
6 comments before we recess?

7 Dr. Illingsworth?

8 DR. ILLINGSWORTH: One question concerns  
9 the need for adequate follow-up. In looking at the  
10 data that's been presented this morning, I'm struck by  
11 the lack of knowledge about what's happened to  
12 patients who drop out. I think you should look at,  
13 say, the 4S trial as the gold standard for clinical  
14 trials where everyone is identified. I compel you to  
15 do this with this kind of trial, too. So far, the  
16 data that's presented from the clinical data shows  
17 that to be lacking in my view.

18 DR. SPIGELMAN: Yes, no question. That is  
19 a sine qua non of the proposed trial. And again, with  
20 help from Dr. Stadel and some advice, death should be  
21 100 percent virtually attainable through the various  
22 techniques that are available to detect death.

23 Telephone follow-ups are made independent  
24 of whether the patient stays on drug or does not  
25 throughout the whole projected two year period of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 time. So, follow-up is intended to be independent of  
2 status on or off drug. We are optimistic that with  
3 that sort of plan, we can get as close as possible to  
4 full follow-up. But that is clearly the intent of  
5 doing this study.

6 CHAIRMAN BONE: Thank you.

7 I will reassure people that the generous  
8 allowance for Committee discussion and question time  
9 in the afternoon will allow us to finish in a timely  
10 manner because we accomplished much of that,  
11 obviously, in the course of following along with the  
12 presentation.

13 It's now 12:03. We, I think, should plan  
14 to reassemble here at 12:45.

15 Is there a problem with that? No? Okay.

16 (Whereupon, the meeting was recessed at  
17 12:03 p.m., to reconvene at 12:45 p.m., this same  
18 day.)

19  
20  
21  
22  
23  
24  
25

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

## A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

12:43 p.m.

CHAIRMAN BONE: Will everyone please take their seats?

The meeting will be in order. The next order of business is the presentations by the Food and Drug Administration. The first FDA presentation will be presented by -- let me see, is Dr. Troendle here to make an introduction?

DR. REEDY: Attention to Committee members who are sitting at the table. I want to call your attention to in your folder, there is the 1997 schedule and the remainder of the 1996 schedule. I want to call your attention to the fact that the meeting that we had scheduled on November 8th has been postponed to November 22nd. In other words, no meeting on November 8th, but there will be one on November 22nd, then again in December, and then next year. Those are all firm issues including the first one in February.

CHAIRMAN BONE: I'm sorry. There's one person we're waiting for.

The introduction to the Agency presentation will be given by Dr. Gloria Troendle. We'll please be in order for that. She'll be followed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 by Drs. Eric Colman, Bruce Stadel, and John Flack.

2 Dr. Troendle is kindly distributing copies  
3 of the current draft guidance document which this  
4 Committee considered and discussed with the Agency  
5 last year. Most of the members of the Committee  
6 participated in that discussion, although we do have  
7 one or two new members.

8 Thank you, Dr. Troendle for that.

9 Obviously, for persons who are interested  
10 in obtaining copies of the draft guidance document,  
11 they can be obtained from the Agency.

12 If we can turn off Dr. Colman's slides  
13 please, we will be able to look at Dr. Troendle's  
14 overheads. Okay.

15 Dr. Troendle?

16 DR. TROENDLE: Hi. A recently updated  
17 version of the Guidance for the Clinical Evaluation of  
18 Weight Control Drugs has been provided for the  
19 information of the Committee. The guidance was  
20 reviewed by this Committee and recommendations for the  
21 Committee were incorporated into it. It requires some  
22 rewriting from time-to-time principally to clarify  
23 issues about which we get questions.

24 The recent revisions were to ensure that  
25 the guidance does not suggest that it consists of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 requirements for drug approval. It is only our  
2 current thinking and is suggestions. I believe that  
3 the basic points remain as they were originally  
4 written and subsequently modified by this Committee.  
5 The important points are as follows, subject to  
6 modification for special situations.

7 (1) The population study should be  
8 representative of the target population for weight  
9 control drugs and usually meet a definition of  
10 moderate to severe obesity.

11 (2) Trials should be of a size and  
12 duration to allow an assessment of the long-term  
13 benefits and risks of drug use because an indication  
14 for long-term use is usually desired. Double blind,  
15 randomized dose-finding and efficacy studies are  
16 generally needed to identify the optimum dose and to  
17 establish efficacy. It is particularly important to  
18 establish the lowest effective dose when the drug will  
19 be used in an otherwise relatively healthy population  
20 such as is true of many obese patients.

21 (3) Weight loss or maintenance of weight  
22 loss should usually be the primary endpoint as  
23 recommended by this Committee. The study of other  
24 endpoints may lead to meaningful indications in  
25 addition to weight control. Such endpoints might be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the prevention of or improvement in diabetes,  
2 hypertension, osteoarthritis or sleep apnea.  
3 Improvement in quality of life or in physical  
4 performance on, say, walking or stair climbing may be  
5 a desirable endpoint. Measurement of obesity  
6 associated cardiovascular risk factors: lipids, blood  
7 pressure and glucose tolerance can readily be done and  
8 may have a place in determining the balance of benefit  
9 versus risk for the drug. If one or more of these  
10 factors deteriorates or is not improved, the risk  
11 associated with this deviation must be considered in  
12 making a benefit to risk decision for the drug.

13 (4) At least two weight loss outcomes are  
14 possible. First, a demonstration that the mean drug  
15 effect is significantly greater than the mean placebo  
16 effect, and that the mean drug associated weight loss  
17 exceeds the mean placebo weight loss by at least five  
18 percent. The second one, demonstration that the  
19 proportion of drug treated patients who lose at least  
20 five percent of their initial body weight is  
21 significantly greater than the percentage of placebo  
22 patients who lose at least five percent of their  
23 initial body weight.

24 The second efficacy demonstration may help  
25 to identify efficacy of drugs that are effective in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 only a portion of obese patients and it reflects our  
2 expectation that obesity may be a disease of diverse  
3 etiologies so that a given drug benefits only subjects  
4 with a particular abnormality. When the efficacy of  
5 any drug is established, benefits of the drug are  
6 compared to risks. Depending on the indication that  
7 is sought, several routes are possible for establishing  
8 efficacy of a drug that is broadly intended for weight  
9 control but all decisions ultimately come down to  
10 whether the population has been identified in whom  
11 benefits outweigh risks.

12 And that's all I'm going to say about the  
13 guidelines. Those are just a few of the points. The  
14 rest of the presentation will be made by Dr. Eric  
15 Colman on the medical aspects, then Dr. Bruce Stadel  
16 on the epidemiologic aspects.

17 CHAIRMAN BONE: Dr. Colman?

18 Thank you, Dr. Troendle.

19 Were there questions from members of the  
20 Committee concerning Dr. Troendle's presentation?

21 Dr. Marcus appears to have a question.

22 DR. MARCUS: I would just like to submit  
23 that I think a stronger statement about ethnic  
24 diversity should be inserted. You can not get an NIH  
25 grant involving a human study. You can not even, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 theory, get a clinical trial through an institutional  
2 review board now without paying attention to that  
3 issue. I mean, I fully understand that the studies  
4 we're hearing today came out prior to the initial  
5 guideline. But I think for the future, it would be  
6 very important particularly for a disease like obesity  
7 where, clearly, any drug action that we take over the  
8 next few months on this drug are going to be applied  
9 to Hispanic and Black populations -- that is,  
10 populations who are said uniquely to have a pre-  
11 disposition towards it. I think we need to have  
12 adequate data in those ethnic groups for sure.

13 DR. TROENDLE: Right. Yes, we do mention  
14 a couple of times in the guidance that minorities,  
15 Blacks and Hispanics in particular, and both males and  
16 females should be studied. But we put it very mildly,  
17 it is desirable to have that.

18 DR. MARCUS: I am requesting that you make  
19 it a more stringent --

20 DR. TROENDLE: So that it's required.

21 CHAIRMAN BONE: Thank you.

22 Are there specific questions related to  
23 today's discussion from Dr. Troendle? No?

24 Thank you.

25 Dr. Colman?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 DR. COLMAN: Good afternoon. My  
2 discussion is going to focus on two main topics. The  
3 first is efficacy. I'm going to briefly discuss the  
4 results from the one year pivotal study, SB 1047. I  
5 will not comment on the second primary or pivotal  
6 study, BP 852. You heard the results from the  
7 sponsor earlier today. I'd like to spend the bulk of  
8 my time discussing aspects of the risk/benefit  
9 analysis and in particular, the effects of the drug on  
10 blood pressure and lipid levels and spend a little bit  
11 of time discussing two studies that looked at the  
12 effects of the drug in patients with Type II diabetes.

13 As for efficacy, once again, SB 1047 was  
14 a one year study. It involved 485 patients. These  
15 patients were randomized to one of three arms, either  
16 10 or 15 milligrams a day of sibutramine or to  
17 placebo. The baseline characteristics of the three  
18 groups were well matched. The mean age was 42 years.  
19 They were primarily female and nearly all Caucasian  
20 and the mean VMI was 33 kilograms per meter squared.

21 This next slide illustrates the percent  
22 weight loss from baseline for subjects who completed  
23 the one year study. Just to give you an idea of how  
24 many people completed the study, roughly 50 percent of  
25 the placebo patients and 50 percent of the ten

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 milligram patients completed the one year study.  
2 Fifty-nine percent of the subjects in the 15 milligram  
3 group completed the one year study.

4 As you can see, there was significantly  
5 more -- or greater weight loss in the two drug treated  
6 groups versus placebo. At month 12, the differences  
7 between these two groups versus placebo was  
8 statistically significant. However, it's interesting  
9 to note that at month 12, there were no significant  
10 differences in weight loss between these two groups.

11 Now, in addition to looking at percent  
12 weight loss as a gauge of efficacy, one can look at  
13 the number of individuals who lose at least five  
14 percent of baseline weight. This has been called the  
15 responder analysis, or five percent responder  
16 analysis. The next slide illustrates these data. As  
17 you can see, 20 percent of placebo patients lost at  
18 least five percent of initial body weight. Thirty-  
19 nine percent of the subjects in the ten milligram  
20 group lost at least five percent of body weight, and  
21 57 percent of subjects in the 15 milligram group met  
22 that criteria. Again, the two drug treated groups,  
23 one compared to placebo, was statistically  
24 significantly different.

25 Thus, to quickly summarize the efficacy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 from this one year study, for subjects who completed  
2 the one year study, the 15 milligram dose resulted in  
3 a percent weight loss that was five percent greater  
4 than placebo. Regarding the responder analysis,  
5 compared to placebo, a significantly larger proportion  
6 of subjects who took 10 or 15 milligrams a day of  
7 sibutramine lost at least five percent of initial body  
8 weight.

9 Now, at this point, I'd like to move from  
10 efficacy and discuss risk/benefit. We've heard this  
11 morning some talk about blood pressure. I think this  
12 is the critical component with sibutramine. I'll  
13 spend a fair amount of time discussing blood pressure.  
14 I'll also discuss some aspects of lipids -- again, two  
15 studies that looked at the effects of the drug in  
16 patients with Type II diabetes.

17 There's a massive amount of data in this  
18 NDA regarding blood pressure and innumerable number of  
19 ways to look at blood pressure data. When I was  
20 looking at the data, I thought three questions would  
21 be reasonable to keep in mind and try to answer. The  
22 first question is does sibutramine increase mean blood  
23 pressure? The second question, does sibutramine lead  
24 to large increases in blood pressure in a subset of  
25 patients? The third question was, does sibutramine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 alter the 24 hour diurnal variation in blood pressure?

2 Now, as far as the first question is  
3 concerned, the sponsor has stated sibutramine does  
4 increase mean systolic and diastolic blood pressure by  
5 approximately two to three millimeters of mercury  
6 relative to placebo. I'm not going to show any data  
7 to support that. I think it's a fair assessment and  
8 I will simply leave this question here.

9 The second question, does sibutramine lead  
10 to large increases in blood pressure in a subset of  
11 patients? That's a little more difficult question to  
12 answer, however, this slide represents data from all  
13 placebo controlled studies with patients who had  
14 uncomplicated obesity. Again, this involves all doses  
15 of sibutramine. What is shown is the number of  
16 patients who had an increase in resting blood pressure  
17 that was at least 30 percent greater than their  
18 baseline measurement at some point during treatment.

19 If we look at systolic blood pressure, 6.5  
20 percent sibutramine treated patients had a significant  
21 increase in blood pressure at some point during  
22 treatment. This is in contrast to only 2.5 of placebo  
23 patients. Again, with diastolic blood pressure, 7.4  
24 percent of patients on sibutramine had a significant  
25 increase from baseline in their blood pressure at some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 point during treatment. Again, this is in contrast to  
2 only 1.9 percent of placebo patients. These p values  
3 indicate that these differences are unlikely to be due  
4 to chance.

5 Now, the next slide may be difficult to  
6 read, but I think it's an important slide. I'll walk  
7 you through it. These are data from the one year  
8 study, SB 1047 that I reviewed a minute ago. Again,  
9 recall that these subjects were on either 10 or 15  
10 milligrams a day of sibutramine or placebo. This is  
11 a scatter plot of month 12 data. These are actual  
12 data points at the last month of the study. What is  
13 shown along the Y-axis is a change in body weight in  
14 kilograms. This represents a reduction from baseline  
15 body weight. Along the X-axis is the change in  
16 systolic blood pressure from baseline. Again, this  
17 direction is an increase from baseline and this is a  
18 reduction from baseline.

19 Now, the placebo subjects -- hard to make  
20 out, are shown by crosses here. The stars represent  
21 sibutramine treated patients.

22 CHAIRMAN BONE: We're going to ask for a  
23 budget increase so we can have a color slide next  
24 time.

25 DR. COLMAN: I'm going to help you out.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20006

1 I'm going to direct your attention just to this  
2 quadrant. It's a little easier. People who fell into  
3 this quadrant lost at least five kilograms of body  
4 weight or more. Yet, at the same time, they had an  
5 increase in systolic blood pressure of greater than  
6 ten millimeters. Now, once again, I'll test your  
7 visual acuity. There's only one placebo subject in  
8 this quadrant. This individual represents roughly 1.4  
9 percent of all placebo patients on the graph. The 20  
10 or so sibutramine patients represent 12 percent of all  
11 sibutramine subjects on this graph. If you want to be  
12 statistically proper -- we did do statistics --  
13 comparing 12 percent versus 1.4 was statistically  
14 significant. P equaled .006.

15 Now, this was a concern to me. These are  
16 individuals who have gone through a year of treatment  
17 on 10 or 15 milligrams. They've had a significant  
18 reduction in weight, anywhere from five kilograms all  
19 the way up to over 20. Yet, at the same time, they've  
20 had substantial increases from baseline in systolic  
21 blood pressure, 20 millimeters here, 25, et cetera.  
22 Again, these represent single measurements, but this  
23 is somewhat worrisome. A clinical question is can you  
24 effectively and easily and early-on in treatment  
25 screen these individuals out so that you don't expose

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 someone to potentially a year of blood pressures in  
2 this range? But I think these data, the data on the  
3 previous slide, suggests that there is a subgroup of  
4 patients who have a substantial increase in blood  
5 pressure and that is of concern.

6 Now, back to our favorite study. The  
7 third question I asked was does sibutramine affect the  
8 diurnal variation in blood pressure? This question  
9 may be answered, to some extent, in this study BPI  
10 855. This was a small study. It was eight weeks. It  
11 involved 20 patients with a history of hypertension  
12 and they were controlled on a single, anti-  
13 hypertensive. Most were on a diuretic. Half the  
14 subjects received 20 milligrams a day of sibutramine,  
15 half received placebo. In addition to having a  
16 manually measured or cuffed measured blood pressure,  
17 they had 24 hour ambulatory blood pressure monitoring.

18 I should also mention that part of the  
19 protocol specified that weight loss be minimized.  
20 They actually had dieticians instructing the patients  
21 to eat enough so that the weight loss was minimized.  
22 The idea behind that was to try to isolate the effect  
23 of the drug and not have the confounding effect of  
24 weight loss.

25 Now, again, we heard this earlier. Aside

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 from the difference in the gender distribution, the  
2 two groups were fairly well matched to baseline. The  
3 mean age was 50 years. Half the subjects were  
4 African-American. This was a bit different from the  
5 standard studies we've heard about. It did not  
6 include this number of African-Americans. As far as  
7 weight loss, this slide illustrates a change in body  
8 weight from baseline to week eight. Again, as  
9 specified in the protocol, there was a small amount of  
10 weight loss in the sibutramine group. This was not  
11 statistically significantly different from placebo.

12 Now, this slide represents the baseline  
13 values, or day zero values prior to drug  
14 administration for 24 hour ambulatory diastolic blood  
15 pressure. At this point, let me explain how these  
16 numbers were derived. From the hours of 6:00 a.m. to  
17 10:00 p.m., during the daytime blood pressure was  
18 taken every 15 minutes. From the hours of 10:00 p.m.  
19 to 6:00 a.m., the nocturnal readings, a pressure was  
20 taken every 30 minutes. From those values, hourly  
21 means were calculated and then a single mean was  
22 calculated for the daytime value and a single value  
23 for the nocturnal time period. What you can see here  
24 is that both groups at baseline, prior to drug  
25 administration, had the expected nocturnal reduction

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 in blood pressure: 86 to 80, 84 to 73. Again, you  
2 could argue that these two groups weren't matched  
3 ideally and statistically that it wasn't significant.  
4 But again, these were not perfectly matched.

5 Now, following this procedure they were  
6 randomized to drug or placebo and the patients had  
7 repeat ambulatory measurements on day three and at the  
8 end of week four and the end of week eight. I'd like  
9 to show you the results on the next slide. Again,  
10 these represent the mean change from baseline in  
11 ambulatory diastolic blood pressure.

12 I'd like to direct your attention to the  
13 nocturnal readings in yellow. You can see as early as  
14 day three, the placebo group had a reduction from  
15 baseline in nocturnal blood pressure, while the  
16 sibutramine group had an increase of one millimeter.  
17 This was significant. This pattern becomes more  
18 exaggerated as time goes on. At week four, the  
19 placebo group has a 12 millimeter reduction in blood  
20 pressure while the sibutramine group has a four  
21 millimeter increase from baseline. Again,  
22 significant. The same pattern was seen at week eight.

23 Now, this overall pattern was mimicked  
24 with mean arterial pressure. It was not seen with  
25 systolic blood pressure. And as the sponsor

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 mentioned, the manually measured blood pressures or  
2 the cuffed blood pressures were not significantly  
3 different between the two groups. So, there was a  
4 discrepancy.

5 Conclusions from this study: 24 hour  
6 ambulatory blood pressure monitoring indicated  
7 clinically significant increases in blood pressure  
8 associated with the use of 20 milligrams a day of  
9 sibutramine compared to placebo. In addition,  
10 sibutramine eliminated the expected nocturnal  
11 reduction in blood pressure. In some sense, it  
12 reversed it.

13 So, if I were to summarize the effects of  
14 sibutramine on blood pressure, I would say that  
15 sibutramine increases mean systolic and diastolic  
16 blood pressure by two to three millimeters relative to  
17 placebo. I think we all agree on that. I've shown  
18 some data that indicates sibutramine does, indeed,  
19 induce large increases in blood pressure in a subset  
20 of patients. This is worrisome. We need to be able  
21 to screen these people adequately to lower their risk.  
22 The final study suggests that sibutramine may  
23 eliminate the expected nocturnal reduction in blood  
24 pressure. Again, we've heard about the problems with  
25 the technology and so forth. It was a small study and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 I certainly agree that you can not make any definitive  
2 comments about this but it does raise some questions.

3 Now, at this point, I'd like to move on to  
4 lipids. There were a lot of studies in this NDA that  
5 measured lipids, however, they were not primary or  
6 secondary or even tertiary objectives. There was only  
7 one study, one study that had as its primary  
8 objective, to measure lipid levels following  
9 sibutramine treatment in patients with  
10 hypercholesterolemia. This is important to keep in  
11 mind. This is the only study that was prospectively  
12 designed to look at lipids.

13 I'm going to review this study. This was  
14 a 16 week study conducted in Spain. It involved 182  
15 patients. Half the patients received ten milligrams  
16 a day of sibutramine and half received placebo. The  
17 entry criteria included a total cholesterol of 200 to  
18 300 and/or a TG level of 200 to 400. Now again, both  
19 groups were well matched for baseline characteristics.  
20 The mean age was 46. They were primarily female, all  
21 Caucasian. They were quite heavy -- mean BMI was 35  
22 kilograms per meter squared. This next slide  
23 illustrates the baseline lipid levels. This  
24 demonstrates that, indeed, the two groups had  
25 comparable baseline lipid values. They might be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 considered to have mild to moderate  
2 hypercholesterolemia.

3 Now, as far as weight loss, this slide  
4 illustrates the change from baseline to week 16. As  
5 you can see, there was an impressive weight loss in  
6 the sibutramine group. A mean weight loss of over  
7 eight kilograms or nearly 18 pounds. The placebo  
8 group lost 5.7 kilograms. The difference between the  
9 two was statistically significant.

10 How did this weight loss translate into  
11 lipid effects? This slide illustrates the mean  
12 changes in lipids, mean values with standard  
13 deviations in parentheses. Again, despite greater  
14 weight loss in the sibutramine treated patients, there  
15 were no significant differences between any of the  
16 lipid parameters. It's also interesting to note that  
17 despite a mean weight loss of nearly 18 pounds, the  
18 mean HDL level didn't budge.

19 In conclusion from this study, despite  
20 greater weight loss, obese hypercholesterolemic  
21 patients treated with 10 milligrams a day of  
22 sibutramine had no significant improvements in lipid  
23 levels when compared to subjects treated with placebo.

24 Now, again, the lipid question has become  
25 somewhat cloudy. I mentioned that a lot of studies in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the NDA had measured lipids. They weren't primary or  
2 secondary objectives of the study. Some post hoc  
3 analyses have been done. I've listed here on the left  
4 side, the studies in which a favorable or significant  
5 improvement in lipids were noted in the sibutramine  
6 group. On this side, there are studies where no  
7 significant improvement was noted. Again, these  
8 studies are very heterogenous. They range anywhere  
9 from eight to 12 weeks up to one year. Some have  
10 multiple doses. Some were done in the US. Some were  
11 done in the UK. One was done in Spain. It's  
12 difficult to make a general conclusion from the  
13 variety of data.

14 In any event, the two largest studies, the  
15 two primary or pivotal studies, BPI 852 and SB 1047 --  
16 I think it's important to show you the actual lipid  
17 data from these two studies. Again, this was a six  
18 month dose ranging study. This shows the mean percent  
19 change from baseline to month six in mean lipid  
20 parameters. Now, the sponsor has mentioned they are  
21 going to drop the 30 milligram dose. When you do  
22 that, you see some sporadic improvements in some of  
23 the lipid parameters, primarily triglyceride. You  
24 don't see a dose response here. There was a dose  
25 response with body weight, but there doesn't appear to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 be a dose response with lipids. It's also interesting  
2 to note that LDL, numerically it looked as though the  
3 drug was beneficial. But statistically, nothing  
4 showed up for LDL.

5 Now, turning to SB 1047, again, this was  
6 a year long study. This study only measured total  
7 cholesterol in TG. HDL and LDL were not measured.  
8 It's interesting to note that in this study, total  
9 cholesterol actually increased from baseline in all  
10 three groups. TG was reduced in all three groups.  
11 None of these differences were statistically  
12 significant.

13 Now we heard the sponsor present a meta-  
14 analysis of the lipid data. Unfortunately, I can't  
15 comment on that meta-analysis simply because no  
16 details of that study have been submitted to the  
17 Agency for review. So, I am left reviewing the data  
18 in the NDA. Simply my conclusion from looking at the  
19 data is the data are inconsistent regarding lipids.  
20 I think I've shown that.

21 Now, finally, I'd like to finish up with  
22 a brief discussion of two studies that studied the  
23 effect of sibutramine in patients with non-insulin  
24 dependent diabetes.

25 This was a small study, a pilot study. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 guess we didn't hear about this study. This is a 13  
2 week study. It involved 18 obese patients with Type  
3 II diabetes. It had somewhat of an interesting study  
4 design. For the first four days, 12 patients were  
5 randomized to 30 milligrams a day of sibutramine and  
6 then placed on 20 milligrams a day for the remaining  
7 12 weeks. Six patients were on placebo for the entire  
8 13 weeks. Now, aside from the placebo group having a  
9 higher fasting c-peptide level -- which was 40  
10 nanograms per ml versus 24 in the sibutramine group --  
11 both groups were fairly well matched for baseline  
12 characteristics.

13 To save time, I'm just going to show you  
14 the results of the study in one slide. This shows the  
15 mean change from baseline in body weight, hemoglobin  
16 A1C, fasting glucose, and the two hour glucose  
17 concentration after an all glucose tolerance test.  
18 There was a modest mean reduction in body weight in  
19 the sibutramine group. This was not significantly  
20 different from the placebo weight loss. As you can  
21 see, there were no significant improvements in any of  
22 the metabolic parameters. Not only were these  
23 differences not significant when compared to placebo,  
24 but within group comparisons, were also non-  
25 significant.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1                   Now, the larger study was a 12 week study.  
2                   It involved 91 patients. Half were randomized to 15  
3                   milligrams a day on sibutramine, half to placebo.  
4                   Again, these groups were fairly well matched to  
5                   baseline. In the interest of time, I'll show you the  
6                   results. Again, this shows the mean change from  
7                   baseline to the end of the study in body weight,  
8                   hemoglobin A1C, fasting glucose. This represents the  
9                   change in the glucose area under the curve following  
10                  a test meal. The test meal was a standard breakfast,  
11                  520 kcals. This is the change in fasting insulin and  
12                  the change in the insulin area under the curve  
13                  following a test meal. Once again, there's a modest  
14                  reduction in body weight in the sibutramine group. In  
15                  this case, it was statistically significant. Yet  
16                  again, there were no significant improvements in any  
17                  of the measures of glycemic control.

18                  To conclude, the treatment of obese  
19                  diabetic patients with sibutramine had minimal effect  
20                  on body weight and no significant effect on glycemic  
21                  control.

22                  As an overall summary, I have two slides  
23                  to conclude with. Regarding efficacy, the five  
24                  percent responder analysis: compared to placebo, a  
25                  significantly larger proportion of subjects who took

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 five through 30 milligrams a day of sibutramine lost  
2 at least five percent of initial body weight. In  
3 general, there's consistent evidence that sibutramine  
4 has a pressor effect. More importantly, it appears  
5 that this pressor effect is independent of the change  
6 in body weight. Also, in general, there's a lack of  
7 consistent evidence that sibutramine improves lipid  
8 levels. Finally, there's no evidence that sibutramine  
9 significantly improved glycemic control on patients  
10 with Type II diabetes.

11 Thank you.

12 CHAIRMAN BONE: Thank you, Dr. Colman.

13 Are there any questions now from the  
14 Committee to Dr. Colman concerning his presentation?

15 Dr. New?

16 DR. NEW: Dr. Colman, how do you know that  
17 in the illegible graph that had the quadrants -- how  
18 did you know that in the right lower quadrant, those  
19 who had lost weight but yet were hypertensive, how did  
20 you know that they were different individuals?

21 DR. COLMAN: How did I know they were  
22 different individuals?

23 DR. NEW: How do you know they weren't the  
24 same person? Since you said they are single blood  
25 pressure measurements, how do you know that they're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 different people?

2 DR. COLMAN: Because it only represented  
3 the people --

4 DR. NEW: Once?

5 DR. COLMAN: -- once, yes.

6 DR. NEW: In other words, the data as  
7 submitted was by individual?

8 DR. COLMAN: Yes. There was a plot for  
9 each individual, the change in their body weight  
10 versus the change in their blood pressure. They came  
11 out as one point.

12 DR. NEW: So, what point did you select to  
13 plot?

14 DR. COLMAN: Well, we took the arbitrary  
15 -- we made two measurements. We said if someone lost  
16 five kilograms of body weight, we consider that a  
17 reasonable amount of weight. We decided that ten  
18 millimeters of blood pressure was significant  
19 clinically, and that's how we came up with that  
20 quadrant. Simply to illustrate that there appears to  
21 be a subset of patients who lose weight on the drug,  
22 yet have a substantial increase in blood pressure.

23 DR. NEW: But supposing I asked you what  
24 was the blood pressure of those who lost significant  
25 amounts of weight at night? Because there is an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 ambulatory record which you showed.

2 DR. COLMAN: Yes.

3 DR. NEW: So, could you have plotted a  
4 different hour of the day? Because these are single  
5 individual blood pressure measurements. How about if  
6 you plotted the mean blood pressure for those people?

7 DR. COLMAN: Well, we could ask the  
8 company to do ambulatory blood pressure monitoring in  
9 1,000 patients, but I don't think they'd probably go  
10 for that.

11 DR. NEW: Okay. Could you have given me  
12 a mean blood pressure of those patients in that right  
13 lower quadrant?

14 DR. COLMAN: A mean blood pressure?

15 DR. NEW: Rather than an individual blood  
16 pressure.

17 DR. COLMAN: A mean of over what time  
18 period?

19 DR. NEW: Twenty days.

20 DR. COLMAN: Oh, I'm sure we could do all  
21 those things. I don't have the data set to do it.  
22 And again, my point was to show that there clearly is  
23 a subset of patients who lose a substantial amount of  
24 weight, yet at that time point, they had an increase  
25 in blood pressure.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. NEW: And what confidence have you  
2 that that time point represents the blood pressure of  
3 that person, in general, on this drug?

4 DR. COLMAN: Well, also, the point is that  
5 there was only one placebo patient in that quadrant  
6 and there were 20 or so sibutramine. So, there's an  
7 issue of comparing groups.

8 CHAIRMAN BONE: Those were the endpoint  
9 changes in blood pressure and endpoint changes in  
10 weight, is that correct?

11 DR. COLMAN: Not endpoint, over month 12.

12 CHAIRMAN BONE: I'm sorry.

13 DR. COLMAN: Endpoint, I would --

14 CHAIRMAN BONE: So, it was only for  
15 completers?

16 DR. COLMAN: Right.

17 CHAIRMAN BONE: So, do I understand  
18 correctly for the benefit of everyone, that that  
19 analysis was performed on subjects who completed the  
20 full 12 weeks --

21 DR. COLMAN: Months.

22 CHAIRMAN BONE: Twelve months, I'm sorry.  
23 Pardon me, 12 months. Excuse me. Completed the full  
24 12 months, graphing the change between baseline and 12  
25 months in body weight and the change between the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 baseline measurement and the 12 month visit  
2 measurement in blood pressure?

3 DR. NEW: So, it was the 12 month visit?

4 CHAIRMAN BONE: Yes.

5 DR. SHERWIN: But what's important is it  
6 doesn't include those people who were withdrawn  
7 because of hypertension. Is that correct?

8 DR. COLMAN: Very few people were  
9 withdrawn from that study for hypertension is my  
10 belief.

11 DR. SHERWIN: Okay. Do you have the  
12 numbers on that?

13 DR. COLMAN: I don't. The sponsor might  
14 want to address that.

15 CHAIRMAN BONE: Perhaps somebody can be  
16 checking that unless they have an immediate answer.

17 DR. SPIGELMAN: Well, the incidence --  
18 that was one of the protocols in Dr. Seaton's curve in  
19 which there was no mandatory discontinuation. The  
20 discontinuation in that whole population, as I  
21 remember it, was in the order of about .5 percent,  
22 much less than one percent. So, I can't tell you for  
23 that one study specifically.

24 Okay, nobody was withdrawn for high blood  
25 pressure in that study.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 CHAIRMAN BONE: Thank you very much.

2 I believe Dr. Flack and then Dr. Kreisberg  
3 have questions.

4 DR. FLACK: I think another important  
5 point about that right lower quadrant is that those  
6 were people who had unfavorable blood pressure changes  
7 and lost a fair amount of weight, but it really  
8 underestimates what happens in reality because  
9 everybody is not going to lose a fair amount of  
10 weight. That's really sort of taking the paradoxical  
11 smaller group and putting it together which is, I  
12 think, what that slide is showing.

13 CHAIRMAN BONE: Dr. Kreisberg?

14 DR. KREISBERG: Well, that was, I think,  
15 my point as well. I would be interested in people in  
16 the right upper quadrant because those are people who  
17 had blood pressure that went up but didn't lose  
18 weight. That looked to be a pretty heavy quadrant as  
19 well, is that not right?

20 DR. COLMAN: Right, yes.

21 DR. KREISBERG: So, these are people that  
22 were maintained on the study for the 12 months and  
23 actually were not getting any benefit from weight  
24 reduction but were presumably deriving some  
25 detrimental effect from an increase in their blood

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 pressure?

2 CHAIRMAN BONE: Dr. Critchlow?

3 DR. CRITCHLOW: Do you know if blood  
4 pressure tended to increase right away and plateau, or  
5 did it steadily go up among those whose blood pressure  
6 increased?

7 DR. COLMAN: Specifically with this data,  
8 I don't know. My impression from the NDA is that --  
9 well, first of all, I don't think the blood pressure  
10 has been well characterized as far as questions like  
11 this. In general, I've seen statements from the NDA  
12 such as the peak blood pressure effect may not be seen  
13 for six to eight weeks. At that point, it may  
14 plateau.

15 CHAIRMAN BONE: Other questions or  
16 comments?

17 Dr. Sherwin?

18 DR. SHERWIN: I just want to get it  
19 straight in terms of the right upper quadrant. I  
20 couldn't see -- you didn't point out stars and --

21 DR. COLMAN: Do you want to go back to  
22 that?

23 DR. SHERWIN: Well, in other words, stars  
24 versus whatever they were, crosses?

25 DR. COLMAN: I don't know what number

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 slide that is.

2 DR. SHERWIN: Because it looked like both  
3 groups were in that quadrant. I just don't know --

4 DR. COLMAN: Keep in mind, again, another  
5 way to look at this is to look just simply at who  
6 increased, who decreased from zero. You know, the  
7 zero here and then zero here. We thought it was more  
8 clinically relevant to pick a point where people would  
9 be attracted to the drug because they did lose at  
10 least five kilograms. That's over ten pounds. Then  
11 we arbitrarily chose ten millimeters as saying this is  
12 significant. Some people may argue this is not  
13 significant. And again, it's only a single  
14 measurement.

15 The point is, there's only one placebo  
16 patient here and there are roughly 20. Quite a  
17 difference in the proportion. But you're right,  
18 people are scattered all over the place. Again, that  
19 gets back to the point that there doesn't appear to be  
20 a correlation between the change in body weight and  
21 the change in blood pressure.

22 DR. SHERWIN: Were these evenly divided  
23 groups? I can't tell from this. There were more  
24 people on drug originally?

25 DR. COLMAN: Well, yes, there were --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 DR. SHERWIN: You don't want to skew it  
2 too much.

3 DR. COLMAN: No. There were roughly 166  
4 sibutramine patients who completed and approximately  
5 71 placebo. But again, if you look at the proportion,  
6 it was significantly different.

7 CHAIRMAN BONE: Thank you.

8 Dr. Colman, one of the questions I asked  
9 earlier based on some of the tables that were prepared  
10 for us had to do with what the estimated magnitude of  
11 this increase in blood pressure might be for patients  
12 on doses that are likely to be clinically effective.  
13 You said that, particularly with regard to the study  
14 1047, you thought the estimate of two to three  
15 millimeters was a realistic estimate. That does fit  
16 with the -- I think it's the 15 milligram dose in that  
17 study.

18 DR. COLMAN: Yes.

19 CHAIRMAN BONE: But looking at the other  
20 large study 582 -- I mentioned earlier that looking at  
21 the table, it looked as though to me, the magnitude of  
22 the increase in blood pressure was somewhat greater  
23 for those doses that were likely to be clinically  
24 effective.

25 DR. COLMAN: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 CHAIRMAN BONE: And it seemed to be higher  
2 yet if anything with chronic exposure -- which gets to  
3 this question about acuity of the effect. Was your  
4 comment about the two to three millimeter estimate  
5 pertinent only to the 1047 study or to taking all the  
6 data together?

7 DR. COLMAN: That is an overall estimate  
8 taking all the data altogether.

9 CHAIRMAN BONE: Are you confining that to  
10 the doses of 10, 15, and 20 milligrams, or to what  
11 extent is that influenced by the one and five  
12 milligram doses?

13 DR. COLMAN: No, and again -- yes, again,  
14 this would probably be better addressed by the sponsor  
15 because the sponsor has -- actually, that's a quote  
16 from the sponsor and I tended to agree with it. I  
17 don't know all the specifics of it and they might be  
18 able to better address that.

19 CHAIRMAN BONE: Well, all right. We've  
20 heard the sponsor's description, so I guess we're just  
21 agreeing with it, or not disputing it.

22 Are there any additional questions or  
23 comments concerning Dr. Colman's presentation from the  
24 Committee?

25 Thank you, Dr. Colman.

1 I guess the next speaker will be Dr. Bruce  
2 Stadel.

3 DR. STADEL: I got drawn into this in  
4 early August because some of the discussions about  
5 possible effects of blood pressure were brought  
6 forward in the forms of epidemiologic models and I was  
7 asked to look at those. Then this has progressed.  
8 So, I tried to put together some of the information on  
9 the big picture of where we are with appetite  
10 suppressive drugs because I think it does have some  
11 relevance to some of the issues for this drug in  
12 particular.

13 These figures are from IMS America which  
14 is a database used by industry and by the Agency for  
15 measuring drug use nationally. The left-hand column  
16 shows numbers of prescriptions and the right-hand  
17 column is a demographics column drawn from a file that  
18 asks physicians about patients they've seen and what  
19 drugs have been discussed and so on.

20 I put up the years that I have because the  
21 current episode of interest in anti-suppressant drugs  
22 really got its impetus in 1992, the publication of the  
23 paper by Weintraub and colleagues on long-term weight  
24 control NHLBI had sponsored. There were 62 patients  
25 on drug in that study. It was a long study, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 small. Sixty-two patients on study and 59 originally  
2 randomized to placebo. This was on a combined  
3 fenfluramine/phenteramine regimen. Now, that was in  
4 1992.

5 Well, you can see that what's happened  
6 here with the prescribing of the two drugs that are  
7 used in that regimen for phenteramine -- there's lots  
8 of brands. But it has gone from two million  
9 prescriptions written in 1992 to just under 10  
10 projected for this year on the basis of the first few  
11 months' return. And for fenfluramine from 69,000 up  
12 to 6.3 million prescribed. Now, these aren't always  
13 used together but I think they probably are a lot even  
14 though there really isn't a marketed and labeled  
15 regimen of that kind. But I wanted to show this  
16 because I think it provides some background for what's  
17 happening in the world of appetite suppressant drugs.  
18 Then, of course, in April of this year, the redux  
19 dexfenfluramine was approved. We anticipate -- I  
20 mean, my expectation is that it will supplant  
21 fenfluramine which is only one brand, pondimin, and  
22 continue rapid growth.

23 I have some personal opinions about this  
24 growth and what I've been able to learn about the  
25 appetite suppressant market and that is that I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005