

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR FOOD SAFETY AND APPLIED NUTRITION

FOOD BIOTECHNOLOGY SUBCOMMITTEE (FBS)
OF THE
FOOD ADVISORY COMMITTEE

Wednesday, August 14, 2002

8:30 a.m.

Harvey W. Wiley Federal Building
5100 Paint Branch Parkway
College Park, Maryland 20740

PARTICIPANTS

Edward N. Brandt, Jr., M.D., Ph.D., Acting Chair
Dr. Margaret Cole

MEMBERS

Fred McDaniel Atkins, M.D.
James Astwood, Ph.D., Industry Special Liaison
Bob B. Buchanan, Ph.D.
Francis Fredrick Busta, Ph.D.
Anne E. Kapuscinski, Ph.D.

TEMPORARY VOTING MEMBERS

Jonathan Arias, Ph.D.
Douglas Gurian-Sherman, Ph.D.
Samuel Lehrer, Ph.D.

C O N T E N T S

Call to Order	
Dr. Edward Brandt	4
FDA Food Biotechnology Update	
Dr. James Maryanski	4
Questions of Clarification	21
Public Comment	
Dr. Sue MacIntosh	35
Dr. Michael Hansen	46
Dr. Gary Bannon	55
Mr. Bill Freese	65
Summary	
Dr. James Maryanski	71
Questions and Discussion	74

1 P R O C E E D I N G S

2 Call to Order

3 DR. BRANDT: Members of the Subcommittee,
4 you have on your table copies of the slides from
5 the presentations yesterday, as well as copies of
6 slides from two of the presenters today.

7 I will remind the four public speakers
8 that we are going to begin at 9:45 with Ms.
9 Macintosh followed by Michael Hansen, followed by
10 Gary Bannon, followed by Bill Freese. I would
11 remind all of them they have 10 minutes, period, at
12 which point I bang on the gavel and the trap door
13 opens and you wind up in contaminated food.

14 DR. LEHRER: Is there any opportunity to
15 ask questions or not?

16 DR. BRANDT: No. If you are going to ask
17 questions, we have a break right afterwards. You
18 can talk to them during the break.

19 Dr. Jones is apparently ill and isn't
20 here, and so she is being more or less replaced by
21 the inimitable Dr. Maryanski, whom I am told will
22 be very brief.

23 FDA Food Biotechnology Update

24 Dr. James Maryanski

25 DR. MARYANSKI: Good morning, Mr.

1 Chairman.

2 Yes, Dr. Jones cannot be here today, so we
3 are going to do a slight modification of her
4 presentation, but I think we will still cover the
5 issues for you and give you plenty of food for
6 thought, so to speak.

7 I am going to do two things this morning
8 in a briefer amount of time than was scheduled for
9 this presentation. One, I am going to spend just a
10 few minutes giving you a little background on some
11 of the recent events and things that are happening
12 now relative to biotechnology at FDA.

13 This is just part of our attempt to give
14 you background information to help you understand
15 who we are, what we are doing.

16 [Slide.]

17 There have been several activities
18 recently, some of which are completed, one of which
19 is completed, others are just beginning, so we
20 thought that it would be useful to tell you about
21 some of these very briefly, not going into any
22 detail, but the idea is to let you know about these
23 activities because it is quite likely that there
24 will be aspects of some of these activities that we
25 will want to discuss with the subcommittee at some

1 future time.

2 The General Accounting Office, GAO, did a
3 study on FDA's procedures for evaluation of
4 bioengineered foods over the past year and they
5 have published the findings of their report May
6 23rd. That report is available on the GAO web
7 site, and we can, of course, give that information
8 to you.

9 The interesting thing about the report,
10 they looked very carefully at our procedures. They
11 actually went through a number of files word by
12 word. They also talked to various individuals both
13 inside and outside of FDA, and the object was to
14 see if we were basically following the procedures
15 that we have set out for these foods and if those
16 things would be reasonable.

17 I think they found that overall, we
18 actually were doing a good job. They did, of
19 course, make some recommendations, and that is the
20 part of the report that you will find most
21 interesting.

22 They were basically a recommendation, one
23 recommendation is that FDA, of course, does not
24 receive all of the information about these
25 products, and they thought that it might be a good

1 idea if at least on some basis, FDA would go out
2 and actually visit a company or request all of the
3 information from the company and actually check it
4 to be sure that the information did support the
5 conclusions that had been given in the
6 consultation.

7 We agree with that recommendation. We
8 actually had a similar thought in our proposed
9 notification rule, so that is something that we
10 feel would be useful.

11 Their second recommendation to FDA was
12 that they felt that our memos that we place on the
13 web now, that describe our evaluation of the
14 products, could do a better job of explaining to
15 the public what our decision is, and we also think
16 that that is a reasonable recommendation and will
17 be looking at that.

18 So, we have these two recommendations from
19 the GAO that we will be looking at. I think that
20 is something that you may find that report
21 interesting.

22 Just on August 2nd, the Office signed some
23 technology policy, announced new work basically.
24 They announced that the federal agencies were
25 initiating some actions to look at field testing

1 requirements and early food safety reviews for
2 crops that are under development.

3 These are crops that are in the early
4 stages of development, they are not through all of
5 the regulatory steps, but because of pollen
6 transfer or because of seed mixing, it is possible
7 that these crops that have not been through the
8 full regulatory process could become components of
9 food at some point on an intermittent basis.

10 So, the agencies are proposing steps to
11 deal with that issue, and FDA's piece of that is
12 that we will be developing draft guidance for crops
13 that are intended for food use for developers to
14 come in for an early food safety assessment, and
15 that assessment will focus on the proteins that are
16 new in the foods particularly with respect to
17 potential allergenicity because, as you heard
18 yesterday, we can't set a threshold for a low level
19 of a protein that would be safe, and so we want to
20 make sure that even these intermittent low levels
21 of proteins would be safe in food and there will be
22 no disruption of the food supply because small
23 amounts may be detected in some foods at some
24 particular time.

25 So, that is something we will be doing

1 will be developing that guidance over the next
2 several months.

3 Another study which is just underway is a
4 study that is initiated by the National Academy of
5 Sciences National Research Council, and the council
6 has a Committee on Agriculture, Health, and
7 Biotechnology, and that committee is initiating a
8 study sponsored by FDA, EPA, and USDA on unintended
9 effects that occur in plants and their possible
10 implications for the food, so this is getting at
11 this question of can something unexpected happen as
12 a result of the genetic modification, what are the
13 steps being taken to ensure that those do not
14 result in public health problems in the food and
15 are there ways that that process could be improved.

16 So, they will be looking at recombinant
17 DNA-derived plants in comparison to conventionally
18 derived plants to try to sort out this issue of
19 unintended effects. So we think that is a very
20 important study and that will be ongoing probably
21 for the next year or so.

22 We have already discussed the other items
23 on this slide, so I think my purpose here is just
24 to kind of give you a heads-up of some other things
25 that we are working on that you may be hearing

1 about, so you are not surprised about these things
2 if they show up in the news or you hear about them.

3 DR. BRANDT: Do you want to go ahead and
4 do your summary?

5 DR. MARYANSKI: You want me to do my
6 summary? I have another slide, sir, that I need to
7 do.

8 DR. BRANDT: Oh, before that?

9 DR. MARYANSKI: Yes.

10 DR. BRANDT: Okay, I am sorry.

11 DR. MARYANSKI: How about three slides,
12 can I squeeze three slides in, Mr. Chairman?

13 DR. BRANDT: Yes, sure. I am just going
14 by what you told me.

15 DR. MARYANSKI: I know, I have to be very
16 careful here.

17 [Slide.]

18 The presentation that was scheduled for
19 this morning by Dr. Kathleen Jones was one where we
20 were going to talk to you in more detail about some
21 of the issues that are being discussed in the
22 scientific committee related to the assessment of
23 allergenicity, and these are issues that we will
24 have to be taking into account, as well.

25 These are issues that deal with how we

1 assess the sequence of the protein, the issues
2 around serum testing, the use of animal models, the
3 use of degradation of these parameters. I think
4 you have heard a good deal about these already.
5 They are also discussed in the background paper,
6 which is in your packet. I believe it is No. 6.

7 This is the paper that FDA has prepared
8 and Kathleen was the primary drafter with a lot of
9 help from various scientists in the center, but the
10 second half of this paper deals with issues that we
11 will have to be thinking about as we develop our
12 draft guidelines.

13 I thought that instead of going through
14 her talk before you, because I am not an
15 immunologist as she is, so I think that what I will
16 do is go back to the decision tree that Dr. Mayers
17 showed yesterday to give you a sense of our
18 thinking about the decision tree, so that you have
19 a little bit better understanding of how we have
20 come to where we are in the Codex and what our
21 current thinking is, so that it will help you in
22 your discussions.

23 If you recall, this is the decision tree
24 that was evolved by the task force in Codex based
25 on the earlier information and other decision trees

1 that had gone before. I am sorry, I want to back
2 up, that is not correct. This is the Expert
3 Consultation decision tree, because we do not have
4 a decision tree as part of the Codex guidelines.

5 But this is the decision tree that was
6 developed by the Expert Consultation that was used
7 in developing the Codex guidelines.

8 The decision tree was first published by
9 ILSI, as you may realize from the background
10 papers, so decision trees have been part of this
11 thought process for some time, and I think, as Paul
12 alluded to yesterday, we do like to have a visual
13 kind of representation as a key to help us in our
14 work. So, there was a lot of interest in
15 continuing the idea of the decision tree.

16 One of the things that we felt was that it
17 is useful to have a decision tree, and we are
18 certainly not opposed to it. What we found is that
19 there are certain things that have not made us feel
20 comfortable about any of the decision trees we have
21 seen to date, and I will explain some of those to
22 you.

23 When we decided to initiate the Codex
24 work, we thought that the priority, the first work
25 should be given to developing the text of a

1 guideline, put down on paper what we think is the
2 best guidance based on the science as we understand
3 it.

4 Then, if we can derive a decision tree
5 from that, then, we would do that. Now, we did not
6 have time in the Codex process to get to working
7 out a decision tree based on the guidance, so the
8 sense I am trying to convey to you is FDA is not
9 opposed to a decision tree, nor do we think it is
10 necessary to have one, but it could be useful if
11 one could construct one that would work in a way
12 that would satisfy the needs for providing
13 guidance.

14 Let me be a little more specific then
15 about some of the things that we observed in this
16 particular decision tree. One of the things that
17 it does is that this consultation resolves some of
18 the problems that had been in earlier guidance
19 documents and decision trees.

20 For example, it gets away from the idea of
21 what is a common food allergen versus a less common
22 food allergen. We don't have to address that issue
23 anymore, and that is very helpful.

24 The other thing this decision tree does is
25 it gets away from the idea of directly addressing

1 human challenge studies, and that is something that
2 is very problematic in many circumstances. For the
3 large part, people are really not inclined to want
4 to use those studies on a routine basis, so it gets
5 away from that.

6 So, there are a number of things that the
7 expert consultation did that resolved issues that
8 it was asked to look at. When we look at this, you
9 can see there are a lot of yes or no's here in
10 terms of what one would decide and even early in
11 the process, leads you to conclusions about
12 something being likely allergenic.

13 When we make evaluations, I think it is
14 more like we don't have a litmus test. You know,
15 it would be nice if we did, we could just put the
16 piece of paper in, it would turn either pink if
17 it's a no and blue if it's a yes. We would like
18 that. We don't have that. We have to make
19 judgments, and in something like that,
20 digestibility, there is always a question of how
21 digestible and what are the conditions, and so
22 forth.

23 So, we find that that is the reason for
24 wanting to take into account a number of different
25 kinds of information and to have some flexibility,

1 and not to necessarily just stop at the point where
2 something about the sequence seems to be similar to
3 an allergen, and not ask any further questions, so
4 we felt that that was too rigid in the sense of the
5 decision tree.

6 The other thing that is in the decision
7 tree are some new things. We have here targeted
8 serum and the use of animal models as for example,
9 and things that we know are under development and
10 there is a lot of interest in that, and we are
11 interested in those areas.

12 Our sense has been up until now, is that
13 these have not been fully worked out in terms of
14 development, in terms of research, to the point
15 where we can use them for regulatory purposes.

16 We were a little uncomfortable with
17 actually having a decision tree where things flow
18 through these where there is an expectation that
19 one would always do these for every protein. We
20 have seen, of course, almost 20 proteins to date.
21 We are confident about the evaluations that have
22 been done by those, that have not gone through some
23 of these steps.

24 It is not clear to us whether those would
25 add, whether they would be necessary, and I am not

1 trying to make judgments. I don't want to make
2 judgments here about this, I am just trying to
3 convey to you what our discussion was in looking at
4 these decision trees.

5 So, that was the reason that we thought,
6 well, let's set the decision tree aside. We are
7 not rejecting it, but let's set it aside, let's go
8 back and look at all the information and experience
9 that we have had and then work in the Codex process
10 to develop the text, and then from that text, then,
11 we can derive a decision tree, that would be even
12 more helpful, but we only have so much time in the
13 Codex, and so we didn't get as far as a decision
14 tree.

15 But I hope that gives you at least a
16 little sense of how we have looked at this.

17 I just want to say a little bit about the
18 issue of weight of evidence, because we are aware
19 that there are already beginning to evolve
20 different interpretations of this and it's no
21 wonder, when you think about the words one can see
22 that there is a potential for that, and we would
23 like to avoid confusion. We would like to have it
24 be clear what we mean if we use that term.

25 We had confusion on a term we used in the

1 past, "substantial equivalence," that some of you
2 may be familiar with, and there was a meaning that
3 was associated with it, but, in fact, if things are
4 not really clear, people will interpret them
5 differently and understand them differently. So we
6 would like to have whatever draft guidance we
7 develop be as clear as possible.

8 Weight of evidence in our mind is
9 something we do all the time in the food safety
10 assessment arena. When we are asked to evaluate
11 something, it kind of gets back to the litmus test,
12 we don't have a litmus test for most things. We
13 have to evaluate a number of different kinds of
14 information.

15 That does not mean that if there is one
16 test that suggests that something is an allergen,
17 and there are three or four tests that suggest that
18 it is not, that we say, well, the weight says that
19 it is not. That is not the way we would make the
20 judgment, we simply would not do that. One test
21 could be the one that would sink the ship, so to
22 speak.

23 What we do have to do is make a judgment
24 about whether any data that suggests something
25 could be an allergen, is strong enough and

1 meaningful enough, and we realize that that is not
2 the sort of kind of digital answer that would make
3 us feel most comfortable, but most of the things we
4 do in food safety and biological science is we wind
5 up having to make judgments.

6 So, that is really what we mean by "weight
7 of evidence," it is more taking into account all
8 the information, and that is why the Codex is
9 structured in a way that there usually are certain
10 numbers of tests that are done in the first
11 evaluation. We don't just do the sequence and stop
12 there.

13 So, the idea is that there will be several
14 different pieces of information, and that one
15 should look at all of that. Any one of those might
16 be enough to say no, it's time to stop here, this
17 is possibly an allergen, but it may be that that
18 would not be the case.

19 In terms of developing our draft guidance,
20 then, this is draft guidance and what we will be
21 thinking about is developing a document that will
22 put forward what we think are the practices based
23 on current science, that will provide industry with
24 the guidance to address this issue in a way that is
25 scientifically adequate to assure the safety of

1 these products.

2 This is guidance, this is not a
3 regulation. In regulations, we codify something,
4 we put down the specifications for safe use. It is
5 very rigid, it is very difficult to change.

6 Guidance is different. Guidance is non-binding on
7 the agency, it is non-binding on
8 industry, and it is written therefore in a way that
9 does not say thou shalt do this particular test.
10 So, we will use words in guidance that bother Dr.
11 Metcalfe.

12 He has said quite clearly that the Codex,
13 for example, bothers him because it says "may" in a
14 number of places, but that's a guidance document,
15 Codex is also guidance, it is not binding on
16 countries.

17 So, what will happen is for each country,
18 we will examine the Codex guidance and will develop
19 its own use of that guidance or not use depending
20 on the case, but the goal, of course, is to put out
21 something in guidance that people do agree to, at
22 least generally, so that there will be some
23 uniformity in the approach and therefore an
24 understanding among countries about how to approach
25 a particular issue in this situation, the

1 assessment of potential allergenicity.

2 When we develop our guidance, it also will
3 be a document that is not as rigid as a regulation.
4 It is something that will go out for public
5 comment, that is part of the process, and at some
6 point we could make it final or it can just remain
7 as draft guidance.

8 This is an area where we all know the
9 science is evolving, that thinking is evolving. I
10 will be very surprised if the issues that we are
11 thinking about today are resolved before I retire
12 from FDA. I don't think that is going to happen,
13 but I think what we need to do is come to a point
14 where we can at least say this is our current
15 thinking, and that is what guidance is from FDA.

16 It is our current thinking at the time,
17 and we issue guidance, so that industry has the
18 benefit of our thinking and the public understands
19 what our thinking is, and the guidance has the
20 advantage that we can modify it fairly easily
21 through the public comment process if we feel that
22 we need to in the future.

23 So, that is the goal that we are here for
24 and I think that is the comments that I would like
25 to make this morning, Mr. Chairman, if you want to

1 entertain questions now or later, it is up to you.

2 DR. BRANDT: Any questions, anybody? Yes,
3 sir.

4 Questions of Clarification

5 DR. GURIAN-SHERMAN: I guess that I want
6 to start with the GAO report, which I think I would
7 like to clarify something about it because I was
8 one of the consultants on that report and I think
9 it is frankly quite misleading in terms of the
10 representation on that report.

11 Most of the people that were consulted are
12 four companies. There were two consumer groups
13 supposedly consulted. I know the other consumer
14 group had very little input, and most of our input
15 was ignored.

16 We have made that clear in other fora, but
17 I think that anybody who reads that report should
18 understand, at least from our perspective, as well
19 as consultants on that report, that it did not
20 adequately represent consumer opinion.

21 I am sure beyond the couple of consumer
22 groups that were consulted to some extent, there is
23 a lot of opinion from other consumer groups that is
24 nowhere in that document. So, I think if you read
25 that document, you should read it with that

1 perspective.

2 There are a couple other issues. One of
3 the things that you mentioned in that report was
4 that the GAO reviewed several of the submissions.
5 I think they said they reviewed five of them.
6 They, by their own, at least in discussions with
7 me, admission are not experts on the process.

8 I am not sure exactly how they reviewed
9 those, but I will say that we now are in the
10 process of reviewing 14 of those reports, and
11 frankly, come to a pretty different conclusion.
12 Some of those reports are not several hundred
13 pages, as you said. We have several that are 10 or
14 20 pages, are very cursory.

15 Right now there is no standards as far as
16 I can see in terms of what is submitted to FDA.
17 Some of them did no statistical analysis for many
18 things. You mentioned stability yesterday. One
19 typical way of looking at stability over several
20 generations is to do chi-square analysis.

21 Many, most of them did no chi-square
22 analysis, several did, by contrast. Some of the
23 companies are doing a more thorough job than
24 others, but there is really no standard, and I
25 think we need to give FDA a lot of detail about how

1 they should do these tests.

2 I think from a scientific perspective, you
3 know, we only have to look at Starlink. What
4 happened with Starlink through the SATs is that the
5 company that presented that data was criticized for
6 the way they did a lot of the analysis, and I was
7 personally involved in some of that analysis.

8 They used monoclonal antibodies instead of
9 polyclonal, so you might not pick up fragments that
10 are digested. They didn't look closely at
11 glycosylation or some issues about whether the
12 protein was glycosylated that were not resolved.

13 There are a number of things in the
14 procedure. I think, as scientists, we all
15 understand that those can make huge differences in
16 your outcome. I think the process right now is so
17 vague and so open-ended that you can't draw
18 conclusions about a lot of the data that is
19 submitted. It is actually most of the time not
20 data, and I think you only have to look closely at
21 those studies to see the tremendous amount of
22 variation in the quality of the submissions.

23 For the most part, you know, we have also
24 looked at FDA responses to those, and there is very
25 little response from FDA to a lot of those issues,

1 and I can discuss a number of them.

2 So, I think that really has to be taken
3 with a big grain of salt, and I think without an
4 actual approval process, I am not sure how much can
5 be done because the agency does not approve of the
6 safety of these products, but I think as an interim
7 step, the agency needs to have a tremendous amount
8 of guidance wherever we can.

9 I mean there are some areas that are just
10 not resolved in terms of protocols, but also in
11 terms of decision tree, I can appreciate the desire
12 to have flexibility, but again because there is
13 uncertainty about what the results mean, I think
14 there should be some clear stops here.

15 I appreciate what you said about
16 digestibility, for instance, and there may be
17 situations where you have an ambiguous result, but
18 even from the studies that have been presented,
19 Jim's work in '96 and subsequently, several of
20 those that are considered stable under those tests
21 were digested after two minutes or eight minutes.

22 I think those kind of things need to be
23 built in as explicitly as possible into the
24 guidance, and granted they are not written in
25 stone, but without it, the companies are largely

1 determining the process of how this is done, and
2 they may do a good job in many cases, they may make
3 mistakes in others, and I just don't think it gives
4 them enough detail, and I think we should be doing
5 that or at some point in this process.

6 DR. BRANDT: Other questions?

7 DR. ARIAS: I have a question. The power
8 of any good predictive model, such as I assume that
9 this decision tree is, is reflected in the outcomes
10 that have been tested in some type of real-world
11 situation.

12 Now, it is clear that no one is going to
13 test prospective allergens in a human population,
14 but given the discussion that I have heard over the
15 last day, there are at least some animal models for
16 potential allergenicity.

17 So, I am wondering had this model actually
18 been tested and validated for its predictive power
19 using prospective candidates through an animal
20 model system to see whether predicted outcomes
21 actually correspond to what the model says they
22 should.

23 DR. MARYANSKI: That's a good question. I
24 am not aware that anyone has actually done that
25 specifically. Certainly, this is very new as you

1 can see, 2001, so this process, I am not aware of a
2 lot of things that have been run through this.

3 DR. BUCHANAN: Actually, with Syngenta,
4 with one of their genetically modified products
5 over a two-year period using our system, and with
6 the dog, which as I mentioned yesterday, there is a
7 hierarchy of response.

8 It was an interesting study, and the
9 results turned out suggesting that it is not an
10 allergen.

11 DR. LEHRER: I had a question. You talked
12 about the decision tree versus the weight of
13 evidence, and it seems to me that some aspects of
14 the decision process lend themselves, our decision
15 tree lends itself well to that, whereas other
16 aspects may fit in better with the weight of
17 evidence.

18 Do you think that some type of combination
19 of these processes, of these approaches is
20 reasonable?

21 DR. MARYANSKI: I would not want it to
22 come down to a question of should we have a
23 decision tree or not have a decision tree. I was
24 one of the skeptics back in 1992 when Dr. Call from
25 our center, when we were putting together the '92

1 policy, said hey, we can do some decision trees to
2 explain this guidance we are developing.

3 Another colleague and I thought, oh, no,
4 we will never be able to do that in a way that will
5 not raise the kinds of issues that--but she
6 managed, and obviously, by the time we all pitched
7 in, we have decision trees in the '92 policy, I
8 think that they have been useful, so I do think
9 decision trees can be useful, and it could be that
10 either aspects of this could be done as a decision
11 tree or all of it depending when one has the
12 opportunity to really sit down and think about it.

13 DR. LEHRER: Also, do you know why
14 sequence homology was put first in this process as
15 opposed to using specific serum testing?

16 DR. MARYANSKI: I was not involved. This
17 is from the expert consultation, so Dr. Metcalfe
18 would be a better person to answer that. I really
19 can't answer that.

20 DR. LEHRER: One last point that Jonathan
21 asked concerning animal models, I think you raised
22 a good point. I think that the problem is that
23 these models are currently being validated. You
24 really need to validate them before you take it to
25 that step, and I would imagine or I would hope soon

1 that some of them will have reasonable validation
2 in terms of reflecting the human experience, so
3 they could be used for that.

4 DR. ARIAS: May I make a comment? My
5 understanding is that the cosmetic industry for
6 many years has been validating animal models for
7 allergenicity and for organic materials now. I
8 don't know if that would be consistent with the
9 proposed expression of transgenic proteins, but
10 nonetheless, animals have been looked at.

11 In regards to my specific comment here, is
12 that if we are considering adopting as part or at
13 least recommending the adoption to FDA of part or
14 all of this decision tree--

15 DR. BRANDT: We don't have to do that
16 today.

17 DR. ARIAS: Yes, I realize that, but I
18 mean if that is one of the issues on the agenda,
19 then, clearly we need to know more about the
20 issues, the power of this model and whether it is
21 predictive. I think that is going to be a key.
22 You can't adopt a model without knowing its
23 potential predictive outlook.

24 DR. LEHRER: They may have been using
25 animal models for many years. There is a lot of

1 question about the validation of those animal
2 models frankly, and particularly for allergy and
3 especially IgE antibody responses that we are
4 looking at. I think they look at irritant type
5 responses. They may call it validation for
6 allergy, but I am not so sure it is.

7 DR. KAPUSCINSKI: I guess I would like to
8 make three comments. Last night I sort of
9 revisited all these documents again and one thing
10 that really struck me when I read the entire
11 FAO/WHO joint report is that we really can't look
12 at this decision tree just alone, we really do need
13 to read all the supporting documentation.

14 When you read that, you see that this is
15 really meant to be a guide for sort of thinking
16 through systematically and also in what order you
17 consider doing these tests, but then the supporting
18 documentation is really critical and I would argue
19 that it is very similar to what I imagine you would
20 be looking for in the guidance document.

21 It has all the caveats, all the
22 suggestions about, you know, it lays out the pros
23 and cons of the different methodologies, different
24 in terms of the state of the art, suggestions about
25 ways to address them.

1 I think that is that, I mean from my
2 perspective and from my experience with risk
3 assessment methodologies and a lot of other areas,
4 not only in other aspects of biotechnology, but
5 also other technology assessments, this is a pretty
6 proven way of moving forward.

7 I don't actually think that there is this
8 big a disjunct between this decision tree and
9 starting off with writing a guidance document. It
10 seems to me that expert consultation would probably
11 use that integrated approach, and it really comes
12 out clearly when you read the entire document
13 rather than looking at the decision tree alone.

14 My second comment is that it struck me as
15 I was looking at the righthand side of this
16 decision tree last night and then again hearing you
17 talk this morning, that what I am sort of hearing
18 you say is that you would like to have the
19 flexibility to, for example, not just stop if you
20 get a positive response in the sequence homology,
21 and I have to just stop there and go automatically
22 to that decision of likely allergenic, but like to
23 have the flexibility to do other testing.

24 That would be a very easy thing to do, a
25 fairly simple modification of the decision tree,

1 but still be able to hold onto I think some of the
2 elegance of what is in here right now, and would
3 simply be to create another arrow and give the
4 option that you can either, after doing the
5 sequence homology, choose to reach the decision
6 that it is like allergenic or proceed to the next
7 test, which would be targeted serum screen, and you
8 could also add another arrow between targeted serum
9 screen and the last box, which combines pepsin
10 resistance and animal models.

11 Again, if you look through the published
12 literature on risk assessment methodologies,
13 decision trees are often designed, so that there
14 actually is an option in the sense that burden is
15 placed on the user of the tree to decide how much
16 more testing do they want to do, and if you gave
17 that option, I think it would also fit with the
18 notion that FDA may want to come out at the end, in
19 the guidance document, saying if any one of these
20 tests gives a very strong positive signal, that is
21 enough to sink the ship, but give the users the
22 flexibility of combining tests.

23 My final comment has to do with this
24 concern that all these methodologies are still
25 being developed, there is a need for improved

1 validation, et cetera. Again, that is not unusual
2 to this particular area. We deal with this in risk
3 assessment all the time.

4 I would argue that that is exactly the
5 reason that we need, number one, a fairly
6 systematic methodology that everyone can look at
7 and say, oh, yes, this is the steps we should go
8 through with all this documentation to kind of add
9 the devil in the detail stuff.

10 Then, we do need some sort of surveillance
11 and ongoing research after things get approved, so
12 that we can learn and, over time, improve the
13 methodology, but we obviously can't just stop in
14 our tracks and not do anything until the
15 methodologies get improved, but I think this
16 actually gives you, using this tool combined with
17 surveillance on any kinds of products that get
18 approved where there may still be some questions
19 and combined with research, is the way to move
20 forward.

21 DR. ARIAS: Another question regarding the
22 decision tree, analyzing some of the same documents
23 that Anne mentioned in more detail last night, as
24 well, I came across the issue that right now
25 perplexes me, and that is, looking at the decision

1 tree, it is apparent that a prospective allergen
2 that has not been previously characterized and has
3 not been identified in a population, because
4 apparently this hasn't been studied extensively for
5 large numbers of allergens systematically
6 throughout different geographical and ethnic
7 populations, might very well slip right through the
8 screen particularly one that didn't have the
9 anticipated resistance to pepsin that not all
10 allergens have.

11 So, since our knowledge, as I gathered
12 from expert testimony and these documents, is less
13 than perfect on what constitutes an allergen, and
14 there is many exceptions to these rules, I think we
15 might want to be careful that we don't place too
16 much weight in this decision tree, and as Anne
17 mentioned, make sure that there are other facets
18 that go into the evaluation.

19 As I mentioned before, I think certainly
20 we want to try to validate as many of the
21 predictions of this model, but one just looking at
22 it, which is obvious, is that type of allergen that
23 goes right through your screen and never be
24 detected, right through the decision tree.

25 So, just multilevels are very important

1 for enhancing confidence, but each one of these
2 really intrinsically is flawed, and I think we all
3 recognize there is no probability coefficients
4 assigned to any of these, there is no quantitation.
5 In fact, I am not even convinced, in talking about
6 sequence homology the other day, a contentious
7 issue, where the cutoff is.

8 It sort of reminds me of looking at
9 microarray expression data, you know, what is a
10 clear difference in gene expression. Some people
11 say 2 standard deviations, some say 3. It is
12 really flipping a coin in some regards. The same
13 things holds true here.

14 The variety of epitopes that may be
15 present, for instance, in a specific allergen, that
16 are only recognized, say, by a subpopulation of
17 reactive individuals, may not necessarily be
18 predictive for the other allergen epitopes that
19 are, for instance, present in a novel protein,
20 perhaps a transgenic one, so we can't necessarily
21 rely on that, and then we have to use ridiculously
22 large numbers of sera in order to enhance our
23 confidence.

24 I think these are excellent beginnings,
25 but I think we have to recognize there are

1 limitations. There is no assignation of
2 probability or quantitative outcomes in this
3 decision tree. So, as long as we recognize that
4 and proceed, I think it acts as a good nucleus, but
5 clearly, lots more needs to be done.

6 DR. BUCHANAN: For the record, I want to
7 mention a workshop that was held last year in North
8 Carolina. I don't believe we have heard about
9 that. That was dedicated to animal models. It was
10 organized by Dr. Germolec at NIEHS, and I
11 understand that that summary will soon be
12 published, and I think all of the candidates were
13 covered at that time.

14 DR. BRANDT: They must have pushed dogs.

15 DR. BUCHANAN: In this case, the rats were
16 ahead.

17 DR. BRANDT: Do you want to do your
18 summary now?

19 DR. MARYANSKI: I think I am done for now.

20 DR. BRANDT: You are done for now.

21 Folks, we are ready for a break. We will
22 take a break and then we will start you all off in
23 15 minutes.

24 [Recess.]

25 Public Comment

1 DR. BRANDT: Sue MacIntosh from Bayer Crop
2 Science.

3 DR. MacINTOSH: Thank you. I am Sue
4 MacIntosh and I am from Bayer Crop Science, but
5 today, I am actually representing ILSI. In
6 particular, I am the chairman of the Protein
7 Allergenicity Technical Committee. In the next 10
8 minutes, I would like to share with you a little
9 bit about ILSI and about some of the work that we
10 have been doing over the last couple of years since
11 ILSI was formed.

12 I will start out by giving you just a
13 little bit of background on ILSI, if you are not
14 familiar with this organization, because I think it
15 is a rather unique organization.

16 [Slide.]

17 ILSI is a nonprofit, worldwide foundation
18 established in 1978 to advance the understanding of
19 scientific issues relating to a wide range of
20 different topics, nutrition, food safety,
21 toxicology, risk assessment, and the environment.

22 Also unique to this group is that it
23 brings together scientists from all realms, from
24 industry, from government, from academia, and also
25 from the public sector to solve problems with broad

1 implications for the well being of the general
2 public. Thus, the funding also comes from those
3 same groups, from industry, from government, and
4 also from foundations.

5 [Slide.]

6 I am not going to go into this mission
7 statement because I am short on time, but this just
8 focuses on HESI, which is where the Protein
9 Allergenicity Committee resides, is on the HESI
10 side, which is focused more on the environmental
11 aspects and health.

12 [Slide.]

13 Finally, the Protein Allergenicity
14 Technical Committee, the goal there was to advance
15 the scientific understanding of different relevant
16 parameters for characterizing the allergenic
17 potential of novel proteins and biotech products.

18 [Slide.]

19 On this slide, you know, we have a lot of
20 decision trees and a lot of discussion about
21 decision trees, but I only have it here to really
22 point out that we wanted to look at each of these
23 different boxes, evaluate the issues surrounding
24 those, and see what we, as a group, could try to
25 understand or maybe clarify using some various

1 scientific aspects.

2 In particular, we were interested in
3 trying to validate various methods as the
4 discussion earlier this morning. There are many
5 methods out there, different companies are using
6 different methods, and when we put our heads
7 together, we realized this and wanted to try to
8 develop some protocols that could be validated and
9 could be uniformly useful, not only within the
10 U.S., but globally.

11 [Slide.]

12 So, what we have done is we have convened
13 several different expert panels with many different
14 academics and government people and also public
15 sector, and we have come up with this set of
16 different issues that we identified that was the
17 starting point of then narrowing down into specific
18 projects.

19 [Slide.]

20 I will just run through these. The first
21 was the need for standardization of the methods for
22 amino acid sequence analysis, and I don't think any
23 of these are going to be strange to you. These are
24 all topics that have been brought up over the last
25 day and a half.

1 Uncertainty regarding whether IgE epitopes
2 are missed by the current sequence comparisons.
3 The need for standardization of the in vitro pepsin
4 digestion assay. The need for scientific consensus
5 on additional information necessary for proteins
6 that would be stable to digestion.

7 The need for scientific consensus
8 regarding usefulness of using broad serum IgE
9 screens to provide a more complete allergenicity
10 assessment. Finally, the need for more research to
11 evaluate and validate animal models currently
12 available for human allergenicity assessment.

13 [Slide.]

14 Now, from that group, we came up with five
15 different project areas. One was molecular
16 characterization, which includes the digestibility
17 stuff. The sequence homology and bioinformatics,
18 another project. Animal models to predict human
19 food allergy.

20 The last two, we haven't gotten very far
21 in those, but I want to name them anyway, because
22 they have been identified by our group. Effect of
23 protein prevalence in food, and that is that
24 threshold question, and finally, the development of
25 sera bank, another topic that was also raised

1 yesterday. Again, we have focused on the top three
2 so far in the last couple of years.

3 [Slide.]

4 Now, I will go through each one and kind
5 of give you an update on where we are.

6 The first on the molecular
7 characterization, we held an expert panel, and
8 those experts recommended that we develop a
9 standard digestibility protocol, and that we then
10 take this protocol and conduct a ring test at
11 multiple labs with multiple proteins, which is the
12 typical way that we validate an assay, an
13 analytical assay.

14 Of course, the second item, which you saw
15 in the previous slide, was expand the abundance
16 comparison and evaluation to really understand
17 thresholds and if we can come up with a threshold.

18 [Slide.]

19 Now, the in vitro gastric stability, we
20 actually have now carried out an international ring
21 study at the labs listed on the righthand side.
22 You will see that aside from the tech providers,
23 which obviously would be very interested in this
24 process, we also had a couple other labs, the
25 National Center for Food Safety and Technology, and

1 actually a couple of the FDA people are here that
2 conducted that study, and also the CLB Department
3 of Allergy, which is in the Netherlands, and then
4 the National Institute of Health Sciences in Japan.

5 So, it really was a very large study.
6 This now has been completed, the ring study has
7 been completed, the data is being collected at this
8 point, and we are now working on a paper that would
9 incorporate, of course, all the people who
10 performed this study.

11 [Slide.]

12 Just a little bit looking on the results,
13 in general, we saw very consistent results, in
14 fact, we actually were pretty surprised because
15 usually, a ring study like this is not an easy one
16 to do if you have ever carried one out for an
17 analytical study, but they were fairly consistent
18 in the laboratories around the world.

19 We did digestions of a standard set of
20 proteins at two different pH's, pH 2 and pH 1.2,
21 and while we saw a bit slower rate of degradation
22 at the pH 2 than at pH 1.2, it did not alter the
23 overall apparent sensitivity of the protein to
24 digestion.

25 One aspect was the gel fixing and staining

1 procedures may affect the visibility of certain
2 fragments, but again the apparent sensitivity of
3 the protein to digestion was similar.

4 We feel we have been successful
5 establishing a general protocol, and like I said,
6 we are writing up the paper and we will go to an
7 external peer-reviewed journal to work that
8 through.

9 [Slide.]

10 Now, in the sequence homology expert
11 recommendations, we had several different
12 recommendations focused on databases to encourage a
13 clear set of criteria and definitions for allergens
14 that would be placed in such a database, and
15 convene an expert group to actually define what
16 that criteria would be.

17 Identify all available databases with a
18 view towards synthesizing all information including
19 specialized databases, such as when we start to
20 understand more about T cell epitopes, perhaps a
21 database could be developed with those epitopes and
22 we could screen against that, and not just whole
23 protein or sequences of protein.

24 We also want to encourage the development
25 of database or databases that have links and

1 annotations to support that data. Right now, most
2 of the databases don't have links, so you are not
3 really sure why that protein was put in the
4 database, and we would like to see a link to the
5 literature.

6 Finally, utilization of 3-D structural
7 data could be informative, and the exploration of
8 this aspect should be encouraged, and, of course,
9 again, we have talked about the sequences, linear
10 sequences versus 3-D structures, and as 3-D
11 structures become more apparent and we get a wider
12 range of them understood on different allergens,
13 then, I think this would also have some value.

14 [Slide.]

15 Now, we have worked actually with ECVAM,
16 which is European Commission for the Validation of
17 Alternative Methods, and they convened a group last
18 year to try to develop this ultimate allergen
19 database.

20 They are at the point right now of trying
21 to determine funding for that data base, which of
22 course, as you can imagine, is not just the expense
23 of setting up the database, but maintaining it and
24 continuing to add allergens into that database in a
25 very structured fashion is also a very expensive

1 proposition, but I think it is an important thing
2 for all of us to have a publicly available database
3 for allergens.

4 [Slide.]

5 The final aspect, which we have just
6 started really in the last year, was the expert
7 panel that recommended that we needed a comparative
8 assessment of animal models with allergens and non-
9 allergens, which is often the part that is usually
10 left out. It is using a variety of exposure
11 scenarios.

12 So, we initiated the evaluation of a
13 rodent model for human allergenicity prediction
14 with a standard set of proteins using different
15 mouse strains, comparing IP to oral routes of
16 sensitization, evaluating results with and without
17 the use of adjuvants, and also comparing different
18 sensitization and challenge protocols using
19 bioactive IgE as the primary endpoint, which is
20 another very important thing that we felt was very
21 important in an animal model.

22 [Slide.]

23 Of course, in order to even start that
24 work or think about the work, is proteins, and that
25 is a very expensive and difficult aspect is to get

1 a good supply of pure proteins.

2 We have now hired a lab in Europe, and we
3 are now having purified proteins made there. We
4 have chosen actually two positive controls or what
5 we would have as known allergens Ara h1, Ara h2,
6 and also beta-lactoglobulin, and then we also have
7 a couple known non-allergens RUBISCO and Soy
8 lipoxygenase, and these are being purified as we
9 speak, and we should have them available in the
10 next six months to a year.

11 [Slide.]

12 Finally, in conclusion, allergenicity
13 assessment for novel proteins and biotech projects
14 should encompass a comprehensive evaluation--I
15 think we all agree on that--that assesses a variety
16 of parameters.

17 To date, no single factor has been
18 recognized as the primary determinant for
19 allergenicity. So, instead, our scientific
20 guidance has been to utilize a holistic, weight-of-evidence
21 whether you use a decision tree or not, it
22 still has to be a weight-of-evidence of all the
23 different pieces of data that you have, that
24 accounts for a variety of factors and experimental
25 approaches for an overall assessment of the

1 allergenic potential of the new protein.

2 Thank you for your attention and I really
3 appreciate having the opportunity to share what
4 ILSI has been doing, and if you have any other
5 questions, don't hesitate to come to me and I can
6 give you more information, and also Carlos Thomas,
7 who is our scientific director at ILSI for this
8 project, either one of us can certainly help and
9 answer any questions.

10 Thank you very much.

11 DR. BRANDT: Thank you for being here.

12 Dr. Michael Hansen of Consumers Union. I
13 think we have a handout from him.

14 DR. HANSEN: Unfortunately, I don't have
15 any slides or anything. If I would have known I
16 could use them, i would have.

17 Anyway, thank you very much for the chance
18 to present the views of Consumers Union, which is a
19 publisher of Consumer Reports, to this
20 subcommittee. We feel that the Food and Drug
21 Administration is taking a very positive,
22 important, and much needed step by undertaking an
23 effort to develop a protocol for assessing the
24 potential allergenicity of engineered foods.

25 We have already seen an example with the

1 Brazil nut allergen that was successfully
2 identified and removed from and development
3 stopped, so it never made it on the market.
4 However, with that case and also with the
5 subsequent case of Starlink corn, whose potential
6 allergenicity was much more difficult to predict,
7 these underline the need to have a sound,
8 consistent, and comprehensive assessment protocol
9 which, when scientific data is incomplete, errs on
10 the side of protecting consumer health, to be used
11 by all companies developing protocols and by all
12 the agencies regulating them.

13 We feel that the guidance should be
14 incorporated in the rule on Pre-Market Biotech
15 Notification, which FDA has under development. Our
16 comments are going to focus primarily on the
17 specifics of what the assessment should contain and
18 how it should be conducted.

19 As I note in my paper that I handed out,
20 we think the FDA can profitably draw on several
21 excellent bodies that have already given
22 consideration and thought to the difficult question
23 of allergenicity assessment.

24 I want to bring special attention to the
25 global expert consultation that was a joint FAO/WHO

1 that was held in 2001 and chaired by Dr. Dean
2 Metcalfe of the National Institute of Health, to
3 the Annex on Allergenicity to the Guidelines for
4 Assessment of the Safety of Recombinant DNA Plants,
5 that Paul Mayers talked about yesterday, and to the
6 work that the Environmental Protection Agency's
7 FIFRA Scientific Advisory Panel. Their report on
8 charging them with developing mammalian toxicity
9 assessment guidelines for protein plant pesticides
10 and with assessing the human safety of Starlink
11 corn.

12 The key points that I would like to
13 quickly go through is, first, we urge FDA, we think
14 that the protocol should be a rule, and not a
15 guidance. We feel that it needs to be mandatory
16 and not voluntary.

17 Related to this, we also think that it is
18 very important to have a decision tree because we
19 think that in both of these cases, if you want the
20 confidence of the public, they need to have some
21 kind of sense that there is a clear-cut pathway
22 that the companies have to follow.

23 A problem with having guidance, which is
24 not binding on the companies or with having a
25 general weight-of-the-evidence approach which says

1 you weigh these various things, that, to the public
2 looks like that there isn't a clear pathway.

3 That is why we think it is important they
4 actually have a decision tree, so it is very clear
5 what data has to come in and what you will conclude
6 based on those data. So, we do think it is
7 important that you require the companies to
8 actually do these tests, so that means rather than
9 a guidance, it should be a proposed rule, so it is
10 mandatory and that there is the use of decision
11 trees.

12 We actually recommend that the decision
13 tree to be used is the one from the Expert
14 Consultation. We also view that all allergens,
15 whether food, dermal, or inhalant allergens, should
16 be used in the amino acid sequence homology
17 searches. This is actually recommended in the
18 Annex to the Safety Testing Guidelines that Codex
19 put out.

20 We also think that all the assessment
21 criteria that the Science Advisory Panel, that the
22 Expert Consultation, and that EPA has suggested,
23 that is, looking at amino acid sequence homology,
24 digestive stability, heat stability, animal models
25 and certain physical characteristics should all be

1 looked at, and as I said, these should be
2 integrated into a decision tree.

3 We also feel that you should conduct tests
4 on all, quote "all," quote "newly-expressed"
5 proteins. That is language from Annex 1 of the
6 draft safety assessment guidelines for rDNA plants
7 that Codex has, and that means not just the
8 intended transgene product, but also would include
9 all unintended newly-expressed proteins, that is,
10 the process of genetic engineering may turn on
11 genes in a plant or animal that have been
12 previously turned off, or the transgene protein
13 could interact with the complex metabolic pathway
14 to create new proteins, so all of them, whether
15 intended or unintended, need to go through the same
16 testing protocol.

17 We also believe that you should require
18 that proteins be tested in both the purified form
19 and as they exist in the food that will be sold, so
20 also within the food matrix. We believe that the
21 purified protein should be extracted from the plant
22 from which the food will be derived.

23 We do not think the FDA should allow a
24 company to test a protein as it is expressed in a
25 bacterial or other microbial source because there

1 can be differences. For example, E. coli does not
2 glycosylate whereas plants often do.

3 So, I quickly just want to make a few
4 comments on the key assessment techniques for the
5 amino acid sequence homology. I would just like to
6 point out that the old decision tree that ILSI had,
7 the Expert Consultation, they came up with a
8 standardized methodology, and that is actually
9 another important point is for all these assessment
10 criteria, there need to be standardized
11 methodologies and protocols.

12 For the sequence homology, what the Expert
13 Consultation did is they started with the ILSI
14 decision tree and then they updated it based on new
15 scientific information. What they suggested is
16 that rather than use the eight identical contiguous
17 amino acids, and using a global alignment, the
18 Expert Consultation recommended that you could use
19 sequence identify of six rather than eight
20 identical contiguous amino acids.

21 They also suggested using local alignments
22 rather than global alignments when you are
23 comparing unrelated proteins. They also suggested
24 additional criteria, such as that 35 percent
25 overall amino acid sequence homology is a cause for

1 further concern, and suggested development of
2 databases and methods to test for discontinuous
3 epitopes including those change by glycosylation
4 patterns. They suggest that a very specific
5 methodology, which I outlined.

6 I also would like to bring up the work,
7 since they do refer to it, of Dr. Steven Gendel,
8 who argued persuasively for the use of local
9 algorithms rather than global algorithms when
10 assessing allergenicity of novel proteins because
11 those proteins are not evolutionary related.

12 DR. BRANDT: Three minutes.

13 DR. HANSEN: He goes on to develop what he
14 calls a "biochemical similarity matrix," which
15 divides amino acids into six classes based on
16 biochemical characteristics, for example,
17 hydrophilic acid, amino acids, hydrophilic basic
18 amino acids, et cetera, and then the alignment of
19 members of the same class is scored as a match.

20 The realignment was then confined to
21 regions of 15 to 20 amino acids in each case to
22 preserve the previously located identities. He
23 actually found by doing this that there was
24 significant sequence homology between beta-lactoglobulin and
25 the Cry3A, which is found in Bt

1 Proctor & Gamble, when they first started using
2 enzymes in their detergents in the mid-1960s, they
3 had huge problems with workers developing
4 allergies, up to 50 percent of the workers in the
5 plants were developing allergies.

6 So, what they did is they were able to use
7 certain strains of guinea pigs and certain strains
8 of mice, and the particular strains that they used
9 were ones in which there was a direct correlation
10 between the responses of the animals and the
11 responses in the workers. Over the years, using
12 those particular animal models, combined with
13 medical surveillance of the workers and
14 modification of the environment, they were able to
15 drastically reduce this problem, so that the rate
16 of sensitization dropped to less than 3 percent.

17 So, I think the experience of Proctor &
18 Gamble shows that animal models can indeed work,
19 and they can work with humans. We suggest that
20 perhaps the exact strains of guinea pigs and mice
21 that were successful surrogates for humans when
22 predicting inhalant allergy of proteins, may be
23 successfully used to predict food allergy.

24 We would suggest that if it hasn't been
25 done, that FDA begin such research with known food

1 allergens with these particular strains of guinea
2 pigs and mice.

3 DR. BRANDT: Your time is over.

4 DR. HANSEN: Thank you.

5 DR. BRANDT: Thank you, sir.

6 Dr. Bannon from Monsanto.

7 DR. BANNON: I certainly appreciate the
8 opportunity to come and address the FDA on such an
9 important topic and one that is near and dear to my
10 heart, the protein allergenicity.

11 I come to you probably with somewhat of a
12 unique perspective, and the unique perspective is
13 due to the fact that I was an academic for 17 years
14 working on food allergy, and now I am on the other
15 side and working with industry, working on the same
16 thing, allergenicity, and it gives you a fairly
17 good perspective on what is going on and the
18 science that is involved.

19 [Slide.]

20 To frame this for you, that you already
21 are aware of, there are many issues that impinge on
22 allergy research and allergy in general. As we
23 have already heard, it is a fairly emotional topic.
24 The numbers I have heard thrown around is in
25 surveys, that 25 to 30 percent of people contacted

1 indicate that they or a family member think they
2 have a good allergy.

3 Of course, the reality of that is quite
4 different. We have heard that 1 to 2 percent of
5 adults, approximately 4 to 6 percent of children
6 actually have IgE-mediated food allergies.

7 Mixed into this, the fact that children do
8 have allergies and can die from these allergic
9 reactions, you have a very emotional topic that can
10 sometimes overwhelm the science and cause bad
11 science to be done.

12 Additionally, there are many stakeholders
13 in this particular argument - industry obviously,
14 allergists, scientists, regulators, food producers,
15 and public, and they all have different
16 perspectives and they all have something
17 significant to contribute to the argument, but they
18 come at it with viewpoints.

19 Also, we are in essentially a hazard ID
20 mode at this point in terms of our decision tree
21 and in terms of our determining whether a protein
22 is an allergen or not, and we think that the hazard
23 ID mode has worked very well, but we would like to
24 see it move to more of a risk assessment mode,
25 which I will talk to you about in just a minute.

1 Finally, and most importantly, the science
2 of allergy is still evolving. Even though we have
3 been doing immunotherapy for allergic disease for
4 almost 100 years, there are still basic mechanisms
5 that are lacking that we don't know, and it is
6 still evolving, which is why we are here today.

7 [Slide.]

8 As most of you know, there is eight foods
9 or food groups that account for greater than 90
10 percent of the allergies. They are listed on the
11 slide. The biggest take-home message from this
12 slide is that as you have already heard, the only
13 way to treat this particular disease is by
14 avoidance of the food, and therefore, that is
15 paramount in our mind at Monsanto. We do not want
16 to put allergens into food crops and put anyone at
17 risk.

18 Of course, the U.S. policy designed to
19 prevent that unwanted or unexpected exposures to
20 offending allergens, and they do that by preventing
21 transfer of existing allergens or likely allergens
22 via biotechnology or other processes, and, of
23 course, there are comprehensive labeling laws for
24 all foods.

25 [Slide.]

1 Now, even though that is one big category,
2 there are other categories. The first one
3 obviously would be hidden allergens, as I have just
4 described. The other category is alteration or
5 quantitative increase of endogenous allergens, and
6 finally, the big bugaboo, creation of food allergen
7 de novo, new ones, and that is where the technology
8 is lagging behind.

9 [Slide.]

10 We have many tools currently to detect
11 known and potential allergens, and I have split
12 them into two categories where we look at both
13 known or cross-reactive allergens using
14 bioinformatics, and you have heard a lot of
15 discussion about sequence homology, six or eight
16 amino acid window searches.

17 For potential allergens, we really have
18 three tools - pepsin digestive fate, in vitro and
19 in vivo IgE binding assays, and animal models, the
20 last one of which is still under development.

21 [Slide.]

22 The tools to identify known allergens,
23 bioinformatics, are really dependent upon the
24 availability of high-quality clinical data
25 describing the offending food and other allergens,

1 and that is absolutely paramount, and that
2 information must be available to everyone, so that
3 known allergens, proteins have been identified as
4 allergens can be put into the appropriate
5 databases.

6 Accessibility of that data, such as the
7 gene or protein sequences, to assess allergenicity
8 is also paramount, and I have given you an example
9 of a web site, Allergenonline.com, which contains
10 one of the more significant databases on allergens.
11 It is curated on a yearly basis, and is housed out
12 of the University of Nebraska at Lincoln.

13 Finally, there is another allergen
14 database out of Europe, you have heard Dr.
15 MacIntosh talk about that a little bit, that
16 attempts to synthesize the clinical and structural
17 biology data of what is a food allergen, and it is
18 still under development.

19 [Slide.]

20 The bioinformatics, we have heard a lot of
21 discussion about what that is and how to do it.
22 The source of the gene is very important, very
23 important to us, known allergen source, such as
24 Brazil nut, we have heard an example of that versus
25 a non-allergenic source will really determine, by

1 and large, what path you go down on the current
2 decision tree, and will decide what tests are
3 appropriate.

4 The appropriate search criteria. You hear
5 Mr. Hansen talk about the global search, if you
6 will, over the entire protein, and there are
7 certain requirements that are already recommended,
8 that is, greater than 35 percent identity over 80
9 amino acids.

10 The other is a small-scale search with
11 defined amino acid window. We have heard a lot of
12 argument about six versus eight amino acid sliding
13 window. You should be aware that there are data
14 out there, excuse me, that will be published this
15 August in the International Archives of Allergen
16 Immunology, that points to the eight amino acid
17 window as being the preferred in the sense that six
18 gives many false positives and the eight appears to
19 include known allergens using the corn sequence
20 database. That should be coming out, out of IAAI
21 this month.

22 [Slide.]

23 Well, what do we need to do? We need to
24 standardize our tools for predicting potential
25 allergens, need to standardize the characteristics

1 of clinically relevant patient sera. It amazes me
2 many times, looking at particular sera and how they
3 are categorized as an individual being sensitive to
4 a particular food.

5 There is not a common way of doing that at
6 this point, although there is a best practice way,
7 although it is not always utilized, and you see the
8 problems with using a non-clinically relevant sera
9 all the time.

10 We need to standardize our in vitro IgE
11 binding assays. In the literature, you will find
12 many different ways of doing our in vitro IgE
13 binding assays and many ways in which it
14 interpreted positive versus negative. That needs
15 to be standardized.

16 Finally, we need to standardize our
17 prospective standardization predictions. That
18 means we need to look at the standardization of in
19 vitro pepsin digestion assay and the animal models
20 of oral sensitization.

21 [Slide.]

22 In terms of the pepsin digestive assay,
23 you heard Dr. MacIntosh talk about the ILSI ring
24 test. That addressed a couple of issues. One was
25 some variables, such as the pH of the assay, and

1 the other was the reproducibility of the assay.
2 Dr. MacIntosh did a great job of describing that, I
3 won't go into that further.

4 The other question that has been brought
5 up about the pepsin digestion assay is the
6 biological relevance of that assay, and a recent
7 publication out of my laboratory before I joined
8 Monsanto indicates that stable fragments of food
9 allergens contain some of the immunodominant IgE
10 binding epitopes lending some biological relevance
11 to the fact that the pepsin digestion assay appears
12 to be able to identify fragments that will cause
13 etiology of this disease.

14 [Slide.]

15 Validation of the oral sensitization
16 models. I have been involved prior to joining
17 Monsanto with the development of two animal models,
18 a mouse model and a swine model. They used an
19 intragastric sensitization protocol or an IP,
20 intraperitoneal protocol.

21 What we need to do are listed on this
22 slide. We need to have a high positive and
23 negative predicted value, i.e., clinical accuracy.
24 We need high correlation to clinical manifestations
25 of food allergy.

1 We need relevance to the oral route of
2 sensitization. That doesn't mean that it has to be
3 an oral route, but has to have relevance to the
4 human condition.

5 We need to be able to distinguish between
6 complete and incomplete allergens. What that means
7 is an incomplete allergen being one that can only
8 elicit whereas, a complete allergen is one that can
9 sensitize and elicit, and we need to be able to
10 validate and have available test materials to
11 validate those animal models.

12 My experience in the academic world is
13 most of these animal models were developed to look
14 at the mechanism of food allergy, not for what we
15 need in the industry in terms of predicting whether
16 a protein is a potential allergen.

17 [Slide.]

18 We believe that there is an opportunity to
19 improve the current allergy assessment. We can do
20 that by applying a risk assessment mode, something
21 that toxicologists have been doing for quite some
22 time, to the decisionmaking process in terms of
23 allergenicity.

24 To do that, we need more data. We are not
25 up there yet. We have to have threshold levels in

1 terms of at least elicitation, how much exposure
2 there is, and assign some type of hazard ID to
3 particular outcomes of the assays that I have
4 already described.

5 The exposure validations should provide,
6 then, a context against which risk managers can
7 make decisions benchmarking against known food
8 allergens.

9 [Slide.]

10 Finally, I believe we have excellent
11 methods for identifying known allergens and
12 preventing those transfers to food crops. We can
13 do that very well.

14 We are refining, if you will, the old
15 methods for predictive like pepsin digestion, as
16 you have heard, et cetera, and developing new ones,
17 hopefully animal models, but that are not yet
18 validated, to predict potential allergens, and we
19 have the opportunity to improve allergy predictions
20 by incorporating risk assessment strategies to
21 already available hazard identification methods.

22 Thank you.

23 DR. BRANDT: Thank you, sir.

24 Now, Bill Freese from Friends of the
25 Earth.

1 MR. FREESE: I am Bill Freese, policy
2 analyst, Friends of the Earth. We appreciate the
3 opportunity to present comments today.

4 It goes without saying that the FDA's
5 assessment of the potential allergenicity of novel
6 proteins is only as good as the data on which it is
7 based. In order to be truly science-based, any
8 given assessment procedure must rest on data that
9 are both accurate and adequate to the assessment
10 task. Without such a foundation, even the best
11 approach isn't worth too much.

12 What I would like to do is, in contrast to
13 the kind of broad-brush treatment we have had about
14 FDA's regulatory approach, I would like to look in
15 detail at two consultations. I have distributed
16 both my comments plus the two consultations. You
17 should have those.

18 The first has to do with Monsanto's Bt
19 corn event, MON810. If you would turn to Appendix
20 2, what I have basically done there is compare the
21 FDA's Note to the File that is the consultation
22 document on MON810, and molecular characterization
23 study that was submitted by Monsanto to the EPA.
24 This is an unpublished study, which only the EPA
25 has seen.

1 First of all, I recognize that the EPA has
2 responsibility for the--I am looking at the
3 allergenicity of Bt proteins, but as Dr. Maryanski
4 mentioned yesterday, the FDA also has a role, and
5 that is to look at other possible alterations, for
6 instance, unintended effects or nutritional
7 differences, and that is why Monsanto also
8 conducted a consultation with the FDA on this crop
9 even though it's a pesticidal protein.

10 Basically, you can see in Appendix 2,
11 there are three basic errors in the FDA's Note to
12 File on MON810. I will just go through this real
13 briefly. The first one is that the FDA assumes
14 there is a complete copy of the Cry1Ab gene in the
15 corn, whereas, Monsanto's study shows clearly it is
16 only a partial gene, and what apparently happens is
17 there was the transformation vector ruptured during
18 the transformation process and only a partial gene
19 was incorporated.

20 Secondly, the FDA assumes that there is a
21 NOS termination sequence in MON810, and, in fact,
22 Monsanto's study shows pretty clearly that that
23 determination sequence did not make it into the
24 corn.

25 It is interesting here to note that this

1 NOS might have played a role, according to the FDA,
2 in directing messenger RNA adenylation, so the
3 absence of that NOS sequence might have some
4 implications.

5 Third, the FDA assumed that the protein
6 was nature identical, that is, identical to the
7 native protein found in the Bt microbe, whereas, in
8 fact, what we have is it looks like an odd-length
9 protein about 92 kilodaltons, about 70 percent of
10 the folic protoxin.

11 I think what this example shows is the
12 need for the FDA to demand original studies, not
13 summaries, and in every case, not just in random
14 spotchecks, as was suggested earlier.

15 The second example has to do with Aventis'
16 male-sterile corn. Basically, it produces barnase,
17 and barnase is expressed in the pollen and causes
18 the pollen to be sterile, but as we know, even with
19 tissue-specific promoters, you often have weak
20 expression. The barnase could possibly end up at
21 low levels in other tissues of the corn.
22 Apparently, Aventis looked at this.

23 Their method for looking at this was to
24 say basically, was to assume that any level of
25 binding expressed in tissues other than the anther

1 would result in "abnormal plant growth." So the
2 test was basically to look at the corn and see if
3 there were any abnormalities, and that was
4 basically their test to see if barnase was
5 expressed in other plant tissues.

6 It seems to me that the FDA should have
7 clearly demanded at least an ELISA assay to test
8 for barnase. That doesn't seem like it would be so
9 difficult to do, and it would provide better
10 information. By the way, barnase is a toxin. It's
11 a ribonuclease which breaks down RNA.

12 That is a second example, in this case,
13 where the FDA perhaps could have demanded better
14 data.

15 A second point I would like to make, I
16 think this has been brought up a little bit, Doug
17 Gurian-Sherman mentioned it. The FDA does not
18 reach any independent conclusions regarding the
19 safety of a genetically engineered crop.

20 If you look at the two Notes to File that
21 I have given you, if you look at the conclusions,
22 basically, the FDA merely conveys the notifying
23 company's conclusion that the crop is not
24 materially different than their conventional
25 counterparts, and then says basically that the

1 consultation is ended. There is no affirmation
2 that this crop is safe, no affirmation by the FDA
3 that this crop is not materially different, only
4 conveying the company's conclusion that this is the
5 case.

6 I think that is not at all what most
7 laymen think when they think of the FDA and their
8 evaluation of genetically-engineered foods, and I
9 think we really expect more from the agency. They
10 should take a close enough look at these crops to
11 be able to say with confidence that they are safe
12 or at least not materially different.

13 Perhaps one of the reasons the FDA has
14 been willing to say that is because they do only
15 collect summary information and perhaps don't feel
16 confident in making the affirmation. Again, that
17 gets to the need to demand original studies instead
18 of the summary information.

19 The final point I would like to make is
20 about the examples of lack of coordination under
21 their coordinated framework. Basically, I will
22 again use the example of MON810 since that is one I
23 am quite familiar with.

24 Basically, we have lack of information
25 flow in two directions. One, that FDA could have

1 avoided the errors in its Note to File if it had
2 just consulted with the EPA, which, as I said, add
3 this molecular characterization study, so it would
4 have been very easy.

5 The FDA wouldn't even have had to go to
6 Monsanto to request this study. It could have
7 gotten it from EPA, but apparently didn't do that.
8 The EPA, in turn, should have consulted with the
9 FDA during its assessment of the Cry1Ab protein
10 expressed in Monsanto's MON810 and also Syngenta's
11 Bt11 corn events.

12 DR. BRANDT: Three minutes.

13 MR. FREESE: As Dr. Hansen mentioned,
14 Steven Gendel, who is here, has studied Cry1Ab and
15 found similarity, sequence similarity between
16 Cry1Ab and the vitellogenin and egg yolk allergen,
17 and he found the similarity. He thought it might
18 be sufficient to warrant additional evaluation, and
19 unfortunately, it doesn't appear as if the EPA has
20 taken that under consideration.

21 So, it seems like there is a lack of
22 information flow in both directions, at least in
23 some cases, and that clearly needs to be worked on.

24 So, just to sum real briefly since I have
25 about minute, I guess, I would say demand original

1 studies, not summaries. Errors can happen.
2 Companies can either conceal information or perhaps
3 just fail to report things. Adequate testing
4 should be performed, and I don't think, well, again
5 the barnase example I think shows that.

6 Then, we need coordination between the
7 various agencies involved in looking at the safety
8 of these crops and potential risks.

9 Thank you.

10 DR. BRANDT: Thank you very much, sir.
11 Thank you for coming and for the material. All of
12 you had all this material from all four speakers.

13 Summary

14 Dr. James Maryanski

15 DR. MARYANSKI: Thank you, Mr. Chairman.

16 I will be very brief. Again, we would
17 like to thank the committee members for joining
18 this committee. We think that we are going to have
19 a lot of work and interesting topics to do over the
20 next couple of years, and we welcome this as the
21 beginning of that process.

22 I think you have gotten the sense, if
23 nothing else, over the past day and a half, that
24 there are quite a few issues here. We brought one
25 to you actually in terms of what we are actually

1 asking you to look at.

2 We brought one issue to you, but I think
3 you have got an inkling that there are probably
4 some other issues that you may want to discuss
5 among yourself and with us, and that we are likely
6 to ask you about over the course of the next
7 several months and years. I hope that has been
8 instructive.

9 We have not asked you to look at our
10 policy per se or our procedures, but it is likely
11 that we will be asked for that, that we will be
12 discussing as things go forward. We have a process
13 that has been through much the same kind of process
14 we are having here in terms of vetting it before an
15 advisory committee before we take it forward, and
16 there are things about that, that some people like
17 and some people don't like.

18 It is an interesting process because we
19 don't use a process for these products that is a
20 full, comprehensive scientific review for every
21 single product, and that was a decision that we
22 made in 1994 based on the kinds of products and the
23 characteristics of those products.

24 So, it is very different than a food
25 additive approval and the process, and that is

1 something that you will have more opportunity to
2 learn about.

3 So, it is something that I think you need
4 to look carefully at down the road. We hope that
5 today you can focus on the issue of our project,
6 that we are really beginning in the sense of
7 developing draft guidance now on allergenicity and
8 give us your thoughts to help us get started based
9 on what you have heard.

10 As we have told you, our intent is then to
11 go back to work to develop a draft guidance
12 document that we will bring back to you before it
13 goes public.

14 We wish you well. We look forward to your
15 input, and we certainly again thank you very much
16 on behalf of all of us at FDA that you are willing
17 to engage in this process.

18 Thank you, Mr. Chairman.

19 DR. BRANDT: Let me make a couple of
20 announcements first. This is a process that is
21 just starting and all of you, but not me, will be
22 able to carry this forward. I am just here for
23 this one meeting as far as I know. I go back to
24 the bench now.

25 Second, taxicabs to all three airports

1 will be out in front at 3:15, those you that need
2 transportation. I know there is at least one, to
3 Reagan, one to Dulles, and one to BWI. The rest of
4 you are on your own.

5 Questions and Discussion

6 DR. BRANDT: You have the three questions
7 we have been asked to address, and then we begin
8 with Question No. 1, which has to do with the
9 priorities, emphases, et cetera, that you think the
10 FDA should be taking into consideration in their
11 material as it comes from the Codex material that
12 you saw yesterday and that you have a copy of.

13 One other thing, all of you should have
14 gotten the extent of reimbursement, a very valuable
15 document, so if you don't fill it out and sign it,
16 you can't get paid.

17 The floor is now open. Are there
18 particular aspects of this international document
19 that you think FDA should particularly emphasize?
20 Go ahead.

21 DR. ARIAS: After reviewing the Codex
22 document, it was clear that there is a substantial
23 investment of attention to issues that have not
24 been amply discussed at this particular meeting in
25 regards to GM plants, and that is, the

1 transformation process itself and so for unintended
2 consequences.

3 I would note that there were several
4 sections in particular that amply described some of
5 those potential unintended consequences we have
6 heard through some of the talks today, some of the
7 implications of that.

8 I would like in particular to address the
9 questions of unexpected allergenicities as a
10 consequence of gene insertion. It is, of course,
11 in the hypothetical since there are no specific
12 examples that can be brought to bear on this
13 question.

14 Yet, I think in any assessment of the
15 prospects of using GM foods, I believe that the
16 issue of the insertion of the transgene, its
17 unintended consequences on local expression of
18 neighboring genes, as well as the potential for
19 altering global expression patterns throughout the
20 plant have to be at least addressed at some level,
21 and the Codex document does stipulate the number of
22 specific steps in this process that should be
23 examined.

24 In particular, the concern here is that
25 insertion of a gene can influence the effects of

1 neighboring genes and since the process of
2 transgenic insertion is, by and large, a random
3 event, although there is some bias towards
4 insertion actually into transcriptionally active
5 regions of the genome, and, of course, the
6 attendant possibilities for how that might in a
7 number of cases create problems. It is yet unclear
8 to me what the actual examples are currently
9 through industry for how those insertion events are
10 monitored vis-a-vis the Codex guidelines.

11 For example, I would assume that all genes
12 are mapped to a specific locus and site in a crop
13 plant when they are put into commercial production,
14 however, it is less clear to me, as a consequence
15 of that, how thoroughly the expression pattern of
16 neighboring genes that could be affected by the
17 insertion of a strong promoter element, for
18 instance, like the cauliflower mosaic virus 35S
19 promoter, which is widely used in the genetic
20 engineering plants, might affect neighboring genes.

21 One, for instance, could envision such an
22 insertion as affecting a gene that is involved in
23 transcriptional control and thus having very
24 significant effects throughout the plant, that may
25 not necessarily show up as an effect on phenotype

1 or on development or on fertility.

2 Moreover, it is also clear to me, having
3 come recently from the meeting of the American
4 Society for Plant Biologists that was held in
5 Denver last week, that the state of the art of
6 looking at metabolic profiles of plants is still in
7 its infancy. Because of this, it would be
8 unrealistic to expect at present that we could look
9 at global patterns, for instance, of various
10 metabolites that might conceivably be affected by
11 insertion of the transgene or expression of that
12 transgene and its effect on metabolism.

13 Yet, I would think that in crafting any
14 guidelines for future, we should certainly consider
15 the prospects that unintended consequences of
16 genetic engineering should be examined and
17 thoroughly characterized within the state of the
18 art, clearly can't ask industry to be held to
19 standards that technologically are not available,
20 for instance, metabolomics, looking at metabolic
21 profiles.

22 Yet, the Codex document certainly does put
23 a rather strong emphasis on this issue, and I think
24 it should be one that we should deliberate on
25 further.

1 DR. ASTWOOD: I just wanted to pick up on
2 Jonathan's suggestion. One of the things that was
3 not clear to me in our charge from the FDA is
4 whether the scope of the activities strictly
5 focused on the protein or whether we should also
6 consider recommending back to the FDA, the need to
7 develop guidelines on how to do the exact kind of
8 assessment focused on allergy that Jonathan really
9 suggests.

10 There are suggestions in the literature
11 about how to do that. There are examples in the
12 literature of how to do that, but I am not aware of
13 any specific guidance on how to evaluate whether
14 there have been changes in endogenous allergens in
15 the target crop, obviously, would be crop specific.

16 So, whether that is something that would
17 fall within our charge or not may need
18 clarification. I think it is certainly an
19 important topic.

20 DR. BRANDT: As far as I am concerned, it
21 is certainly mentioned in the Codex. I don't see
22 why it is outside our charge by any means.

23 DR. GURIAN-SHERMAN: To pick up on that, I
24 know of at least one case in the literature where
25 the different levels of endogenous allergens have

1 been measured. There is case with pepper, where
2 some varieties don't even have the assayed allergen
3 and other levels, so there is some beginnings of
4 that, and I think it is something that certainly
5 should be considered especially in the context
6 similar to what Dr. Metcalfe was discussing
7 yesterday about although we can't determine clear
8 levels of sensitization or response, there is some
9 dose response issue.

10 So, in that context, I think that should
11 definitely be considered as part of FDA's task.

12 DR. ARIAS: I would like to point out that
13 it is not that genetic engineering per se has, as
14 far as the scientific community knows, any special
15 risk associated with it compared to other methods,
16 for instance, traditional plant breeding, which as
17 you mentioned, Dr. Brandt, yesterday, can bring
18 together various combinations of genomes or genes
19 that can sort themselves out in rather dramatic
20 ways.

21 In fact, the evidence to date shows that
22 the only known unintended deleterious effect of
23 moving genomes has, in fact, been observed in
24 classical breeding situations where, for instance,
25 glycol alkaloids have been detected in potatoes

1 that were made by standard crop breeding
2 strategies.

3 So, I want to point out that I don't think
4 that genetic engineering per se has any higher
5 degree of risk, however, since we are putting
6 together a guidance document that should I think
7 encompass both intentional protein expression and
8 its allergenicity, as well as any unintended
9 effects, I think this would certainly be reasonable
10 in its scope.

11 DR. BUCHANAN: In the experiment I
12 referred to earlier, that we did with St. James
13 preparation, we asked three questions - is the
14 protein of interest an allergen, has the protein of
15 interest become an allergen, and has the
16 transformation process somehow created an allergen
17 in another way, and that is an unlikely event, we
18 felt, but possible.

19 In this experiment, we were able to
20 provide the no answer to each of those questions.
21 So, I think with our protocol with the dog, we are
22 able to address those areas, and I wonder if it may
23 not be possible also with rodents, that just one
24 has to plan the experiment properly, but we were
25 certainly able to do that.

1 DR. BRANDT: Other comments about Question
2 1?

3 DR. GURIAN-SHERMAN: I just would make a
4 general comment, reiterate a point, and it has been
5 brought out by several speakers and others about
6 how FDA should look at the guidance, and I think
7 the FAO consultation has a lot of value. Some
8 other points that have been brought out I think
9 have a lot of value.

10 The FAO, for instance, cites the Dr.
11 Gendel's work in its guidance as something that can
12 be looked at further, but I guess I would just, in
13 this context, want to reiterate that given the
14 uncertainties of some of these tests, we should err
15 on the side of caution in making our decisions, and
16 I think that while I again understand FDA's desire
17 for flexibility, until we have more certainty, when
18 we get certain results from some of these tests,
19 and I am think Maryanski indicated that that would
20 be the case, that the product should not go forward
21 even though there is some uncertainty.

22 If you get a result in the digestive assay
23 that considers a protein to be stable, there is
24 uncertainty about whether it is actually an
25 allergen, but there is at least a reasonable chance

1 it could be, and unless there is something
2 definitive that suggests that it is not an
3 allergen, I think there should be some again clear
4 stops in the process.

5 I think that needs to be spelled out for
6 reasons that have been discussed already about the
7 uncertainties of industry and the public about how
8 to proceed.

9 DR. BRANDT: Other comments about Question
10 1 and the Codex?

11 DR. LEHRER: I agree that there probably
12 should be some stops, but I would hope that we
13 would be able to have several criteria rather than
14 just one. I think that is the problem in the past,
15 and I think the technology is moving along and our
16 knowledge of allergens is moving along, so
17 hopefully, we would have several criteria.

18 I think also that we need to have a
19 balance in looking at these different documents in
20 which some aspects are highly detailed and others
21 are too vague, and I think that that is going to be
22 an important challenge to us, that we need to have
23 structure and some detail in terms of having
24 similarity, but on the other hand, I think we have
25 to have some flexibility.

1 DR. KAPUSCINSKI: I guess I would like to
2 briefly reiterate the point I made earlier this
3 morning, but this time in response specifically to
4 Question 1 and our charge, and that is, that I
5 support trying to develop a guidance document that
6 would contain a decision tree and then would have a
7 lot of guidance text that would lay out, at the
8 very least, options for different methodologies
9 that seem to stand up to the current state of the
10 art of the science.

11 One way that flexibility can be built in
12 is to also provide the option that if a company
13 thinks it has come up with a better methodology, it
14 can present results from that and make a scientific
15 case for why that is a better methodology, and that
16 is a way that we can kind of keep building as the
17 science is progressing, but I want to stress really
18 strongly that the power of having a decision tree
19 approach has really been pretty well proven
20 throughout the field of risk assessment in
21 assessing many kinds of technologies, and I think
22 we should take the wisdom from that and build on
23 that.

24 It gives you a systematic way of thinking
25 clearly about which test you should do first. It

1 makes it easier to explain the rationale to
2 outsiders including consumers. It has the power
3 that if different companies are using the same sort
4 of systematic structure, it will be easier for us
5 to be generating data that then will be easier to
6 compare, which will again help us to improve the
7 state of the art.

8 I mean I think we need to recognize that
9 one way we are going to move the science forward on
10 this, it is not only going to be through
11 traditional kinds of research, but it is also
12 through well documented gathering of the actual
13 empirical data that you gather when you do risk
14 assessment, and if you can both have that well
15 documented and if you are using procedures that are
16 relatively standard, as much as they can be across
17 the board, then, you can compare the data from
18 that.

19 So, the data that is actually being
20 gathered in risk assessment itself can contribute
21 to moving the science forward, and that will be one
22 of the real powers of relying on some kind of
23 decision tree methodology.

24 Finally, as I pointed out this morning, I
25 think some thought could go into considering

1 whether it be worthwhile to add some additional
2 arrows that would allow, if we look at the
3 righthand side of that decision tree that was shown
4 to us this morning, allow the developer or the
5 company to do more than one of the tests if they
6 want to.

7 I think that could be done and still have
8 some clear endpoints. Finally, I would agree with
9 Doug that given the uncertainty in some of the kind
10 of information that gets generated right now, we do
11 need to be careful and err on the side of caution.

12 DR. BRANDT: Go ahead, Dr. Astwood.

13 DR. ASTWOOD: Thank you. I had a question
14 for Dr. Kapuscinski about I was very intrigued by
15 your suggestion this morning again as you
16 articulated it, and I was wondering if one thing
17 for the drafters of the guidelines to consider
18 would be a tiered approach, which is a common
19 mechanism in risk assessment, where the
20 methodologies, you basically have a decision
21 process, but some studies are essentially triggered
22 by data development in previous studies.

23 DR. KAPUSCINSKI: Yes, and that is very
24 common.

25 DR. BRANDT: None of that is going to get

1 captured if you don't talk into the microphone.

2 DR. KAPUSCINSKI: That kind of tiered
3 approach, as long as it is structured and you can
4 again, if it is thought through clearly, you should
5 be able to capture it in a visual decision tree,
6 and that is the power of those, that they are a
7 representation of really clear thinking and
8 systematic thinking, and tiered approaches are very
9 common in risk assessment.

10 Now, I think some thought has to go into
11 the details of that. I am not blanketly saying any
12 tiered approach would work. We would want to look
13 at how that actually gets developed, but
14 conceptually that is a very powerful way to go, and
15 it has worked very well in other areas.

16 DR. BRANDT: Other comments about Question
17 1?

18 [No response.]

19 DR. BRANDT: We will move on to Question
20 2.

21 Are there areas that you believe would
22 contribute, that is, areas of research to this
23 whole process of allergenicity determinations? Now
24 is your chance. Yes.

25 DR. GURIAN-SHERMAN: First, there is a

1 recent study that probably a lot of you are aware
2 of, that I think bears examination, a Pugh forum on
3 biotechnology, did an assessment of federal
4 programs on research in allergenicity and found
5 several significant problems, one, minimal funding,
6 inadequate funding, lack of clear goals, lack of
7 coordination between agencies, and I think, as we
8 saw yesterday, some of the agencies have somewhat
9 different goals.

10 NIH is looking more at basic research.
11 That is where a lot of the research is going on.
12 FDA and EPA have very small budgets and they need
13 more targeted research to help them look at how
14 they can implement their guidelines, how they can
15 best do the tests, validations of tests, those kind
16 of things, and there is very little funding in that
17 areas.

18 So, I would first recommend that FDA look
19 at that. There needs to be a coordinating body. I
20 guess FDA and EPA's Office of Research and
21 Development need more funds to apply to research,
22 but there needs to be some mechanism to try to
23 coordinate that research and to get more research
24 addressing the specific applications. So, I think
25 that would be a start.

1 DR. BRANDT: My observation about
2 coordination among federal agencies, that that is
3 an unnatural act.

4 [Laughter.]

5 DR. BRANDT: But there might be a
6 mechanism for doing it, but certainly during my
7 years up here, I have never figured it out at least
8 how to do it. You know, it is kind of like getting
9 two departments in a university to coordinate their
10 activities. As long as you put one in charge, they
11 are happy to coordinate, but you have got to have
12 somebody in charge, that's the problem.

13 Yes, sir, go ahead.

14 DR. BUSTA: I am not sure if this is part
15 of the last question or this one.

16 DR. BRANDT: It doesn't make any
17 difference, you can go ahead. We will let them
18 sort it out.

19 DR. BUSTA: I think that in needs of
20 research and under other considerations in the
21 Codex document, I think the effects of food
22 processing, the processing treatments, and the
23 whole sequence of how these products are going to
24 be handled should be taken into consideration,
25 because they either enhance or generate potential

1 problems, or they may, in fact reduce them or
2 eliminating them.

3 I think that the ultimate use of these
4 items as a food are essential considerations.

5 DR. BRANDT: Yes, sir.

6 DR. ARIAS: I think in thinking of the
7 future, the FDA might consider linking efforts,
8 pre-existing efforts, with other federal agencies,
9 such as the National Science Foundation, U.S.
10 Department of Agriculture, DOE, et cetera, who are
11 already looking at functional genomics of crop
12 plants in a very systematic way, in particular, the
13 sequencing of plant genomes for a number of crops
14 will be I think greatly useful in regards to some
15 of the points that I raised earlier in regards to
16 the transgenic process itself, as well as leading
17 ultimately beyond functional genomics, the
18 expression of various genes in plants and the
19 influence perhaps of the transgenic process itself
20 will lead to more systematic efforts in the field
21 of metabolic profiling, which I think also is
22 likely to be the future in regards to nutritional
23 compositions and effects of transgenic expression
24 of various substances in crop plants.

25 Since these efforts already are underway

1 by a number of federal agencies, it is seems to me
2 integration of such approaches would be a very
3 powerful tool to exploit that information in
4 databases that are being created.

5 I feel also that it is unreasonable to
6 expect industry to adopt the burden of having to
7 pull a sequence and characterize crop genomes
8 although certainly that has been done by Syngenta.
9 I think the ultimate outputs of those data are much
10 better served when they are in the public database
11 and have broad utility for a large number of
12 questions that address not only the scope of this
13 meeting, but I am sure many other issues that will
14 come onboard to FDA in the future.

15 DR. LEHRER: I just want to respond to
16 point 2. Absolutely, yes, there are areas of
17 allergy research I think that FDA can help further,
18 and I would say yes to all of the issues that were
19 raised. We know very little about the some of the
20 basic mechanisms. Food allergy, we don't even know
21 the nature of the components that are stimulating
22 food sensitization or even in some cases eliciting
23 a food allergic response.

24 Digestibility has been discussed. I would
25 encourage looking at real life situations, that is,

1 old foods in terms of stability or lack of
2 stability of proteins.

3 We want to make the assays to be as
4 similar as possible to human exposure. Animal
5 models are essential. I have been encouraged over
6 the last couple of years in that there are several
7 groups that are moving in this direction, and I
8 think that we will see useful animal models in the
9 not too distant future.

10 Although an animal model of complete food
11 allergic response would be wonderful to have, I
12 think that it probably would be somewhat of an
13 impossible goal to have something without any type
14 of experimental manipulations, as has been
15 mentioned earlier, and I think that it is more
16 important for our discussions to have a model of
17 allergenicity at this point although it is
18 difficult, it would have to be balanced somewhat
19 with knowing the unique type of exposures that one
20 has to food allergens.

21 Serum testing again I think is important.
22 It has been talked about developing serum bank. I
23 think that would be very useful in terms of
24 standardizing and making available the right types
25 of serum to be used in the assays, and then

1 certainly sequence homology I believe can be very
2 useful.

3 I think it is very important how this is
4 defined. I think there is emerging information
5 about epitope sequences and substitutions of these
6 sequences that you can have one amino acid that
7 will actually enhance IgE binding to an epitope,
8 and if one was using the strict rule of sequence
9 evade amino acids, or so on, this would be
10 rejected, whereas, it could be a very potent or
11 potentially a potent reaction.

12 In terms of how FDA should implement all
13 of this, it really is certainly a challenge I think
14 to FDA and to all of us, because of the way monies
15 are distributed by the government in terms of
16 research, and unfortunately, you almost get a
17 runaround in terms of that.

18 The agencies that are interested in this,
19 such as the FDA and the EPA, don't have a whole lot
20 of money to support research. USDA, I think has
21 more funding, but I don't know that they have
22 funding directly for allergenicity. There may be
23 some available.

24 NIH certainly has the vast majority of
25 funds available, yet, to my knowledge, they haven't

1 directed funds in this area, and I think that that
2 would be the best source of funding if one can not
3 only convince them to have directed funding for
4 this area, but also have study sections of
5 individuals that are knowledgeable about these
6 problems.

7 You can have money directed to a certain
8 area. I have seen this in AIDS, for example, where
9 they have put millions and billions of dollars into
10 funding, but then if you have study sections that
11 are basic immunologists, composed of basic
12 immunologists, you are going to see money going
13 toward projects that may not necessarily the
14 questions that we are interested in.

15 I think all of these issues certainly need
16 to be addressed and would help further our
17 knowledge and allow us to make better decisions
18 concerning the allergenicity of these products.

19 DR. KAPUSCINSKI: Just to add a little bit
20 to the end of what Dr. Lehrer was just saying, my
21 thoughts when I looked at this question last night
22 was recognizing the difficulty of agencies
23 cooperating.

24 I do know of some recent cases where an
25 agency with regulatory responsibility, in this

1 case, a subcomponent of Department of Commerce
2 actually collaborated I believe with NSF to develop
3 a very applied competitive research grant program,
4 and so it seems like it might be possible for FDA
5 to do something like that in concert with NIH.

6 It may be very helpful to generate some of
7 this research through a competitive grants program,
8 which is pretty well shown to help get results
9 fast. It would be just simply because of the
10 competition and the pressure on researchers to get
11 stuff published.

12 It is also a good way of having a lot of
13 transparency in the research results, so that may
14 be able to piggyback on some of the comments that
15 Dr. Lehrer just made at the end of his comments.

16 DR. BUCHANAN: Yes, I would certainly
17 support the need for additional support, and I
18 think that the dogs eat 12 months, you know, day-in
19 and day-out whether they are busy making IgE or
20 not. So, it has certainly been a major factor in
21 our operation to keep that going for the last 22
22 years.

23 But I think that my impression is that one
24 of the goals of that North Carolina conference was
25 to at least support for animal models in

1 interesting other areas, and I think it is needed,
2 not just for animal models where it is sorely
3 needed, but also for proteomics. I think we need
4 to look at the proteomics in addition to the things
5 that Jon has been mentioning.

6 I am involved currently in various
7 projects on proteomics of chloroplasts and of
8 wheat, and I think if we can look in the future and
9 apply that to allergens, allergenic foods, that the
10 future will just be very, very great, I really
11 believe that.

12 DR. BRANDT: Well, I heard the word
13 challenges used twice, and it reminds me of a
14 former Secretary of Health and Human Services, then
15 called HEW, and after about a month on the job, at
16 a press briefing, he was asked what do you think
17 about your job, and he said, well, what I have been
18 faced with are some unsolvable problems cleverly
19 disguised as challenges, so there are some of those
20 for sure.

21 I mean there are examples of NIH and FDA
22 doing some things together in the past, and I would
23 suspect that this is an area that certainly could
24 be explored. The lack of a commissioner may hinder
25 some of that at the moment, but some of it

1 certainly could be approached, and I think without
2 doing that, it is going to be very difficult for
3 some of this research to really get done frankly,
4 because I think the odds of FDA getting big chunks
5 of research money are pretty slim for a while at
6 least.

7 Any other comments about Question 1 or 2?
8 We are going to finish here by lunch at the rate we
9 are going.

10 All right. Development of draft guidance
11 that may aid in enhancing public understanding.
12 Now, there is a real challenge to get across. So,
13 there we go. Go ahead, sir.

14 DR. ARIAS: I think it is apparent from
15 discussions in the documents that there is a lack
16 of an absolute standard even in the best case for
17 analysis and determination of allergens, and so I
18 think clearly what the public will want to be
19 apprised of is this lack of absolute standards
20 despite the fact that the decision tree gives a yes
21 or a no, of course, it doesn't integrate
22 probabilistic issues, which I think are intrinsic
23 to the risk analysis.

24 So, we really can't talk about a lot of
25 risk or a little risk. We can only say there may

1 be or may not be. I think that has to be defined.
2 Also, the concept of substantial equivalence, which
3 I think is in some regards going to be a slippery
4 one for the public. I know it has been in part for
5 me to define what types of terms we can use to best
6 describe the model systems and the outputs that we
7 are comparing.

8 Third, I think the public, in general, has
9 a great degree of confidence in U.S. regulatory
10 agencies. I think they have, in the main,
11 performed admirably, at least as a member of the
12 public I am speaking, and the FDA in particular I
13 think is obviously showing a high degree of
14 sensitivity by this in other fora in trying to
15 address those concerns and by the public comment,
16 for example, and input.

17 I think what the public wants clearly are
18 the facts and the truth. If we are ambiguous about
19 our determinations, we should probably make it
20 clear that those models and the improvement, the
21 state of the art, this is the best we can say. I
22 think if we go beyond that, we might very well wind
23 up in the case of like the British public and their
24 apparently lack of confidence in British health
25 administrators vis-a-vis the bovine spongiform

1 encephalitis epidemics, the French Red Cross, and
2 many others where public confidence has plummeted
3 because people assured the public of risks that, in
4 fact, did exist, but did not really communicate
5 that effectively.

6 I think the public in the United States
7 will tolerate some ambiguity as long as we are
8 front and center on that.

9 DR. LEHRER: Also, I think it is very
10 important that the public first understand what
11 allergy is and the risk of allergy from their food
12 supply, because I think that there is some
13 confusion, as has been mentioned I think in the
14 lectures yesterday, a much larger percentage of the
15 population think they have allergies than really
16 do.

17 Also, there are a variety of types of
18 reactions or symptoms that are really not related
19 to allergy, that they may attribute to that. So, I
20 think that if in some way they can be better
21 educated with regard to that.

22 Also, in dealing with allergists, I felt
23 in some ways they might be one of the first lines
24 of inquiry or individuals who may have reactions,
25 and one of the things that we have tried to do at

1 the American Academy of Allergy is have sessions,
2 so they better understand the process and also the
3 assessment of them.

4 I would encourage that to go further
5 because a lot of the folks that may be having
6 reactions or think they have a reaction, if there
7 is one, may go to their allergist and a lot of
8 times they may not know how to deal with it or even
9 how to discuss it with their patients.

10 DR. GURIAN-SHERMAN: I would like to
11 reiterate and endorse a lot of what Dr. Arias said,
12 and I think one of the ways to enhance consumer
13 confidence is to lay out in as much detail as we
14 feel is warranted by the science, what FDA is doing
15 and how they are doing it, and what their criteria
16 are.

17 I think a lot of the ambiguity and
18 vagueness in the current process only lends itself
19 to more consumer skepticism when it is examined
20 closely. So, again, I would make a plea for as
21 much detail as we can put in the process and to
22 make it as mandatory as we can.

23 I know our charge here is to discuss it
24 within the framework, but I that especially given
25 the fact that there is not a natural pooling

1 process, makes it even more important to be as
2 thorough and specific as possible in laying out the
3 process.

4 DR. KAPUSCINSKI: I will just add that I
5 think that if you actually intelligently present
6 the decision tree picture, then considering the
7 comments that others have made here, that is
8 actually a really nice way to be able to explain to
9 the public what FDA is doing.

10 People will be able to relate to that
11 better, and I think it actually gives you an
12 opening to communicate the message about ambiguity
13 in a way that that will sort of make sense to
14 people, because they will see that yes, there is
15 these ambiguities, but instead of just being
16 paralyzed by it, we are trying to move forward in a
17 systematic way, and I think if it is articulated
18 well, it will be easy to explain, to convince
19 people that this is the best that we can do at this
20 time, this is the state of the art.

21 I think again specificity can be in the
22 more detailed text that maybe not every consumer
23 will read, but it is there for the people that are
24 more interested and want to read that. So, I think
25 that the more you can show that the FDA is taking a

1 systematic, structured approach it is expecting
2 across the board, the easier it will be to address
3 Question 3 about enhancing public understanding.
4 People are just going to be more comfortable with
5 that.

6 DR. BRANDT: Other comments?

7 DR. BUCHANAN: I think it has been said
8 before, but, but I will just say it another way. I
9 think that certain of these technologies and
10 protocols for testing really have to grow up as the
11 field develops. That would include not only animal
12 models, but the serum bank and perhaps other
13 aspects of the decision tree.

14 I am optimistic if the work in the field
15 or research in the field can parallel the
16 development of regulatory policies, otherwise,
17 this, as has been said before, it will just stop
18 and anything would be terrible, that would be the
19 stop.

20 DR. ARIAS: I think also it does the FDA
21 no good to bury its recommendations in obscure text
22 like the Federal Register and such, which the
23 majority of people don't read every day. I think
24 there are a number of venues for the FDA to more
25 amplify their message to the general public in

1 particular, for instance, societies that deal with
2 plants and plant biology, such as the American
3 Society for Plant Biologists.

4 There are a web sites, of course, that
5 deal specifically with information to the public on
6 GM crops. I think the FDA should take a more
7 active role in making that information available
8 either directly or through links, so that the
9 general public can begin to access such
10 recommendations.

11 DR. BRANDT: How accurate are those? I
12 mean I have reviewed just recently web sites having
13 to do with medicine. Ninety percent of the stuff
14 that is in there is wrong.

15 DR. ARIAS: I can state for the record how
16 many there are that are accurate enough, but there
17 are web sites that do promote accurate
18 dissemination of information on GM crops. I have
19 no doubt there are some that are self-serving,
20 particularly in the farm and nutritional area,
21 there is a lot of phenomenology, but I think that
22 certainly through the societies, the scientific
23 societies would be a good start to link, at least
24 link that information to information that is
25 already being disseminated by those groups for that

1 very same purpose, to better educate the general
2 public on the issue of GM crops.

3 So, I have noted a conspicuous absence of
4 regulatory agency links through such sites, but I
5 am sure the societies would be delighted to get
6 that type of input.

7 DR. BUCHANAN: I am glad you mentioned
8 that. The American Society of Plant Biologists is
9 a major activity in educating the public. It is
10 just one of the things that is right at the top of
11 the agenda. I know because I was president of the
12 society a few years ago, and it continues to put
13 resources and effort into that arena, what you
14 consider is very, very important.

15 DR. BRANDT: Everybody suddenly went
16 quiet.

17 DR. BUSTA: I think that with all of the
18 communication, an item that was publicized
19 yesterday and brought up today, as well, is that
20 communication should not generate extensive
21 responses and make the public more concerned about
22 allergens than they are, and actually exist.

23 If a lot of the public feels that they are
24 allergic to food, and are not, I think that part of
25 the education process, in addition to saying what

1 FDA is doing, is to alert the public to the actual
2 incidence, and not cause a major response beyond
3 what is necessary. Maybe the allergen societies
4 could do that.

5 DR. BRANDT: Dr. Maryanski, have you got
6 all the advice you can handle or you want more?

7 DR. MARYANSKI: I think you have a lot of
8 good ideas.

9 DR. BRANDT: What we can do, all of you
10 have ordered your lunch. Perhaps after lunch, over
11 lunch, some of you may have other ideas, we can get
12 them discussed, and then we can all go home.

13 DR. KAPUSCINSKI: I was wondering, since
14 we have a little bit of time, if we could maybe get
15 a little more detail from Dr. Maryanski about
16 exactly how we are going to proceed in the next
17 step. For example, yesterday, there was some
18 mention that the agency would like now to try to
19 develop draft guidance and then run the draft by
20 the committee.

21 I am wondering how is that going to
22 actually happen, are we going to reconvene as a
23 committee, meet face to face and discuss the draft
24 or are we going to each receive it individually and
25 be asked to sent in comments? Is there any general

1 idea of when the next meeting might be and things
2 like that?

3 DR. MARYANSKI: It is a good question and
4 I think it actually gives me an opportunity to give
5 you a sense of what our expectation is. This whole
6 idea of the subcommittee, did we actually explain
7 to you that this is one of actually six
8 subcommittees that we are forming?

9 DR. KAPUSCINSKI: No.

10 DR. MARYANSKI: Then, let me back up since
11 we have a few minutes.

12 DR. KAPUSCINSKI: A little more context
13 would help.

14 DR. MARYANSKI: We have had for a number
15 of years a standing Food Advisory Committee, which
16 is the full committee. This is now a subcommittee.
17 Dr. Brandt in the past has been chairman--

18 DR. BRANDT: Eight long years.

19 DR. MARYANSKI: Eight long years he
20 served, yes, and very admirably, I must say. In
21 fact, he did manage to weave through the mine
22 fields on the first biotech issues for us quite
23 admirably.

24 But we have a Food Advisory Committee and
25 what we have now done to give us really more

1 focused scientific input is to establish, I think
2 the number is now up to six subcommittees under the
3 Food Advisory Committee, so these are established
4 as subcommittees of the full Food Advisory
5 Committee, and they are all designed to look at
6 specific topics.

7 This one, of course, is food
8 biotechnology. We have other committees, one that
9 looks at food additives, one that looks at
10 contaminants, one that looks at dietary
11 supplements, and there are some others that I don't
12 off the top of my head have the complete list for
13 you, but you get the sense that we have now a
14 number of subcommittees under this committee.

15 What the goal is, is to have these
16 committees really be essentially working committees
17 that work on primarily scientific issues for us, so
18 that they are focused on particular topics. Those
19 committees, the work of those committees then would
20 be reported back to the full committee, and in some
21 cases, issues that are discussed in the
22 subcommittee may also be addressed through the full
23 committee, but basically, this is a subcommittee of
24 the full committee.

25 So, our goal, once we have this up and

1 running, is to have probably two meetings a year of
2 this subcommittee, so this will be a fairly ongoing
3 process in that sense.

4 It does take a couple months to put
5 together a committee meeting. It is not an easy
6 process usually. We are hoping that the next few
7 will be a little easier than the rest one has been,
8 and we certainly will be looking.

9 We plan to look at all of the aspects of
10 this committee meeting in terms of the logistics,
11 and so forth, for the planning for future meetings,
12 so this is the beginning of an experience here at
13 the Center for Food Safety and Applied Nutrition.

14 We have not had these subcommittees
15 before, and we have not been in this building
16 before, but I think in terms of the work, I am
17 certainly very pleased with the discussions that we
18 have had.

19 Our expectations were not real high in the
20 sense that we did not want this subcommittee to
21 feel that we were bringing them in here to present
22 this issue and expect you to give us some
23 definitive answers about how to assess
24 allergenicity, for example. That would not have
25 been fair to you, it would not have been a proper

1 expectation for us.

2 But we think this is a good start. You
3 now know a little more about who we are, and I
4 would encourage you, if you feel that there are
5 other aspects of what we do as FDA, that would be
6 helpful to you in doing your work, that you let us
7 know that, because we have been listening over the
8 past two days for things that might be helpful to
9 you in terms of doing your work, thinking about
10 that, as well outside of just the biotechnology
11 aspect.

12 We want you to be able to understand what
13 we do, what we can do, what we can't do, as well as
14 the issues around biotechnology.

15 In terms of the actual work here now, we,
16 of course, now have suggestions, probably you have
17 things to think about as we now proceed to develop
18 the draft guidance document. When we speak of a
19 draft guidance document, it is a document that we
20 have special procedures for, and we do, once a
21 document is developed, we do put it out for public
22 comment.

23 As you heard Mr. Lake say yesterday, out
24 intention in this process, for this particular
25 document, is to bring it back to you as a

1 subcommittee before we actually put it out for
2 public comment, so you will have another chance for
3 input on that document before we actually publish
4 it for another round of comments from the broader
5 public.

6 My expectation would be the normal process
7 for putting together a committee is to prepare the
8 background information ahead of the meeting and
9 make that available to you, so that you have a
10 chance to read that and study it before you come to
11 the meeting.

12 So, my expectation would be that we would
13 do another meeting when we do a meeting on the
14 draft guidance once we have it, would be to make it
15 available to the subcommittee members before the
16 meeting, and at the same time, I believe, Margaret,
17 we would put it on the web. How are we doing that,
18 how are we doing background documents?

19 DR. COLE: I am not entirely clear on that
20 yet.

21 DR. MARYANSKI: We are working out a
22 process here with the new subcommittee, but the
23 idea here is that the document should be available
24 to you before you have to come in and discuss it.

25 DR. KAPUSCINSKI: And your impression is

1 that at that point, the document is public or is it
2 something that we would have a closed meeting
3 about?

4 DR. MARYANSKI: Once we give the document
5 out to the subcommittee, it is, in fact, a public
6 document.

7 DR. KAPUSCINSKI: That is what I was
8 guessing. And then you would get our comments, you
9 would use that to rework the draft and then publish
10 it officially in the Federal Register for public
11 comment?

12 DR. MARYANSKI: Well, the way it works is
13 what we would do is take the comments from whatever
14 the subcommittee provides us, we would make
15 whatever modifications we felt were appropriate to
16 the draft guidance.

17 We would then publish an announcement in
18 the Federal Register of the availability of the
19 draft guidance, and would then at the same time
20 place it on the web, so it is available then for
21 all interested parties to comment.

22 DR. ARIAS: Can I ask a question in
23 regards to sort of the more global perspective of
24 the focus of the group and ultimately how that may
25 impact agricultural policy down the line. In

1 particular, I am thinking that this panel, I think
2 works on the assumption that these guidelines are
3 targeted towards national agricultural industries,
4 and since agriculture is obviously an international
5 commercial enterprise in the United States, we
6 export, we import.

7 How would these guidelines affect those
8 types of relationships and what would ultimately be
9 expectations there in terms of the global
10 perspective?

11 DR. MARYANSKI: Well, of course, we are
12 often asked by countries about our procedures and
13 policies, and it has been our position to, when
14 other governments ask for advice from FDA, that we
15 make every attempt to respond to that, and that may
16 be sharing our guidance documents or explaining our
17 evaluation process or whatever seems to be the need
18 for the other government.

19 We are most effective in talking to other
20 countries when we are talking to our counterparts,
21 in other words, those officials who make decisions
22 about the safety of foods and food ingredients. We
23 are not effective in talking to the public, that is
24 not our role to talk to publics in other countries
25 or even the people primarily interested in trade.

1 We do provide information, and that is one
2 of the reasons for our web site, to make sure that
3 everyone has access, but it is very important for
4 us to communicate with other governments, and that,
5 of course, is the reason we work in the Codex
6 process.

7 We also work in another international
8 organization called the OECD, which is the
9 Organization for Economic Cooperation and
10 Development. That organization has a task force on
11 novel foods and feeds, and Dr. Paul Mayers is the
12 chair--well, he was the chair, I have to correct
13 myself, up until now he has been the chair of that.
14 Because of new responsibilities in Canada, he has
15 stepped down from that. Dr. Kelly from Australia is
16 the current chair of that committee.

17 But you probably will be hearing from us
18 about some of the work that we are doing in that
19 task force also. The international activities are
20 things that I think this subcommittee probably is
21 going to be hearing about along with other issues
22 that we are working on internally, as well.

23 We actually see this as a working
24 subcommittee. We want to be able to discuss issues
25 with you that relate to our everyday work. The

1 reason I say that is that most of the time in the
2 past, in this center, when we have used advisory
3 committees, it has been for something that is very
4 much in the public interest. The Flav'r Savr
5 tomato, of course, is the one that I am most
6 familiar with, but we have had other issues that
7 are very much in the public interest, and we will
8 do that, too, here, but we really want to also use
9 this opportunity to gain your suggestions about
10 things that relate more to our everyday work, as
11 well as these more sort of noticeable issues.

12 So, we are expecting a lot actually in
13 that sense, but as I have said, if you have any
14 suggestions about things that you think it would be
15 useful to discuss, we would certainly be interested
16 to hear that. We will be, of course, thinking
17 about issues to bring for the agenda for these
18 meetings on the basis of what we feel are the
19 priorities at the time.

20 You have now gotten one of my really long-winded
21 answers to your question.

22 DR. KAPUSCINSKI: Thank you.

23 DR. BRANDT: I have to say that scary part
24 is that they listen to you, and also sometimes even
25 implement things that advisory committees

1 recommend, so I mean it is taken serious and it is
2 worth your time and your effort, so I would commend
3 all of you for doing it.

4 Next time you meet--you have three members
5 missing today--I presume they will be here
6 including your chair, Dr. Archer from the great
7 State of Florida. He is probably down there trying
8 to get mercury out of fish, but in any event, I
9 presume he will be here next time, and I won't be.

10 The reason his expectations were so low
11 was because he knew they were running me in as a
12 last-minute substitute, but anyway, it has been a
13 real pleasure for me to get to meet all of you and
14 talk to you, and I hope that your work is
15 satisfying on this subcommittee, and so forth.

16 Thank you very much.

17 [Whereupon, at 11:20 a.m., the meeting was
18 concluded.]