

Official Transcript of Proceedings

NUCLEAR REGULATORY COMMISSION

Title: Advisory Committee on Medical Uses of
 Isotopes: Subcommittee on Remote
 Afterloading

Docket Number: (not applicable)

Location:
 Rockville, Maryland

Date: Thursday, September 28, 1995

Work Order No.: NRC-339

Pages 1-277

1323 Rhode Island Avenue, N.W.
Washington, D.C. 20005
(202) 234-4433

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

UNITED STATES OF AMERICA

NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON MEDICAL

USES OF ISOTOPES

(ACMUI)

+ + + + +

SUBCOMMITTEE ON REMOTE AFTERLOADING

+ + + + +

THURSDAY

SEPTEMBER 28, 1995

+ + + + +

ROCKVILLE, MARYLAND

+ + + + +

The Subcommittee met at the Nuclear Regulatory Commission, Two White Flint North, 11565 Rockville Pike, Room T2B3, at 8:00 a.m., Judith Anne Stitt, Chairman, presiding.

MEMBERS PRESENT:

- JUDITH ANNE STITT
- ROBERT M. QUILLEN

ALSO PRESENT:

- 1 LARRY CAMPER
- 2 TRISH HOLAHAN
- 3 ROBERT AYRES
- 4 TORRE TAYLOR
- 5 SALLY MERCHANT
- 6
- 7
- 8
- 9
- 10
- 11
- 12
- 13
- 14
- 15
- 16
- 17
- 18
- 19
- 20
- 21
- 22
- 23
- 24

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

A G E N D A

<u>Agenda Item</u>	<u>Page</u>
Radioactive Material, Item 6	28
Purposes for which Licensed Material Will Be Used, Item 7	36
Authorized Users, Item 8	43
Training for Individuals Responsible for Remote Afterloading Brachytherapy, Item 9.1.1	84
Training for Nursing Staff, Item 9.1.1.1	96
Normal and Emergency Operation of HDR RAL device(s), Item 9.1.1.2.2	100
Training for Ancillary Personnel, Item 9.1.1.3	126
Training Contractors, Item 9.1.1.4	137
Records, Item 9.3	142
Facilities and Equipment, Item 10	142
Viewing and Intercom Systems, Item 10.1.1.2	168
Survey Instruments, Item 10.2	170
Security of RAL Devices and Sources, Item 10.5	175
Radiation Safety Program, Item 11	185
Implant Source Record and Inventory, Item 11.14	190
Area Survey Procedures, Item 11.15	196
Operating and Calibration Procedures, Item 11.20	239
Maintenance of RAL, Item 11.22	247
Radioactive Waste Management, Item 12	256
Returning Sources, Item 12.3	259

P-R-O-C-E-E-D-I-N-G-S

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

(8:28 a.m.)

MR. CAMPER: Good morning. I am Larry Camper. I am the chief of the Medical Academic and Commercial Use Safety Branch, and the designated federal official. This is a subcommittee meeting of the Advisory Committee for the Medical Uses of Isotopes. This meeting was noticed; it's a matter of public record, in a Federal Register Notice published on the 21st of August, 1995.

With me here today, two members of the Advisory Committee, and Dr. Judith Stitt, who will act as the chair of the subcommittee meeting today. And Mr. Robert Quillen, who is our states representative to the Advisory Committee. Also we have Dr. Robert Ayres, who is a member of the Medical Academic and Commercial Use Safety Staff, Dr. Patricia Holahan, member of the staff; Sally Merchant, a member of the staff; as well as Torre Taylor, who also serves as the administrative coordinator for the Advisory Committee on Medical Uses of Isotopes.

This is the second subcommittee meeting in a series of three meetings. The first was held yesterday, and the purpose of the subcommittee meetings is to discuss a number of guidance modules that have been prepared by the staff to be added to the existing Regulatory Guide 10.8, which is the so called medical licensing guide.

1 Today we'll be discussing the guidance module
2 entitled, Remote Afterloading Brachytherapy Module. This
3 module is a revision to policy and guidance directive FC 86-4,
4 which underwent substantial revision updating, following a
5 significant medical event in Pennsylvania 1992.

6 This guidance document has been discussed in some
7 form through a document identified as the brachytherapy issues
8 paper with the Advisory Committee in total previously as well
9 as with a number of professional societies. Currently these
10 guidance modules are undergoing review and development, as I
11 said for addition to 10.8 and will ultimately be included in a
12 licensing manual, which is being prepared as part of our
13 agency's business process reengineering initiative.

14 So with those comments then I would ask Dr. Stitt
15 if she would assume the chair of the meeting and we can
16 proceed.

17 MS. STITT: Good morning. How do you want to
18 proceed?

19 MR. CAMPER: Go right ahead, Madam Chair.

20 MS. STITT: Well we have in front of us the
21 remote afterloading brachytherapy module, and if I understand
22 right this is somewhat informal, but we're asked not to all
23 talk at the same time. So do you suggest we start with page 1
24 and keep turning?

25 MR. CAMPER: That's fine.

1 MS. STITT: And there are different colors of
2 markers and handwritten notes on our personal copies, so I
3 think that's what we're going to be working from.

4 Should we just start on page 1. And do you want
5 to make comments about certain things you're looking at there,
6 sir, Dr. Quillen?

7 MR. QUILLEN: Actually I have no comments on
8 page 1. Those were just things to alert me and remind me of
9 items that I needed to consider later on.

10 MR. AYRES: I might make a general comment, this
11 one's a little different than any of the others in that our
12 region and other comments just came in and have not been
13 incorporated. So I have a folder full of comments already.

14 MS. STITT: Is it worth trying to bring you those
15 up here or is that too convoluted?

16 MR. AYRES: They're mostly of an editorial
17 nature. The only I guess policy issue really that's in these
18 are some OGC stuff, which will have to start out -- is the
19 state of Illinois comments. They're proposing much more
20 stringent requirements on PDR than are in this module.

21 MR. CAMPER: What I'd like to do on that, Bob, if
22 we could, is yesterday we also had some comments. In the
23 meeting yesterday we discussed mobile medical imaging module
24 and -- What was the second module we discussed yesterday?

25 MS. HOLAHAN: Radiopharmaceutical; radioactive

1 drug therapy.

2 MR. CAMPER: That's right. Radiopharmaceutical
3 drug therapy.

4 We did have a number of comments from the
5 regional staff on those modules, and we did share those
6 comments with the committee members yesterday. I'd like to
7 make sure that we also do that today; share those comments
8 with the subcommittee members. And if the opportunity
9 presents itself later in the day, to even perhaps take a look
10 at any major issues, if there are -- If it's all editorial
11 then fine, but if there are any substantial technical issues
12 in there it would be nice if the committee could at least have
13 an opportunity to glance through them to see if they have any
14 thoughts about it.

15 MS. STITT: Well Trish, I assume you have
16 comments you're piping up, is that right?

17 MS. HOLAHAN: Yes.

18 MS. STITT: Just in general, a lot of this -- I
19 mean this is not particularly new material here. It seems to
20 be a different format for some of the things that we have in
21 Part 35 and shuffling other things around, so I'm not sure how
22 emotional we may find some of our meeting today.

23 MR. AYRES: It's a rewrite of our current policy
24 and guidance directive, with a few changes, and mostly minor,
25 except a couple of them are relatively subtle, such as, the

1 bulletin had a requirement. If you'd like I can summarize the
2 changes from --

3 MS. STITT: All right. Particularly those subtle
4 ones that maybe if we haven't enough coffee we didn't catch.

5 MR. AYRES: From the current policy and guidance
6 directive, one of the more subtle changes is the bulletin and
7 the current policy and guidance directive called for the
8 presence of the authorized user and the medical physicist or
9 RSO. We deleted "or RSO". So we've implied that medical
10 physicist is now required.

11 MS. HOLAHAN: Do we allow them to propose an
12 acceptable --

13 MR. AYRES: A super alternative, and what I've
14 provided in the way of guidance in this regard has normally
15 been say a dosimetrist or something, similar professional that
16 has had the specified training normal in emergency procedures
17 on a device. For an authorized user we go along with a
18 resident who's been trained and that sort of thing, or the
19 next tier down in the professional level.

20 One of the other things I deleted because
21 technically it's not reasonable anymore because the size of
22 these sources have gotten so small I deleted the requirement
23 for checking the homogeneity of the source.

24 MS. HOLAHAN: And that was discussed with the
25 full ACMUI when we had the two physicists present?

1 MS. STITT: Right, that was our last meeting.

2 MR. AYRES: We had a discrepancy between 35.400
3 and the guidance in the bulletin on the appropriate serving
4 instrument to use, and we decided to go with the bulletin
5 guidance in lieu of the 35.400, so we have a licensed
6 condition in lieu of exemption. I maintained all along that
7 it was more appropriate to use a non-saturable iron chamber
8 type instrument rather than a geiger saturable type
9 instrument.

10 MS. STITT: And we discussed that in front of the
11 whole committee?

12 MS. HOLAHAN: That's true. The one thing as Bob
13 mentioned is, that it does a require an exemption to the
14 regulations, currently, because we have not changed the
15 regulations. But that can be done as part of the licensing
16 process.

17 MR. AYRES: OGC's querying that, so we'll have to
18 deal with that one.

19 MS. STITT: Are you dealing with that?

20 MR. AYRES: Well I just got these comments in the
21 last three or four days. I got some of them yesterday, and I
22 still haven't got these two.

23 MR. CAMPER: I'm sorry, Bob, help me out here.
24 They're querying the need for the exemption?

25 MR. AYRES: Yes. They're querying the

1 need -- Well actually, Marjorie is -- querying the need for
2 all exemptions to 35.400.

3 MR. CAMPER: In the sense of, are they warranted?
4 Are they necessitated?

5 MR. AYRES: Well, it's just more of a question --
6 She's saying, well why doesn't it apply as written, and she
7 doesn't understand the technical.

8 MS. STITT: They need information that they don't
9 have?

10 MR. AYRES: Yes. From OGC's comments it's clear
11 that they don't understand that technically the 35.400
12 requirements as written cannot be met.

13 MR. CAMPER: Oh, I see, okay.

14 MR. AYRES: I think we'll have to work that out
15 with them.

16 I guess the only other significant one in here is
17 allowing -- and Trish has written some guidance on this, and
18 it's not incorporated in here. But allowing them to ship more
19 activity than can be installed in the device, so that for the
20 convenience of the vendors and the users so that they can ship
21 12 curies. They can ship whatever the shipping container is
22 certified for, but can't install anymore than the safe and the
23 device is certified for. So I think pre-ship say 12 curies
24 and schedule the installation at the time the source reaches
25 10 curies.

1 MR. CAMPER: Bob, would you comment on the
2 surgical intervention issue?

3 MR. AYRES: It hasn't really changed.

4 MR. CAMPER: But the point is, one of the things
5 I want to try to make sure when we get to that point today is
6 get some feedback from the committee, particularly from
7 Dr. Stitt, is this idea that in doing procedures involving
8 HDR, if you're involved in a procedure where a source could
9 become lost in the patient's body that may necessitate
10 surgical intervention to remove it I'd like to get some
11 thoughts as to the way the guidance is currently structured.

12 Is that a reasonable requirement? Is it a
13 situation where we're not imposing upon medical practice or
14 problem?

15 MS. HOLAHAN: Okay. I just wanted to say, I
16 don't believe at this time in the guide that we specifically
17 say that you cannot conduct procedures unless you can do
18 surgical intervention, and I guess the question is, is should
19 we. Is that correct, Bob?

20 MR. AYRES: Well, most the licensees do, I would
21 say, 80 percent. That's a guess. But most of the licensees;
22 response to that requirement is, is we do not do procedures
23 that would require surgical intervention, and they primarily
24 predicate on that the source is always enclosed in the
25 transfer tube applicator system. Where we of course know

1 there have been multiple failures of transfer tube applicator
2 systems. So it's a little bit of a concern, but we say, okay.
3 You say -- Unfortunately it's a little bit of that philosophy,
4 it can't break, that contributed to the Pennsylvania incident;
5 the source can't break. Well, basically what most of the
6 licensees are maintaining is, the containment system, the
7 applicator transfer tube, can't break. And we in fact know of
8 multiple instances where they have. So we take -- we say,
9 just plan for it, and have at least something in mind if
10 something goes drastically wrong.

11 MS. STITT: And as I was reviewing this, the two
12 aspects of an emergency -- and Trish, you addressed this in
13 the document that you put together. The two aspects being one
14 medical and one radiation safety. And is an institution
15 prepared to address both those aspects.

16 MS. HOLAHAN: I think this comes up particularly
17 in the cases that we talked about before with prestanding
18 clinics and something like that. And I know the question and
19 I've sort of tried getting again some feedback too. Even
20 though, as Bob says, it indicates that the source is enclosed
21 are there possibilities, for example, endobronchial, that it
22 could actually get caught or something and no longer be
23 enclosed, and I have gotten some indication that it is a
24 possibility. So what is a licensee prepared to do, or what
25 should a licensee be prepared to do in those cases?

1 MS. STITT: And in the draft that we're looking
2 here, the remote afterload and brachytherapy module, there is
3 not a statement or is it real vague?

4 MR. AYRES: Yes, there is.

5 MS. HOLAHAN: About what's required?

6 MS. STITT: Section F? Is that what you're
7 referring to?

8 MR. CAMPER: Item f of 11.21, Emergency
9 Procedures. There are really two things. B, is somewhat
10 indirectly applies, but F is the more direct consideration.
11 And as Trish pointed out, if you look at the words, it says
12 identify the location of emergency source recovery equipment
13 and specify what equipment may be necessary for the various
14 equipment failures described in the procedure. At a minimum
15 emergency equipment should include shielded storage
16 containers, remote handling tools, and if appropriate supplies
17 necessary to surgically remove applicators or sources from the
18 patient, including scissors and cable cutters.

19 Now, that doesn't go all the way, if you will, of
20 saying, if you're going to do a procedure in which there's a
21 potential for the source to be lost in the patient's body you
22 must be prepared to intervene surgically if need be. And a
23 fundamental question for us, and it's a terribly important
24 medical question is, if were to take a stronger posture along
25 that line would that be acceptable to the medical community,

1 would be walking on the practice of medicine, or would that be
2 a reasonable regulatory request under those circumstances?

3 MS. HOLAHAN: Because there is a radiation safety
4 issue associated with it obviously.

5 MS. STITT: Right.

6 MR. AYRES: I was just going to say, the other
7 area that's not addressed here because it gets closer to the
8 practice medicine I guess if you will although it's a
9 radiation safety consideration, is do they have a plan to
10 respond to a medical emergency not related to the HDR, but
11 involving the HDR, and that is not covered here.

12 MS. HOLAHAN: During patient treatments.

13 MS. STITT: Of course the HDR isn't the only
14 issue. This is a remote afterloading. The more critical
15 issue becomes HDR because of it's high doses. But this module
16 in general applies to any remote afterloading.

17 MS. STITT: This section of emergency procedures
18 covers it. It doesn't have some of the detail that you -- If
19 you've got a true radiation safety emergency with a high dose
20 rate source, you in theory would need to be doing a
21 thoracotomy, or another example was the case that we've been
22 through with the prostate implant. That was a medical
23 emergency. Well, it was a radiation safety emergency, and
24 that patient had a surgical removal of those seeds of radical
25 prostatectomy within five hours or something like that. And

1 whether they had written procedures it described that's what
2 they would do ahead of time. That is what they did do when
3 the event occurred.

4 Some of my question has to do with how specific
5 do we have to get in these to tell folks that you have to
6 think about this ahead of time.

7 MR. AYRES: With the remote afterloading I guess
8 part of the -- one of the considerations for leaving that out.
9 Normally you would expect the devices to automatically retract
10 the sources when you're responding to a medical emergency, to
11 have a radiation emergency in conjunction with it would
12 require a medical emergency which could do induce in
13 equipment; kink the tube or something. But it would require
14 that medical emergency to precipitate a failure in the device
15 through the ability to retract the source, then creating your
16 radiation emergency to go along with the medical emergency.

17 MS. STITT: A question to ask of the staff, and
18 this comes just in the form of a clinical circumstance. If
19 this is what we end up with, which does touch on all those
20 aspects, although it doesn't say, give me the name of your
21 thoracic surgeon; it doesn't get that specific. But in
22 example, let's say a free standing clinic somewhere that's
23 doing high dose rate endobronchial, because that's a very
24 common procedure. It's done in lots of places. If there's a
25 source problem, if it's one of the clinics that my institution

1 operates at there is no thoracic surgeon in the area. There
2 would be within a few hours.

3 How much goes into this language and how much is
4 left implied?

5 MS. HOLAHAN: Well let me ask you another case,
6 because some of the responses that we had is, well a thoracic
7 surgeon won't go into the patient if there's a source in
8 there.

9 MS. STITT: That's the other response, yes.

10 MS. HOLAHAN: Is it sufficient to say that the
11 authorized user would need to be able to do something in an
12 emergency situation, or would an authorized user -- I mean
13 could somebody other than a thoracic surgeon do the type of
14 intervention you're talking about?

15 MS. STITT: No. I mean the thoracic surgeon
16 could crack the chest and get close anatomically, and then the
17 authorized user could fish around. It sounds bad on the
18 record, doesn't it?

19 MR. CAMPER: But you're at the heart of the
20 matter here. If you look at that what that really says in a
21 private, free standing facility.

22 MS. STITT: Well it could also happen at any
23 university hospital.

24 MR. CAMPER: It could, but at least in that
25 setting you have access -- reasonable readily, you have

1 access --

2 MS. STITT: You have access. You may not have
3 interest in --

4 MR. CAMPER: Right. But at least you have access
5 to a surgical suite. Even though you don't have access to a
6 thoracic surgeon you probably have access at least to a general
7 surgeon. You have a surgeon involved. But by contrast if
8 you're in a free standing facility and you have an authorized
9 user who is a therapist and this event unfolds you have an
10 immediate, significant medical emergency on your hand.

11 So then you have to ask yourself the
12 philosophical question. Should they be doing such a
13 procedure? Well they might respond by saying, yes, we can do
14 this with a high degree of confidence because we assume the
15 potential for failure of this type is extremely small in view
16 of the design of the equipment, the catheters in particular
17 and so forth, therefore we have a high degree of confidence in
18 doing the procedure.

19 Well that's okay, but unfortunately that one
20 single event, even though it may be 10^{-4} , when you have that
21 single event you've got a problem. So then the question
22 becomes for us as regulators, to what extent should we address
23 this in the guidance?

24 It would be inappropriate to impose a condition
25 that says, thou shall be prepared to surgically intervene,

1 because I think that's a medical judgment call. The question
2 -- in advice space and guidance space, to tune them to the
3 idea that, if you're doing these types of procedures you need
4 to be prepared to intervene surgically.

5 Now, we hint at it here by saying if appropriate,
6 dah dah dah.

7 MS. STITT: Should you put examples? Will that
8 clue people in?

9 MR. CAMPER: Well, that's a possibility.

10 MS. STITT: Such a case might be recovery of a
11 source that has broken off or a source become dislodged in a
12 lung, and you might give some examples. It doesn't mean that
13 it's -- you're dictating what they have to have available.
14 But you can read these things on a lot of different levels.
15 You can think of a source in a intracavitary vaginal
16 applicator and that's much simpler to retrieve than a small
17 iridium source that got dislodged in the right lung somewhere.

18 MR. AYRES: We presume that in most vaginal cases
19 the authorized user could easily remove the applicator.

20 MS. STITT: My comment about an example, would at
21 least tip the reader off to some of the most difficult cases
22 to try to retrieve.

23 To bring up another area along this same line of
24 potential problems would be the intravascular use of high dose
25 rate brachytherapy sources. That is HDR sources are being

1 used or plaque therapy in vessels --

2 MR. AYRES: That's an emerging field right now.
3 We're kind of working with FDA and trying to be prepared in
4 advance. But it's all experimental now, and the FDA's going
5 to require IDs and the whole thing. The only one that both of
6 us are aware of that's currently going on is at Scripps.

7 MS. STITT: How about Milwaukee at St. Lukes?
8 Are they doing it? I thought they were.

9 MS. HOLAHAN: They are hoping to do it. I don't
10 know if it's actually been approved for them to do it yet or
11 not.

12 MR. AYRES: Well as far as FDA knows, they
13 only --

14 MS. HOLAHAN: Because I spoke with the physician
15 from there.

16 MS. STITT: Okay. Marcy Richards?

17 MS. HOLAHAN: Yes.

18 MR. CAMPER: We are going to explore that topic
19 by the way.

20 MS. STITT: Today?

21 MR. CAMPER: No, at the upcoming ACMUI meeting.
22 We're going to talk about the intravascular --

23 MS. STITT: Well the timing will be good because
24 there is a subcommittee that's meeting at the ASTRO, which is
25 the national radiation oncology group coming up shortly.

1 MR. AYRES: APM formed the committee also.

2 MS. STITT: And that's at least on the books to
3 organize.

4 MR. AYRES: It's led by Coffey.

5 MR. QUILLEN: Joe Coffey?

6 MR. AYRES: Yes.

7 MR. QUILLEN: He was in Kentucky.

8 MR. AYRES: No, he's with Midwestern University,
9 I forget which one.

10 MS. STITT: They're all kind of the same there.

11 MS. HOLAHAN: Yes, they had a workshop on that
12 day.

13 MS. STITT: They have fuzzy animals that are
14 their mascots.

15 MS. HOLAHAN: I was just going to go back to the
16 advantage of putting the examples in, because that also, sort
17 of provides -- Some of the questions that I think we've sort
18 of all heard is, why does the authorized user have to be
19 present because there might never be a case where -- I mean
20 it's the physicist who would be the individual going in. For
21 example, a vaginal applicator as a physicist is not going to
22 want to pull that out of a patient in an emergency.

23 MR. AYRES: Well in an emergency --

24 MS. HOLAHAN: By putting examples in it helps
25 just reemphasize the need for the authorized --

1 MS. STITT: And it also gives people some things
2 that might not have thought about. They may think of what
3 they do most frequently, but not of some other circumstances
4 that you might get into.

5 MR. CAMPER: I'd even go a step further, I think
6 physicists generally would be uncomfortable in intervening
7 medically in any fashion. I mean the physicists, I am willing
8 to bet, will look at their role as dealing with the
9 radiological side, the source problem, the functioning of the
10 unit, etc., etc., because clearly, there's a liability issue
11 here.

12 MS. HOLAHAN: I was just referring to some of the
13 comments we received.

14 MR. AYRES: And I think it's very appropriate
15 because also the physician is often uncomfortable dealing at a
16 detail level with the machine; the understanding of error
17 messages and peculiar modes of operation and so forth. So
18 what the whole thrust was, was to try and stay in state and
19 regulatory language, which the authorized user and a
20 physicist -- We want a medical expert and a machine expert
21 there when treatment's going on. But you can't quite put it
22 that way, in regulatory space.

23 MS. STITT: Why can't you?

24 MR. AYRES: Well you have to define, and then
25 you'd have to define -- we'd have to go further than our

1 regulations currently do and define medical expert, which we
2 really sort of do with the other end, but define machine
3 expert. Since we're not writing new regulations we're trying
4 to make this fit.

5 MS. STITT: Well the two aspects of emergency
6 really do come down to medical and radiation safety and I
7 don't know that you have to necessarily define, but just to
8 make people realize and you can again use an example to
9 indicate that. I think we could use what we've got here which
10 is nicely stated and then refine it by using some examples.

11 MR. CAMPER: Okay.

12 MS. STITT: Those certainly were the comments
13 that I had.

14 MR. CAMPER: So Item F of 11.21. We'll be
15 looking at Item 2F of 11.21, adding some examples as a follow-
16 on.

17 MS. HOLAHAN: Or possibly 2C.

18 MR. CAMPER: Or possibly --

19 MS. HOLAHAN: The last line of 2C indicates
20 procedures should specify situations when surgical
21 interventions may be necessary --

22 MR. CAMPER: Yes, you're right.

23 MS. HOLAHAN: -- and the steps that should be
24 taken in the event that surgical intervention is required.

25 MR. CAMPER: For example, dah, dah, dah.

1 MS. STITT: And you could certainly go back to
2 some of the problems that have passed through our desks as
3 cases that have actually occurred. You don't even have to
4 make them up; they're there.

5 Are there other comments on the emergency
6 procedures section?

7 MR. QUILLEN: I don't have any comments on this
8 section.

9 MS. STITT: You don't have any emergencies where
10 you work.

11 MR. QUILLEN: That's for doctors and physicists
12 to take care of.

13 MS. STITT: Any other comments on that section?
14 How did we get to the end of the paper? Does that mean we're
15 done?

16 MS. HOLAHAN: No.

17 MR. CAMPER: No.

18 MS. HOLAHAN: Sorry.

19 MR. AYRES: I was summarizing the changes and we
20 of course hopped around in the various sections, and then the
21 last one, Emergency Procedures, caught everyone's attention
22 and we sort of dove into that one.

23 MS. STITT: Of all the things that I looked
24 through it was one that I think raises a lot of questions and
25 becomes one of the very important ones.

1 Well should we go back?

2 MR. CAMPER: That's fine. When we get to the
3 part where we talk about the presence of the authorized user
4 and the physicist it would be interesting to get some thoughts
5 from the committee members as to whether or not those are in
6 fact -- that dual requirement is in fact a reasonable an
7 appropriate requirement. There has been some comments of a
8 negative nature about that.

9 MS. STITT: Let me change text --

10 MR. CAMPER: But not that many.

11 MR. AYRES: I get an occasional call, but it's
12 not --

13 MR. CAMPER: It's particularly problematic in the
14 context of PDR, and the more criticism levied.

15 MS. STITT: Yes, right. And maybe that's just a
16 whole section to itself.

17 Let me stop. We were at page 2, and kind of
18 fumbling around. Everybody's been through this. Let me just
19 go across the committee, and starting with Trisha.

20 Of the things you were going to look at today,
21 name the ones that are at the high point of your list that you
22 want to make sure we hit.

23 MS. HOLAHAN: Training. Probably PDR.

24 MS. STITT: Training, PDR.

25 MS. HOLAHAN: The emergency procedures, which

1 we've already addressed.

2 Was there one more, Bob? I'm trying to think.

3 There was one other one in here.

4 MR. CAMPER: In your training comment, you're
5 thinking about the physicists --

6 MS. HOLAHAN: Yes. Physicists, the nurses,
7 everybody that's involved. And the QA/QC.

8 MS. STITT: Okay. Larry? In the whole document,
9 what are the biggies for you?

10 MR. CAMPER: Well emergency procedures, of
11 course. And the question of the mandatory presence of the
12 authorized user and the physicist, and whether or not that is
13 overall considered to be a reasonable request, particularly as
14 it relates to PDR. Similarly I have some thoughts and
15 concerns about the training. On the physicist in particular,
16 in the sense that what we have then, is we've taken the
17 existing teletherapy physicist in the regulations and
18 attempted to make it fit for the use of HDR. Now I think that
19 ultimately the way to solve that is to do a better job in the
20 regulations of defining a medical physicist and perhaps some
21 categories of medical physicists, specific by modality. But
22 just some thoughts as to whether or not that approach to the
23 training for the physicist is appropriate and reasonable.

24 MR. AYRES: And I don't think OGC is going to let
25 us get away with anymore that there should be an authorized

1 user, medical physicist present.

2 MS. STITT: You mean the word "should" or what do
3 you mean get away with anymore --

4 MR. AYRES: Well they're not going to allow us to
5 say we require them to be there because that requires
6 rulemaking.

7 MR. CAMPER: That's right. So anyway, those were
8 my big picture items.

9 MS. STITT: Okay. The one I had to add to is
10 fractionation. That's a bugga boo that I've -- and others
11 you've already named.

12 How about you, Dr. Quillen?

13 MR. QUILLEN: The medical physicist
14 qualifications is the issue that I had at the top of my list.
15 It's 8.5.1.

16 MS. STITT: Okay. And did we get everything on
17 your list, Bob?

18 MR. AYRES: Yes.

19 MS. STITT: I just want to make sure. We spent
20 lots of time on these big issues, and if everything else is --
21 there will probably be some rapid page turning, but -- Because
22 this is not new to this group; we've discussed this since I've
23 been a member of this committee.

24 MS. HOLAHAN: Which is fine. It makes it a
25 little easier.

1 MR. AYRES: In the comments I've received to date
2 the only major technical -- the issue that was raised from the
3 written comments has been by one state, thinking that the
4 requirements on PDR should be a lot more restrictive than they
5 are.

6 MS. STITT: I wonder what state that is.

7 MR. AYRES: Illinois.

8 MS. STITT: I was going to say --

9 MR. CAMPER: That's interesting. Well maybe when
10 we get down to PDR it'll be kind of interesting to see what
11 their thoughts were.

12 MS. STITT: And I think we're going to do PDR as
13 a separate. Is that all right?

14 MR. CAMPER: However you like is fine with me.

15 MS. STITT: Try to break this down.

16 All right. I'm back on page 2 then, and I think
17 that we just need to move through the things that seem to sit
18 pretty well with people, and don't have to discuss each item.

19 MR. CAMPER: That makes sense to me.

20 MS. STITT: Radioactive material is Item 6.

21 MR. QUILLEN: I have an item at the top of
22 page 2. And it relates to the difference in the way states
23 operate and the NRC operates. And that is, in the top
24 paragraph, that you're saying you cannot comply with certain
25 of your existing regulations therefore you're providing

1 alternative language and license to cover those.

2 In our particular state as an example, if you
3 have a regulation you cannot through a guide, which is this
4 type of a document, change that regulation unless that guide
5 goes through a regulatory process.

6 MS. HOLAHAN: Even through the exemption process?

7 MR. QUILLEN: They would have to ask, and you
8 would have to play the game, where they ask for the exemption
9 and then you grant it to them, but you cannot change the
10 regulation through a guide, which is basically --

11 MR. AYRES: Well, we're not here either. What
12 we're doing is we're providing the information that they
13 should provide to ask for these exemptions.

14 MR. QUILLEN: I understand what you're saying. I
15 understand the --

16 MR. AYRES: It's a fine point.

17 MR. QUILLEN: -- the fine point you're doing
18 here, but I'm just saying --

19 MR. CAMPER: And what's happened here, Bob,
20 is -- it's an excellent point you raise. And what's really
21 happening here is sort of a backwards way of doing this whole
22 process.

23 I mean what we have here, we have an emerging
24 technology that's emerged since the regulations were developed
25 in '87, then in the midst of this emerging technology we have

1 a serious event of consequence, patient death, subsequently
2 followed by an effort on our part to enhance guidance and to
3 impose through either the exemption process or the imposition
4 of conditions what we hope is a reasonable level of regulation
5 for this modality, which has obviously significant
6 radiological consequences, possible.

7 But you're right, it's a strange way to go about
8 it.

9 MR. QUILLEN: You're going about it -- In our
10 particular state we could get challenged on doing it. I'm
11 just telling you that.

12 MR. AYRES: Well, the advantage of this of course
13 is, all of these standard licensed conditions as we call the,
14 which are exemptions in lieu of. You go back and look on
15 page 38, all the conditions are almost all in lieu of to
16 change the requirements that can't be met in the existing
17 regulations by remote afterloaders. In other words, you can't
18 count the sources and that sort of thing.

19 The advantage of doing this way is we go through
20 and this is all pre-approved by particularly OGC, so we don't
21 have to run every time a license comes in from one of these
22 devices this doesn't have to go over to OGS for -- These
23 exemptions can be granted by regions without coming into
24 headquarters and getting them approved for every license every
25 time, again and again.

1 MR. CAMPER: It's interesting again your comment,
2 in the sense that, if I look at Part 35 today and I look at
3 brachytherapy I see really two significant flaws in
4 regulations. One is that, we need to do some adjustment with
5 regards to 35.400, which is brachytherapy at large. I mean,
6 the fact that we list specific sources for example as opposed
7 to saying, for any use which has a sealed source and device
8 registration on record. And that's what we really should be
9 saying.

10 In the second one of course is HDR. HDR is
11 unique enough and the consequences of its use are serious
12 enough that it warrants a separate subsection.

13 MR. AYRES: Actually it's in the entire remote
14 afterloading.

15 MR. CAMPER: That's right. Now we have a ruling
16 by OGC that HDR is captured under the 35.400, and we have
17 tried to work to clarify then what we expect. But what we
18 ultimately want to do is to make it explicit and clear in the
19 regulations, put it through the due process and so forth.

20 And we were going to go down a pathway -- We had
21 made a decision at one point to pursue specific changes to
22 Part 35 that dealt with brachytherapy only, and we were going
23 to go through sort of -- if such a thing exists -- an
24 expedited rulemaking to deal with these issues. But then a
25 decision was subsequent made to do it all as part of the major

1 revision to Part 35. Because, well you know we have the
2 National Academy of Science report, and we want to take a look
3 at that, bring that to bear. And so we're doing it all as one
4 major effort. But I agree with you totally. I mean not the
5 way I would prefer to do it, but given the technology and the
6 possible consequences we had to do something.

7 MR. QUILLEN: Well I understand what you're
8 doing, but I'm just saying that presents a particular problem
9 in our state. We have a statute which says, you can't make
10 policy through this kind of a thing, you have to go through a
11 regulatory process.

12 MR. AYRES: Well, we do too in a sense, and so
13 some of the language in there in fact has to be changed.
14 Where there are some "shalls" or "musts" they have to be
15 changed to --

16 MS. HOLAHAN: "Should".

17 MR. AYRES: "Shoulds".

18 MR. CAMPER: That's right.

19 MR. QUILLEN: That's what I was going to follow
20 up on because there are shalls --

21 MR. AYRES: Yes, that's got to be fixed.

22 MR. CAMPER: And you're right, we have to clean
23 that up. We can't use "shall" in a guidance document. We had
24 a couple of "shalls" I think yesterday and we were focusing
25 upon "should". Excuse me, we didn't have "shall", we had

1 "must"

2 MR. AYRES: Some of that.

3 MR. CAMPER: You can't use "must" either.

4 MS. STITT: Is that stronger than shall? I think
5 so.

6 Do we need to do this line by line?

7 MR. AYRES: I think that sort of thing has all
8 been well captured by OGC's comments.

9 MS. STITT: Does Item 7 also relate to the
10 discussion that we're having right now, "Purposes for Which
11 Licensed Materials Will Be Used". Is this the same problem
12 you have within the state, that other states may also have?

13 Other comments on 6 or 7?

14 MR. QUILLEN: There's a note at the bottom of
15 page 2. I'm not sure whether it goes to the top of page 3,
16 but I couldn't understand --

17 MS. HOLAHAN: No, it's just separate.

18 MR. QUILLEN: At the top of page 3 it just says,
19 on my copy, "registration certificate for the device, and/or
20 source, period."

21 MS. HOLAHAN: Oh, then that is part of the note.

22 MR. CAMPER: It follows on from the note on the
23 bottom of page 2.

24 MS. HOLAHAN: Yes, that is part of the note.

25 MR. QUILLEN: Okay. Is there a brachytherapy

1 module registration certificate?

2 MS. HOLAHAN: No. Where it says, RAL
3 brachytherapy module, just that's the footnote at the bottom
4 of each page.

5 The note should be three lines and the last part
6 of it goes from "as set forth in the registration
7 certificates."

8 MR. QUILLEN: Okay. I've misread it then.

9 MS. STITT: How about other comments you have on
10 page 3 and page 4?

11 MR. QUILLEN: On the bottom of page 4, the last
12 two sentences --

13 MR. AYRES: Mine's been fixed. I couldn't follow
14 him, then I see it. I have a copy where --

15 MR. CAMPER: You have the only correct copy.

16 MR. QUILLEN: So you have the correct copy with
17 the verbs in the sentences then, right? The last two
18 sentences need verbs.

19 MS. STITT: Say that again, the last two
20 sentences what?

21 MR. QUILLEN: Well for example the last sentence
22 says, "In addition the manufacturer's name, address and
23 telephone number for each device requested." It has to be is
24 requested, are requested --

25 MS. STITT: We have an incomplete sentence,

1 folks.

2 MR. CAMPER: Okay.

3 MR. AYRES: Where are you at?

4 MR. QUILLEN: Right here.

5 MS. HOLAHAN: The last paragraph.

6 MR. CAMPER: The bottom of page 4, Bob.

7 MR. QUILLEN: This one here, the change is made.

8 MR. AYRES: And that's actually "charged", it
9 should "changed".

10 MS. STITT: Comments on Item 7? Are you ready to
11 move to Item 7?

12 MR. QUILLEN: Sure.

13 MS. STITT: Okay. Item 7, "Purposes for Which
14 Licensed Material Will Be Used".

15 You've got some copy there. Did anything come in
16 from your associates that we need to talk about?

17 MR. AYRES: Minor editorial, except the OGC is
18 again querying the basis for allowing broader use of the
19 sources. For example on page 5, Item 7, third sentence, it
20 says, "One of the objectives listing in the 35.400 is to
21 ensure the sealed source is used has undergone some
22 appropriate safety review."

23 What is this based on? It's not apparent in the
24 language that the registry and so forth -- And down at the
25 bottom they say, "The sealed source safety section concludes

1 the registered sources which pass testing criteria for
2 institutional use, could be used for intercavity or topical.
3 And again, we'll have to wrestle some of these out.

4 This appears to be a generic exemption, which is
5 not permissible. We have in fact been doing this in current
6 licensing practice, so there are some of these things that OGC
7 is again balking on.

8 MS. STITT: So is that something you have to deal
9 with outside of the subcommittee issues.

10 MR. QUILLEN: That was one of my questions, which
11 is more a challenge for you people than it is for me. When
12 you talk about intraoperative or non-human use, and in
13 particular non-human use, you're getting into experimental
14 procedures or animal procedures, and that certainly -- well it
15 should be described in sufficient description detail. There's
16 a very subtle way of saying, you've got a lot of things you
17 need to tell us.

18 MR. AYRES: Yes. And I have some comments on
19 that -- about that from a couple of the comments sheet.

20 One of the problems that comes up here and I was
21 trying to address with this language, the sealed source and
22 device of safety reviews, often separate but can be done
23 together. In other words you can have a registration
24 certificate on the source. You can have a registration
25 certificate on the device. And then in some cases you have a

1 registration certificate on the combination of a source and
2 device.

3 There's three major HDR devices used in the
4 United States currently, and there may be some more coming
5 which is the Omnitron and it's successor, and the Nucletron
6 and the Gammamed.

7 Well the reviews have been done by multiple
8 entities, agreement states and us. And the language in them
9 on the use of the source varies all over. Some of the
10 registrations state what the source can be used for, and
11 others completely ignore it. So, to try to put some language
12 in here that can be used in accordance with the limitations on
13 the registration certificate doesn't work very well. And so I
14 tried to actually say what you could use them for.

15 MR. CAMPER: Bob, I have two questions for you.
16 Help me out here with something. I haven't looked at this for
17 a long time. But I'm struck by a couple things. The last two
18 sentences of the first paragraph.

19 MR. AYRES: Which page?

20 MR. CAMPER: Of page, of item 7 on page 5. We
21 say, if you intend to use a source for purposes other than
22 specified in 35400, you should request and receive an
23 exemption to the regulation prior to use.

24 Now, they may also choose to go the route of
25 having the source or device reviewed and approved. And I

1 believe the material that you submit is set forth in 32.210,
2 is that correct?

3 But, in reading this, it's not as clear to me
4 that the reader would understand that you have an avenue
5 available to you. If a manufacturer has chosen not to have
6 the source or device reviewed and approved for a particular
7 use, that the licensee can also submit the same kind of
8 information, go through the same process that a manufacturer.

9 MR. AYRES: If you look at the first paragraph,
10 page 2, I refer them to the guide for Preparation of
11 Application for Radiation Safety Evaluation Registration of
12 Sealed Sources Containing -- which is what they would follow
13 to do this.

14 And there's an error there which I'll correct. I
15 refer to both guides as 10:11. One's 10:10, one's 10:11.

16 MR. CAMPER: Let me see, where were you?

17 MR. AYRES: Item 6, first paragraph, on page 2.

18 What I do is, I talk about the radiation safety
19 evaluation and I cite the guidance for having that done. I
20 could go back to the section that you're referring to and re-
21 cite it. That's where the process for --

22 MR. CAMPER: Oh, okay. Maybe what you might do
23 right there is insert a sentence that would remind them of
24 that. Because if they're reading that and they think, well
25 I've got to go the exemption route, well that's not the only

1 way. Okay?

2 Although, I guess that would ultimately result in
3 an exemption too.

4 MS. HOLAHAN: They'd still have to get an
5 exemption.

6 MR. CAMPER: There would still be an exemption,
7 but it's a little bit different, I think, than we set forth.

8 MR. AYRES: Yes.

9 MR. CAMPER: Then, in the final sentence -- and
10 again - help me out with this, I just can't recall. Medical
11 broadscope licensees are not limited to the conditions that
12 you specify in 35400. But even a broad can only use it, can
13 they not, for a use that's been reviewed and approved?

14 MR. AYRES: According to Steve, sealed source
15 devices -- I didn't think this case -- I was trying to clarify
16 that. My understanding is a broad can design their machine
17 and not have to have it reviewed.

18 MR. CAMPER: Okay. I understand that and I think
19 I've heard that too. It would be interesting for me --

20 MR. AYRES: That came up with intravascular about
21 device review for these --

22 MR. CAMPER: Well, it would be worthwhile to
23 fully understand or revisit why it is that even a broadscope
24 could do it absent that particular device or source being
25 reviewed for such use. Clearly broad scope institutions have

1 a higher level of sophistication and can probably use these
2 things safely. But it would be interesting to know the
3 intricacies of the regulatory basis for that to occur.

4 MR. AYRES: Yeah, I don't fully understand that
5 either. In fact I know there are some exceptions. Like one
6 broad scope licensee recently discontinued -- built their own
7 HDR. And in fact it had a custom review, Howard.

8 MS. STITT: Howard University.

9 MR. CAMPER: That's interesting.

10 I'm not saying that's not acceptable. I'm just
11 saying I'm a little bit perplexed as I sit here remembering
12 all the intricacies of just how that happens and what the
13 regulatory mechanism is that allows it to happen.

14 It's something that I would like to take a look
15 at, at some point.

16 MR. AYRES: Well, OGC has competence in this
17 area.

18 MR. CAMPER: That's interesting. Okay.

19 MS. STITT: So, then, how are we doing on item 7?
20 Did we go through the issues you had?

21 MR. QUILLEN: Have you had any veterinary schools
22 apply for this.

23 MR. AYRES: Yes. Well, I'm not sure we have. I
24 know Sealed Source and Devices got involved in the approval of
25 what they call the pig wire which was a HDR source intended

1 for experimental use on the intravascular area with pigs.

2 Whether we -- a number of veterinary licensees
3 are very small. Whether any of them are using ACR, I don't
4 know.

5 MS. HOLAHAN: Most of it's broadscopes that are
6 doing the veterinary work.

7 MR. AYRES: A broadscope could be doing it and we
8 wouldn't know about it.

9 MS. STITT: That's probably the places that would
10 be doing it.

11 MR. QUILLEN: Well, it's not a medical
12 broadscope, it's a university broadscope.

13 MR. AYRES: Yeah.

14 MS. HOLAHAN: Well, many of our broadscopes are
15 university broadscope which would be broad research and broad
16 medical.

17 MR. QUILLEN: The reason I say this is because
18 our veterinary school has their own linear accelerator and
19 they do their own --

20 MR. AYRES: Oh, yeah. We clearly have veterinary
21 teletherapy installations. I know that. But I'm personally
22 unaware of many veterinary applications of HDR by our
23 licensees.

24 MR. CAMPER: Similarly, I'm unaware of any.

25 MS. HOLAHAN: Does CSU have one?

1 MR. QUILLEN: CSU has a linear accelerator. So,
2 I'm just assuming that the next thing they'll want --

3 MS. STITT: The next step is HDR. Well, our vet
4 school has our old cobalt unit. But if it's going to happen,
5 it's going to happen in his state. If HDR is used at the
6 vets, that's where it will start.

7 Other issues on item 7? Trish, no?

8 MR. QUILLEN: None here.

9 MS. STITT: Bob?

10 MR. AYRES: No.

11 MS. STITT: Everybody's happy. Are we ready to
12 move to item 8, authorized users?

13 I'm getting a couple of shakes over there.

14 Let's see, am I right. Is this part of our
15 intense area of concern list?

16 MS. HOLAHAN: Yes. Now let me -- what I wanted
17 to say is there are some issues that are applicable to all of
18 the modules that are being developed that have actually been
19 moved up into the body of 10.8. So if you notice under
20 authorized users, there is no physician authorized users, that
21 is because that is dealt with in the body of reg 10.8.
22 Because it is the regulations, per se.

23 MR. CAMPER: Why don't you just expand on that a
24 little bit, so that Bob and Judith would fully know how the
25 staff is doing that.

1 MS. HOLAHAN: Okay. As part of the overall
2 effort we are revising what is currently 10.8. Sort of
3 updating it now. At this point we haven't updated the
4 appendices and I think that is something that we'll explore a
5 little further. Then it will all be tied in and folded in to
6 the business process re-engineering licensing manual.

7 But what we have done with developing these
8 licensing
9 modules, is take out those items that are applicable to all
10 modules. For example, who do you submit your license to?
11 Basically, training for authorized users, waste management,
12 certain types of equipment are addressed up in the body.

13 And that's why, in some ways, as you go through
14 you may feel that there are things that are missing. They
15 might be missing from the module, but not up front.

16 MS. STITT: Got it. So, at item 8 under
17 authorized users we're looking at physicists, authorized
18 afterloading physicists and that's the substance for section
19 8.

20 MR. AYRES: Yeah, that's correct.

21 MS. STITT: Okeydoke. Let's jump into commentary
22 then. The section that follows that is training which is
23 another high-priority topic.

24 Trish, you spent a lot of time on this. Why don't
25 you summarize the issues that are your areas of concern and

1 any feedback that you've gotten.

2 MS. HOLAHAN: Okay. And maybe I'll let Bob
3 address the physicists first and then I'll get into the
4 nursing staff.

5 MS. STITT: Okay.

6 MS. HOLAHAN: Bob, do you want to focus on the
7 comments that --

8 MS. STITT: The comments that you've been
9 getting.

10 MR. AYRES: Not really very many. This is one of
11 those areas that I think we are certainly headed for in
12 general with part 35, if we ever get there.

13 I think the feeling from the committee and all
14 the input I get, and of course, from some physics professional
15 societies, of course, is that a medical physicist is a
16 necessity for a brachytherapy program in general, but a high
17 dose rate in particular. Obviously we agree with that
18 position with relationship to the high dose rate program.

19 The problem becomes, again, this regulatory --
20 making it fit. We don't have a description for other than a
21 teletherapy physicist. So what we've done in this is tried to
22 expand on that a little bit and define what we mean by
23 brachytherapy physicist. Without saying -- we'd be very happy
24 to have you substitute brachytherapy experience for
25 teletherapy experience, et cetera.

1 MS. HOLAHAN: And that, again, if you recall, was
2 one of the issues we discussed, should they have specific
3 experience with HDR. Currently, the way the regulations are
4 written for a teletherapy physicist, is they must have
5 experience with a teletherapy unit and they must understand
6 the teletherapy regulations.

7 Well, again, as Bob says there is no regulatory
8 basis for the brachytherapy physicist, but we feel that it's
9 important that they have HDR experience. So if they've come
10 in and said no, we haven't done teletherapy but we've done all
11 this brachytherapy HDR work and we'd like to be licensed as a
12 HDR physicist, then we are considering that as equivalent
13 experience.

14 MR. AYRES: And we conclude with the fact that we
15 made need to bring some of these to the committee.

16 MS. HOLAHAN: Right.

17 MS. STITT: Well, you've used the phrase here,
18 experience. And one of the things that I've kind of grouched
19 about in the past was terms that were sort of made-up terms.

20 Granted, teletherapy physicist has been in there
21 for a while, but the physics community doesn't have specific
22 licensure any more than the medical community does for a
23 brachytherapy physician. I mean that's not a board
24 certification. It's not even a certificate type of thing.

25 But that's not to say that experience in

1 teletherapy or experience in brachytherapy can't be -- I think
2 those are different sort of things. It may not sound that way
3 but I think that the way that the community actually works,
4 they are different.

5 MS. HOLAHAN: Yes.

6 MS. STITT: In the other issue -- and as you read
7 through this, it's relatively mild mannered -- the issue of
8 remote afterloading which is what this module is. When you
9 move to high dose rate remote afterloading is really one of
10 intensity, not only the source, but the involvement.

11 I think probably some of the comments that you
12 get Bob, have to do with communities where the physics support
13 is by contract and somebody comes by and looks at your cesium
14 stock and reviews your plans. And that's very different from
15 being there on site when you're using a high dose rate source.

16 I think that's really where the problems can
17 really develop so far as administering therapy. Can we, can
18 the NRC address that. We'll get to that when we get to the
19 presence of authorized users.

20 MR. AYRES: -- Was in fact one of the things we
21 were trying to change. Because the practice was, in fact, in
22 many licensees, continuing. The physicist was a contract
23 physicist who dropped by occasionally and was not necessarily
24 or often was no present during treatment.

25 MS. STITT: Right.

1 MR. AYRES: Or even during the treatment
2 planning, in some instances.

3 MS. STITT: And that's probably an adequate mode
4 of function, under some circumstances. When you change that
5 remote afterloading from low dose rate to high dose rate, I
6 don't think it is.

7 MR. AYRES: No.

8 MS. STITT: What are you getting from your
9 feedback? How does the committee review this particular issue
10 -- I guess we've sort of already moved on to the presence of
11 the authorized user.

12 MR. AYRES: Well, the only formal input we've got
13 on this, of course, is from one of the physics professional
14 societies who think part 35 should be changed to require
15 medical physicists for all brachytherapy.

16 MS. STITT: For all brachytherapy?

17 MR. AYRES: Yes.

18 MS. STITT: Gee, do you think they have anything
19 to gain by this?

20 MR. AYRES: But, in particular in remote
21 afterloading in high dose.

22 MS. STITT: I guess I've strayed. I've moved on
23 before the a descriptive --

24 MR. CAMPER: I've got a couple

25 MS. STITT: To get us back on track here.

1 MR. CAMPER: I had a couple of comments here on
2 the physicist training.

3 Let me just sensitize the committee members to a
4 couple of things about the dilemma that we find
5 ourselves in today. And again, this sort of gets back to what
6 Bob pointed out, Bob Quillen pointed out earlier this morning.
7 Kind of where we are and how we are approaching this thing.

8 You know, we refer here in the guide to the
9 training specified in 35.961. Well, if you go look at the
10 training in 35.961, you'll find again, as in all of our
11 training requirements, we've got the certification route and
12 certain board certifications are identified. And then we have
13 the so-called "or" pathway which is a degree of some type of
14 academic training and some specified and specific experience.

15 Well, there are two things that we need to do
16 when we start the revision of part 35 to really tackle these
17 issues. One is -- first of all 35.961 is teletherapy
18 physicists only. What we need to do is explore with the
19 medical physics community what we should do. Should there be
20 a medical physicist identified in the regulations and in
21 certain subparts that are identifying teletherapy physicists
22 or brachytherapy physicists or whatever. But we can't solve
23 that now, but must bear in mind for the future.

24 The second thing really is that we accept certain
25 certifications. For example, we accept certification from

1 the American Board of Radiology in therapeutic radiologic
2 physics; Roentgen ray and gamma ray physics, x-ray and radium
3 physics or radiological physics. Then the question that we
4 will have to re-explore is are those board certifications
5 addressing the question of brachytherapy, remote afterloading
6 being required in training programs that often lead to
7 studying for the certification examination

8 For years, the agency has relied upon -- every
9 time you see a board certification or regulation, the process
10 that has been gone through historically is we have talked with
11 the boards and determined what they are actually requiring of
12 their residency certification programs, and then we ultimately
13 bring that board certification to the advisory committee on
14 the medical use of isotopes and they say, yes, this would seem
15 to be adequate and you may list it in the regulations as being
16 acceptable.

17 Well, there's been some criticism in recent times
18 about whether those boards are or are not requiring training
19 that we think is appropriate. And perhaps maybe we have even
20 been misled to some degree. Or what we were once told as a
21 commitment is in fact not going on today.

22 And I'm not saying that's either true or not
23 true. I'm just saying it is something that we will have to
24 explore when we revise part 35 and see what board
25 certifications really mean.

1 The other thing that comes to mind is, if I look
2 at this training experience -- and this is just so you'll have
3 a real world understanding of what we've run up against. You
4 go to the "or" pathway, it identifies certain masters or
5 doctorate level degrees in physics, biophysics, radiological
6 physics or health physics that has completed one year of full
7 time training in therapeutic radiological physics and an
8 additional year of full-time work experience under the
9 supervision of a teletherapy physicist.

10 Now, that poses a couple of problems for us. One
11 is that we get people who come in with degrees, for example,
12 with backgrounds in engineering. But yet they have had work
13 experience and training in the medical physics arena. So then
14 the question becomes is that an equivalent academic
15 preparation comparable to a degree or masters degree in health
16 physics?

17 And then the idea that if one looks at the
18 regulations literally, why do I have to get one year of
19 supervision under a teletherapy physicist? What if I've been
20 working for one year under a brachytherapy physicist,
21 particularly one dealing with HDR. Now, obviously that's more
22 apropos if you are trying to do HDR.

23 But it is a problem with some of the existing
24 regulatory language.

25 So what we've tried to do then, having said all

1 that, is on page 6, item 1, bring to bear the fact that we're
2 looking for experience in HDR or PDR sources. But most of
3 the time, we can work our way through it when we get these
4 unusual outliers. We were about to bring an engineer who
5 wanted to do HDR brachytherapy but then we pressured that
6 there wasn't enough experience and they withdrew the request.
7 And he's getting more experience.

8 MR. AYRES: We're processing one now.

9 MR. CAMPER: I share that with the committee to
10 kind of sensitize you to a couple of the problems that we see.
11 I recognize that eventually we will have to do something about
12 it in the regulations.

13 But with those kinds of problems and issues in
14 mind, does it seem that we have put forth the best possible
15 effort at this time under 8.5.1, items 1, 2? To capture
16 pertinent HDR or PDR experience.

17 MR. AYRES: Item 2 is a policy issue that Janet
18 raised and I don't know if it's been resolved.

19 MS. HOLAHAN: Not yet.

20 MR. AYRES: Apparently, it's in the old reg guide
21 but it's not really in part 35 about whether we accept
22 equivalency from NRC.

23 MR. CAMPER: We have not resolved that yet.

24 MS. STITT: Do the attorneys have something to
25 say about that or is that not their area?

1 MR. AYRES: It's not a -- it's not provided for
2 in the present part 35 is my understanding, as one of the
3 acceptable certification methods.

4 MS. HOLAHAN: It's listed as a --

5 MR. AYRES: Licensee.

6 MS. HOLAHAN: licensee or user.

7 MR. QUILLEN: Well, my comments include that
8 particular issue, but they also because -- you've defined
9 teletherapy physicist which you have in the existing
10 regulations, now you've got a brachytherapy or medical
11 physicist which is not in the existing regulations.

12 MR. AYRES: Right.

13 MR. QUILLEN: And not in this guide, either, as a
14 definition. So you've got two terms here that are undefined.

15 MR. CAMPER: Those two terms being what, Bob, I'm
16 sorry. Teletherapy physicist.

17 MR. QUILLEN: Teletherapy physicist or medical
18 physicist.

19 MS. HOLAHAN: And I think our interactions to
20 date with the community have indicated that we should, to
21 include the ACMUI, that we should go the direction of looking
22 to have a broad medical physicist with specific, you know,
23 requirements underneath, depending on what they're going to be
24 doing. If it's other than board certification.

25 MR. AYRES: If we actually formally include one

1 or both of these revisions of part 35 they would clearly need
2 to go into this definition part, 35.2 is it?

3 MS. HOLAHAN: Right. Correct.

4 So, are you suggesting that it should go into the
5 glossary?

6 MR. QUILLEN: Yes, if you are going to use the
7 terms.

8 MS. HOLAHAN: Okay. Maybe use one, but not both.

9 MR. QUILLEN: Medical physicist is sufficient, I
10 think.

11 MS. STITT: Right. Could we just use medical
12 physicist? I think it leaves plenty of leeway. It may be
13 easier to --

14 MR. AYRES: That's what I used if you notice the
15 first sentence.

16 MS. HOLAHAN: Except that the title calls it an
17 authorized RAL physicist and I think we're getting into
18 confusing --

19 MR. AYRES: I was making an attempt here, and of
20 course one has to do the dance with OGC on this whole area.
21 But making an attempt here to use medical physicist and then
22 sub divide down below that. You want experience in the areas
23 in which they're applying to do work, of course.

24 MR. CAMPER: Yeah, I think medical physicist
25 would be the more commonly accepted term.

1 MR. AYRES: It is within the industry, is my
2 understanding.

3 MS. STITT: Right, it certainly is. And it means
4 you don't have to come up with definitions that are viewed as
5 being artificial by the industry.

6 MR. AYRES: It's a case here that I think the way
7 that part 35 was structured, that teletherapy, being the older
8 technology had the bad accidents first and got this area
9 addressed in the detail that we're now --

10 MR. QUILLEN: Can I ask a broader question here?
11 The guide pertains to not just high dose brachytherapy or
12 pulse dose rate brachytherapy. It also says it applies on
13 page 1 to low dose rate and if you ever have it, a medium dose
14 rate.

15 If I read the guide, it says here, I could read
16 it to say that if I have a low dose rate facility I wouldn't
17 need a brachytherapy medical physicist's qualifications
18 because they're not covered in this section.

19 MR. AYRES: Right. It says for HDR and/or PDR.
20 That's where we feel a physicist is essential at this point.
21 We're not imposing it on LDR.

22 MR. QUILLEN: That was intentionally?

23 MR. AYRES: And it goes along with an argument
24 which I agree with. And until we change part 35 if we wish to
25 address it then, it's correct. I think it is a very cognizant

1 argument that if we impose any additional requirements beyond
2 those that we really, really feel are necessary on low dose
3 RAL, remote afterloading, we discriminate against an
4 advantageous ALARA procedure as opposed to conventional remote
5 afterloading brachytherapy.

6 So, any additional requirement that we put on LDR
7 just discriminates against that technology. Because the
8 hazard level other than a mechanical failure, at least on dose
9 rate-wise, is no different than conventional brachytherapy.

10 MS. HOLAHAN: And we haven't been specific and
11 we'll discuss that tomorrow in the manual in terms of the
12 requirements for a physicist, except generally along with
13 other medical support staff; a dosimetrist, etc.

14 MR. AYRES: That's the way I've treated LDR in
15 here. To try to not impose anything above and beyond what we
16 impose upon conventional brachytherapy. Except those things
17 that are appropriate because the quality controls on the
18 device and that sort of thing. And in lieu of for inventory
19 and sources and so forth.

20 MR. QUILLEN: What if somebody came into you with
21 an application that said my experience is in low dose rate
22 remote afterloading technology and now I want to use high dose
23 rate?

24 MR. AYRES: You mean a physicist?

25 MR. QUILLEN: Yes.

1 MS. HOLAHAN: Who was not board certi -- who did
2 not have any of the certifications in the regulations.

3 MR. QUILLEN: It says in here that you don't have
4 to provide that information, specifically.

5 MR. CAMPER: Where Bob?

6 MR. QUILLEN: In 1.

7 MR. CAMPER: Now, for HDR they do.

8 MR. QUILLEN: I know. But I said what if
9 somebody has that kind of experience and comes in?

10 MR. CAMPER: Well, if you go down to 1 though, it
11 says include information on the individual's experience in the
12 use of HDR, PDR, RAL, brachytherapy and use of dosimetry
13 systems used to perform the calibration measurements of HDR.

14 If someone came in with only LDR experience they
15 would not be satisfying the criteria they were asking for in
16 item 1.

17 MR. AYRES: And there certainly does -- the
18 calibration of the sources between LDR and HDR are
19 substantially different.

20 MS. STITT: What comments have you been getting?
21 I mean this has been out for a while.

22 MR. AYRES: Very, very little. Almost nothing on
23 the physicist. What we -- the comments generally come in two
24 classes; and they've been very small across the board.

25 It started with process with bulletin which is

1 where most of this originated. And the comments have been
2 from a few physicians like how dare you tell me I have to be
3 there to take care of my patient.

4 The physics side has been really quiet except the
5 professional organizations and almost all medical physicists
6 are --

7 MS. STITT: Very supportive.

8 MR. AYRES: All lined up right behind the other
9 in support of it.

10 MS. STITT: What a surprise.

11 MR. CAMPER: Well, they tend to favor board
12 certification.

13 MS. HOLAHAN: That's right. They would prefer
14 that we only had board certification.

15 MR. CAMPER: But, again, we can't only rely on
16 board certification.

17 MR. AYRES: The other general comments we heard
18 mostly from the committee were mostly from the economic side
19 of this issue.

20 MS. STITT: The other aspect of economics is when
21 you don't do it correctly. It becomes very expensive.

22 MS. HOLAHAN: Very economically --

23 MS. STITT: So, I think this is not an issue --
24 well, I think this is an issue that many people would agree
25 with and we're happy with the way it reads.

1 MR. AYRES: I guess my personal position is here
2 a little bit, if we require it, it sort of levels the
3 economics a little bit.

4 MS. STITT: How do you mean?

5 MR. AYRES: That doesn't give an institution the
6 option of not having a medical physicist and trying to compete
7 with an institution that does in a more thorough manner with
8 trained professionals.

9 MR. CAMPER: Bob, let me ask you a question.

10 MR. AYRES: Yeah.

11 MR. CAMPER: Are we exploring with OGC at this
12 point? Or Trish, have we been exploring this question of
13 recognition of physicists named on a state license?

14 MS. HOLAHAN: I need to follow that up with Janet
15 because she had --

16 MR. AYRES: That was one that Janet was going to
17 take on.

18 MS. HOLAHAN: I haven't had a chance to discuss
19 with here.

20 MR. AYRES: Well, it replies to authorized users,
21 too.

22 MR. CAMPER: No, authorized users is addressed in
23 35.2. Definition of authorized users in 35.2 points out that
24 agreements state if your named on an agreement state license
25 it's acceptable.

1 MR. AYRES: Okay.

2 MR. CAMPER: Yes. If you go back and look at
3 authorized users, then it goes on to say, identifies an
4 authorized user on a permit issued by a commissioner agreement
5 state specific license of broadscope is authorized to permit
6 the use of byproduct material. Identifies and authorizes
7 users --

8 But it doesn't say that for the teletherapy
9 physicist, and of course it is silent on the term medical
10 physicist
11 or HDR physicist.

12 MR. AYRES: Right.

13 MR. CAMPER: That is interesting. I think from
14 an operating perspective I would like to see the agency be
15 able to accept the physicists that have been reviewed and
16 approved by an agreement state, but you're right. That is an
17 interesting policy.

18 MR. AYRES: Well, Janet's position was to bring
19 it to your attention as a management issue.

20 MR. CAMPER: Well, it has my attention.

21 (LAUGHTER)

22 MS. STITT: Trish, I have a question. On the
23 physician, granted about physicists, but we've made some
24 statements here about physicists being present. In 10.8 does
25 it say physician as the authorized user or the authorized user

1 must be present for -- is there a corollary somewhere?

2 MS. HOLAHAN: It says -- we address that further
3 down within the guide.

4 MS. STITT: Okay, keep going.

5 MS. HOLAHAN: It's in item 10 in that we say that
6 the authorized user must be physically present.

7 MS. STITT: That does appear in this document
8 then.

9 MS. HOLAHAN: It does.

10 MR. AYRES: We're just -- I'm just completing the
11 second or third round on the bulletin where essentially we
12 have all of our licensees committed to that authorized
13 physicist user presence with perhaps some RSOs.

14 MR. QUILLEN: Let me interject something here on
15 subparagraph 1.

16 I understand what you're saying here, but from
17 experience I've had in looking at some applications, this is
18 really kind of vague, what you're asking for here. If I could
19 suggest some additional language between individuals and
20 experience if you could put in specific experience?

21 MR. AYRES: yeah.

22 MR. CAMPER: Bob, in your situation, do you
23 expect to see or ask for number of cases involved?

24 MR. QUILLEN: Well, the one case we had to deal
25 with was actually not in the area but in the gamma knife area.

1 MR. CAMPER: Right.

2 MR. QUILLEN: At the time we had to deal with it
3 there was no guidance for gamma knife. In retrospect we
4 didn't do a very good job of it because the person involved
5 claimed experience, which in latter viewpoint, we couldn't
6 document.

7 And that's why I was trying to tighten up some of
8 the language you have here.

9 MR. CAMPER: Was there a falsification of
10 records?

11 MR. QUILLEN: It wasn't a falsification. It was
12 just, you talk about experience, yes, I was there.

13 MR. CAMPER: Oh, I see.

14 MR. AYRES: I know of the gamma knife it's an
15 apprentice-type system.

16 MS. STITT: Isn't that what medicine is?

17 MR. AYRES: Well, for both the physicist and the
18 authorized user.

19 MS. STITT: You got it.

20 Well, I have to put in a plug, not that this
21 group's going to go out and sign up, but I think, as probably
22 many of you are aware, that it's been many years in coming,
23 but the American Brachytherapy Society has developed a school
24 of brachytherapy and we're having our first school this
25 December.

1 And the school of brachytherapy is a 14-module
2 course that will be given over time. This year we're only
3 going to be able to do three modules. GYN is a whole day
4 session. Half day of intraluminal specifically, lung, GI
5 sites. And then a half day of systemic isotopes, P32,
6 strontium.

7 And different physicians and physicists in the
8 field have put together these teaching courses. I'm running
9 the GYN course.

10 My point is that we will have experience that
11 folks can decide to take or not to take. Institutions can pay
12 the \$1,000.00 to attend the session and this may start showing
13 up as the trail that you see on qualifications.

14 We're actually, in the GYN section, doing four
15 hours of lecture and then four hours of hands-on with phantoms
16 where we can do insertions of applicators, perineal needle
17 insertions and case discussions.

18 So it's the first organized attempt that the
19 medical community has been able to put together. It's really
20 on-going education in brachytherapy, and it will be all forms
21 of dose rates.

22 It's exciting for me to be involved with because
23 it is something I've been hoping to do for years. And at
24 least gives some focus so that if you want to be a
25 brachytherapy physicist, whether we call it that name or not,

1 there at least is some formal education.

2 MR. AYRES: Are these courses oriented toward the
3 authorized user, the physicist or both?

4 MS. STITT: Both. The course that I'm in charge
5 of has myself for high dose GYN, Patty Eifel whose well known
6 in low dose rate, Beth Erickson is known for her work in
7 interstitial, and Bruce Thomadsen who is one of the physicists
8 that was submitted.

9 So, our goal is to track physicians and
10 physicists.

11 MS. HOLAHAN: It's being given in conjunction
12 with the ABS meeting?

13 MS. STITT: Yes. This year the meeting comes
14 first and then the school is Monday and Tuesday. And then on
15 subsequent years, the annual meeting is going to be six months
16 off. So that the school is going to be given every December
17 and the meeting is actually going to be in the Spring.

18 MS. HOLAHAN: Oh, they're moving?

19 MS. STITT: Yeah, moving the meeting. But that
20 means that folks can come, get training in brachytherapy in
21 great detail. And it will be a combination of medical and
22 physics. In fact Bert Speiser is putting on one of his
23 emergency procedure sessions where you have an emergency and
24 proceed.

25 So, I think it's going to help the community a

1 great deal.

2 MR. CAMPER: That's good news.

3 MS. STITT: And we're here to help you.

4 MR. CAMPER: That's right, you are.

5 MS. STITT: Trying to make your life easier.

6 MR. CAMPER: We're all for that.

7 MS. STITT: On item 8, other comments there?

8 MR. AYRES: I added the "specific". I liked that
9 comment.

10 MR. CAMPER: I do too, and I would only take it
11 one step further, Bob.

12 MS. HOLAHAN: Give examples.

13 MR. CAMPER: And I'm wondering if we should be
14 requesting the number or types of cases?

15 MR. QUILLEN: This is the next thing I was going
16 to say.

17 MR. AYRES: The only question I have do we do
18 that for the teletherapy?

19 MS. STITT: Do you have to?

20 MR. AYRES: Under training and experience I think
21 you sometimes get it on the certification.

22 MR. CAMPER: Well, what the regulation says, and
23 I'd really have to take a look at the teletherapy guide to
24 give you an explicit answer. But on a regulatory basis what
25 we're looking for is the academic course, one year full time

1 training in therapeutic radiologic physics, which is fairly
2 explicit, and an additional year of full time work experience
3 under the supervision of a teletherapy physicist that includes
4 the tasks included in 35.59, 35.632, 35.634, 35.641, which all
5 deal with evaluating the beam, the various checks and so
6 forth.

7 MR. AYRES: Well, having looked at some of these,
8 that's a fairly typical thing to be put down going this route
9 for an authorized user. But I don't recall seeing it for the
10 teletherapy physicist.

11 MS. HOLAHAN: The other thing is you are asking
12 for the number of cases and types of uses does not address the
13 quality control checks that they are required to do which is
14 what the teletherapy, I think, is getting at.

15 MR. AYRES: That tends to be more like the
16 current one that we have pending that Torre has on the
17 authorized physicist.

18 MR. CAMPER: If you were to do it, your sentence
19 -- what you do is you put a parenthetical "e.g." following
20 brachytherapy where it says include information on the
21 individual's specific experience on the use of HDR, PDR, RAL,
22 brachytherapy. For example, numbers and types of cases.

23 And then go on to say and the use of dosemetry
24 systems because Trish, your point is well made, it's not just
25 about the clinical involvement. That doesn't satisfy the idea

1 of knowing the dosemetry systems and so forth.

2 MR. AYRES: Yeah, I've also got some comments on
3 some of the other material that I might need to factor in
4 here. It includes also, of course, what Trish already
5 mentioned, experience in the QC procedures related to these
6 devices.

7 MR. CAMPER: What is the thought of the committee
8 members? Is there any value in getting that or not? Or do
9 you think just the insertion of the term "specific" before
10 experience, is that enough?

11 MS. STITT: I think specific certainly helps. I
12 think you can ask. You don't have to say you must have x-
13 many, but you could ask for a listing.

14 Are physicists accustomed to that? Physicians
15 certainly are. Essentially all board certification requires
16 you to list the number of laparoscopies that you've done by
17 patient identifier.

18 MR. AYRES: I'm speculating, but I don't think
19 so. Most of the applications I've seen for physicists don't
20 tend to put that kind of information in.

21 MR. CAMPER: See, what you had --

22 MS. STITT: Process rather than the case.

23 MR. CAMPER: If you were to do it, and I'm not
24 necessarily advocating that we do do it, I think the term
25 "specific" inserted is a very good suggestion.

1 But, what I'd like to think would ultimately
2 happen, again, in rule space, is that we'll work with the
3 physics community to define some appropriate levels of
4 training.

5 We'll revisit what we have for teletherapist,
6 we'll talk about medical physicist and they'll help us in
7 developing specific words for requirements. And that may or
8 may not include some clear identification of cases.

9 MR. AYRES: It's clearly worth exploring revision
10 of part 35.

11 MR. CAMPER: So, I think what I'm hearing, for
12 now, just the insertion of the word "specific" might be
13 enough.

14 MS. STITT: Well, and the other thing just to
15 keep in the back of your mind is certainly, any brachytherapy
16 but particularly high dose rate is really an episodic sort of
17 thing, even involving the dosemetry and the QC sort of thing.

18 So, if at some point of time, the listing of
19 cases is important, rather than the teletherapy which goes on
20 all the time, all the time, all the time, but brachytherapy is
21 a scheduled event and it wouldn't be unreasonable to say, show
22 me the number of cases and what they involved.

23 But right now may not be the time.

24 MR. AYRES: Yeah, I am aware that some high dose
25 rate programs have very low treatment, a frequency of one of

1 two a month.

2 MS. STITT: Oh, right. And that's the other
3 reason you may want to be specific about that, because
4 brachytherapy is less than 5 per cent of radiation oncology.
5 Many places it is zero per cent because it is too expensive
6 and too high risk.

7 Even with low dose rate sources, not worth the
8 effort.

9 MS. HOLAHAN: So, they could come in and say
10 they've done a year of experience but only have done six
11 cases.

12 MS. STITT: That's right. And that's why the
13 teletherapy is so different than brachytherapy and I don't
14 think it is unreasonable to hold brachytherapy to some
15 different standards.

16 MR. QUILLEN: We had a facility that lost their
17 therapist, their oncologist and did contract work for about a
18 year.

19 And during that time the HDR unit just sat there;
20 never was used.

21 MR. CAMPER: Let me ask again. I think I'm
22 hearing -- Judith, I think you're comments just now were a
23 fairly compelling argument for asking for the number of cases.
24 Because you are right; one year's experience might be two
25 episodes.

1 MS. STITT: Right. But you might have seen them
2 from the back of the room with 23 people standing in front of
3 you. So that's some of the other quality issues that this has
4 brought up.

5 But I don't think this is -- I'm not picking on
6 physics at all. This is the same for physicians. It is also
7 an area where you can be very quantitative about and --

8 MR. CAMPER: Well, I think what I would suggest
9 then, barring any strong objections, that we would insert say
10 a parenthetical "e.g." following brachytherapy where we say
11 number and type of cases actually involved with.

12 MR. QUILLEN: I think that's a very good idea.

13 MS. STITT: You could put list the number and
14 types.

15 MR. CAMPER: Or we could be even more specific.
16 List the number and types of cases. That would be even
17 stronger.

18 MS. STITT: Because that data is easily
19 available.

20 MR. AYRES: I think of the two, numbers is more
21 important than types. Now, that does raise a problem.
22 Because then, if you are the reviewer in the region and you
23 look at this, the question then becomes, what is enough?

24 MS. STITT: Right, aren't we avoiding that for
25 the time being?

1 MR. AYRES: Well, we are, yeah.

2 MS. STITT: I think we have to.

3 MR. QUILLEN: At least it gives them something to
4 work with. Because when you come into the ACMUI, the ACMUI at
5 least then knows if the person has done one case or a hundred
6 cases.

7 MR. CAMPER: Right. And I think that's what I
8 would do. At some point there will be notes inserted in here
9 for the reviewers, under the SRP approach.

10 And I think that's what we can tell them. If
11 there some question as to whether or not there seems to be an
12 adequate number of cases presented, and not specify a number,
13 then refer that to the advisory committee.

14 MS. STITT: I think that you can be a medical
15 physicist or a radiation oncologist and you don't have to link
16 other terms to that, i.e. brachytherapy physicist, et cetera.
17 You can be a medical physicist with a list of procedures and
18 it tells your colleagues, it tells your regulatory agency, it
19 defines your practice. So, I think it works together well.

20 MR. CAMPER: Okay.

21 MS. STITT: Back to the physicians. Are we
22 requiring this?

23 MS. HOLAHAN: Actually, that was going to be my
24 next question. Because I'd mentioned to you that it was up
25 front, but it is very general in terms of just reciting the

1 requirements in part 35.

2 Now, in part 35 it does have specific, obviously,
3 board certifications that you can be an authorized user.
4 Also, there is an "or" category in the clinical experience for
5 which you must have three years of supervised, clinical
6 experience. Examining individuals, reviewing case histories
7 to determine their suitability for brachytherapy treatment,
8 selecting proper brachytherapy sources.

9 But there is nothing specific as to having HDR
10 experience. And I know we did explore this with the ACMUI in
11 May. And I think, at that time, it was a good idea to have
12 the HDR experience.

13 Should we bring back into this a specific section
14 to focus on the experience required for an authorized user.

15 And Bob, maybe you can address as to whether that
16 has been considered.

17 MR. AYRES: Well, I'm not sure we've discussed it
18 a lot.

19 My understanding, one agreement state requires
20 specific HDR experience for physicians. In particular, my
21 understanding is that the emphasis is they at least want the
22 physicians to understand that this treatment, in most cases,
23 must be fractionated and cannot be given in one fraction. And
24 that's the state of New York.

25 I haven't seen a copy of their requirements, but

1 I have heard they have some specific requirements for
2 authorized users in HDR above and beyond the normal
3 certification requirements that we have.

4 MS. STITT: I think I would be enraged if I were
5 a physicist to see that you were putting some things in the
6 statement about me but colleague the physician has a different
7 standard.

8 The way I understand it, we're not saying you
9 must do x-number. We're just saying, list.

10 MR. CAMPER: Right.

11 MS. STITT: And I think that's very acceptable
12 and gives a feel.

13 MR. AYRES: Well, one controversial thing that I
14 have heard more adverse comments about is further down in the
15 training. We do require the physicians to be trained on the
16 device on normal and emergency procedures along with the
17 physicist.

18 MS. STITT: You're getting some heat about that?

19 MR. AYRES: Yeah.

20 MS. HOLAHAN: So, I think from what I'm hearing,
21 we should probably include a section in here on the authorized
22 users. And if they are not board certified -- and again I
23 think Larry has pointed out that this is one of the questions
24 -- how do board certification programs address HDR?

25 MR. AYRES: My understanding from earlier

1 information from Dr. Flynn is that they don't. On HDR.

2 MS. STITT: It depends on the program.

3 MR. AYRES: You can't be assured of it.

4 MS. STITT: No.

5 MR. CAMPER: We're headed for some sit-down
6 specific discussions with the boards and so forth and so on,
7 somewhere along the line as we revise part 35 and get an
8 understanding of what they're doing and not doing. And see if
9 we can come together and make it work.

10 For now, maybe what Trish is suggesting is the
11 idea, here under authorized users, we would insert a section
12 with physicians and we could draw their attention to the
13 requirements and the regulations under 35.940.

14 But, it probably would be worthwhile to make a
15 comment or two in there where it talks about the 500 hour
16 supervised work experience, it talks about emergency
17 procedures, it talks about the three years of supervised
18 clinical experience that we would expect a demonstration of
19 experience with HDR specifically.

20 MS. HOLAHAN: Or PDRs.

21 MR. CAMPER: Or PDRs.

22 MS. STITT: All radiation oncology residents have
23 to keep a list of all patients, no matter what kind of therapy
24 is being used. Brachytherapy, teletherapy, so, physicians are
25 accustomed to listing and I don't think it will be out of the

1 ordinary of what they've seen before.

2 MS. HOLAHAN: It shouldn't be a problem.

3 MS. STITT: It may be a problem, it's just not
4 out of the ordinary for what's expected of them.

5 MS. HOLAHAN: Okay.

6 MR. CAMPER: So, that approach seems reasonable?

7 MS. STITT: Are they talking us into something
8 here?

9 MR. QUILLEN: Yeah, I think so.

10 MR. CAMPER: Well, it is a fairly significant
11 movement. I think it's a reasonable one.

12 MS. STITT: But it's a big difference in what
13 we've said and making the statement that we, and when I say we
14 I'm talking about NRC, requires x-number of cases.

15 That's very different from saying, "list".

16 MR. CAMPER: That's correct.

17 MS. HOLAHAN: And I don't think we're saying --

18 MR. CAMPER: We would be saying that we expect to
19 see specific experience in HDR embodied within these broader
20 guidelines of the numbers of years of clinical experience. As
21 opposed to saying that we expect, as you just said, x-number
22 of cases.

23 MR. AYRES: I thought I'd captured that to some
24 degree with the training requirements for authorized users.
25 They receive eight hours training on the device.

1 MS. STITT: Now, is that in the section we're
2 looking at?

3 MS. HOLAHAN: Where is that? I was having
4 trouble finding that.

5 MR. AYRES: Ah --

6 MS. HOLAHAN: The normal and emergency operation?

7 MR. AYRES: Yes.

8 MS. HOLAHAN: That's actually, and that was
9 another question, it's under the section for training for the
10 medical physics staff which doesn't include the authorized
11 user.

12 And I noticed that one of the comments that we
13 received was that we should require the same training for the
14 authorized user.

15 MR. AYRES: Yeah, it says authorized users in
16 this section.

17 MS. HOLAHAN: Where?

18 MR. AYRES: The licensee authorizes physician
19 users, physicists.

20 MS. HOLAHAN: Oh, okay. You're right. Maybe we
21 need to modify that title the same we modified if for
22 yesterday.

23 MR. AYRES: Well, if we put in an extra one for
24 authorized user under 851. We didn't have one for authorized
25 users where we had the section for physicist, so I didn't have

1 a place to put it.

2 MS. HOLAHAN: Yeah, but we don't want that in
3 there because that's what training experience that they have
4 to demonstrate to us.

5 What this is is what annual and refresher
6 training. So I think we just need to modify this section as
7 well.

8 You're right, it does say authorized user; maybe
9 it just needs retitling.

10 MR. AYRES: Oh, the title looks okay to me. The
11 general title is --

12 MS. HOLAHAN: The subsection you've got it under
13 says, "Training for Medical Physics Staff". And I think
14 yesterday in the discussion on radioactive drug therapy we
15 changed the title of that section to "Training for Staff
16 Directly Involved in Administration and Monitoring of Patients
17 Undergoing Remote Afterloading Therapy".

18 MR. AYRES: Oh, okay. Right.

19 MS. HOLAHAN: Rather voluminous title.

20 MS. STITT: A much longer title.

21 MS. HOLAHAN: That's right. But I think the
22 question was who is actually considered medical physics staff.

23 MR. AYRES: Yeah.

24 MS. HOLAHAN: And so I think we can --

25 MR. CAMPER: Yeah, if you make it clear that they

1 are involved in the administration or the monitoring of --

2 MR. AYRES: Well, we might need to move that one.

3 Maybe move it over under --

4 MS. HOLAHAN: Under the general title, perhaps,

5 even. Up front.

6 MR. AYRES: Normal and Emergency Operation of --

7 under general training.

8 MS. HOLAHAN: Right.

9 MR. CAMPER: I'll have to look at that. It's a
10 good point.

11 MS. HOLAHAN: Okay.

12 MS. STITT: Are we working item 9 then?

13 MS. HOLAHAN: Yeah. Does anybody have anything
14 else on --

15 MR. AYRES: I have a real good comment that I
16 want to introduce from region three on nine on training and it
17 deals with nurses training and other staff.

18 I'll just present it for comments.

19 "We suggest, in addition of a descriptive
20 sentence to the text in either the nurses' training section or
21 as a definition in the glossary to better emphasize that all
22 care givers need appropriate training to participate in RAL
23 therapy. Especially low dose rate and pulse dose rate.

24 The new sentence reminds licensees and applicants
25 that the term 'nurses' includes registered nurses, licensed

1 practical nurses, nurses aids and supervisor head nurses, any
2 and all of whom may care for RAL patients and need the
3 training specific in that module.

4

5 We suggest this because we have occasionally
6 observed licensees who directly train only registered nurses
7 or head nurses in brachytherapy therapy radiation safety
8 procedures, while licensed practical nurses and nurses' aids
9 actually render the bedside care for the patients.

10 The trained nurses are then expected to train the
11 bedside care giving nurses in a pyramid manner and this
12 training style may not be as comprehensive or effective as the
13 direct training provided by the qualified instructors."

14 MS. HOLAHAN: I think that sets up the point that
15 Dr. Flynn has raised at several meetings in terms of the nurse
16 training.

17 And I just wanted to give as a lead-in to that,
18 this list we had included in the module that

19 was discussed at the last ACMUI meeting for the manual
20 brachytherapy therapy and we did get comments back from Dr.
21 Flynn.

22 Now I think we have expanded or modified it and
23 tried to address it to remote afterloading. I guess the
24 question is, is everything included for remote afterloading
25 that we would need for manual.

1 MR. AYRES: Well, I guess the short version is
2 they want us to make it clear that training the head nurse or
3 the RN is insufficient if they are using LPNs and nurses' aids
4 to actually provide the hands-on care. We would expect them
5 to receive the training first hand from the trainer.

6 MS. STITT: Well, when you read through various
7 appendices that the NRC staff sends out several times a year
8 regarding low dose rate, be it manual or remote, it's commonly
9 nursing staff or ancillary staff that's involved in a
10 slipsource or an applicator that's on the floor. So it's
11 clear that the system is not working. Or it's so diffuse that
12 it's hard to get everyone trained at the same level.

13 And the head nurse is not making rounds on these
14 patients; she's making schedules.

15 MR. AYRES: Right. I think this point is well
16 taken, and I intend to incorporate it.

17 MR. CAMPER: Yeah, that's right

18 MS. HOLAHAN: Basically, that all nurses should
19 receive direct --

20 MR. AYRES: All nurses who provide any patient
21 care whatsoever.

22 MS. STITT: Caregiver is the term that was used.
23 And that's kind of a catch phrase, but it describes that lots
24 of care is given by lots of different named individuals.

25 Well, we've got a lot on item 9 to slog through

1 here.

2 Do you want to take a break?

3 MR. CAMPER: Yeah, 10 - 15 minutes.

4 MS. STITT: Yeah, we're a small group. We can
5 rely upon ourselves to get back here in some orderly fashion.

6 (Whereupon, the meeting recessed at 10:08 a.m.)

7 CHAIRMAN STITT: Back on the record, then, and we
8 left on Item 9 and we've been discussing training, so, let's
9 jump back in.

10 Is that where we left?

11 MR. AYRES: Yes. We're on Item 9, yes.

12 CHAIRMAN STITT: And, there's lots of pages of
13 training. So --

14 MS. HOLAHAN: Okay, I just want to, unless
15 somebody has some comment specifically on the first 9.1.1, I
16 just wanted to make a comment that came out of yesterday's --

17 CHAIRMAN STITT: Okay.

18 MS. HOLAHAN: Okay. Again, yesterday, they were
19 talking about the radioactive drug therapy module and one of
20 the recommendations was in terms of training for nursing staff
21 to retitile that.

22 And, again, that gets somewhat back at -- it's
23 called training program for professional staff responsible for
24 the care of patients undergoing H or remote afterloading
25 therapy rather than specifically nursing staff.

1 CHAIRMAN STITT: I agree and it relates to the
2 comment you made --

3 MS. HOLAHAN: And, I think they also wanted to
4 highlight the fact that the training should be commensurate
5 with their duties because the comment was that there was a lot
6 of detail training in here.

7 And, there may be some nurses or caregivers that
8 don't necessarily need the level of -- another comment, and I
9 just wanted to outline what they had addressed in modifying
10 these, because what many of the modules had very similar
11 training programs in was the basic radiation biology.

12 They felt it was more important that it was a
13 basic radiation effects. That they didn't necessarily need to
14 know radiation biology per se.

15 MR. AYRES: You'll notice the second sentence in
16 the first paragraph it says that individuals should be
17 instructed in the following topics commensurate with their
18 duties.

19 MS. HOLAHAN: Yeah. They just wanted that bolded
20 and underlined and I don't know what this subcommittee's
21 thoughts are as to whether or not we should emphasize that or
22 not.

23 CHAIRMAN STITT: Well, in a clinical fashion I
24 feel that that's exactly the issue. If you're talking about
25 nursing staff there on the night shift with a patient with

1 sources in place, they don't necessarily need a lot of detail
2 but they certainly need to know what applicators look like and
3 isotopes look like and specific hands-on what do I do if this
4 event occurs.

5 And, this is a very awe inspiring list of general
6 training topics. And, I like the idea of bolding the
7 commensurate with their duties.

8 And, again, you could also use examples if you
9 wanted to do that. Not to be all inclusive but what should
10 the caregiving staff that's making rounds on the patient what
11 should they be looking for.

12 That might get into too much detail. Yeah,
13 here's something. Number 18. Dose to embryo/fetus limits.

14 Which people need to know what things. So, we'll
15 leave it up to the institution commensurate with the duties.

16 MS. HOLAHAN: Yes, and I think, generally, in
17 terms of the reg guide that is out on the instructions to
18 prenatal workers, they also recommend that all, not all staff,
19 but at least all supervisors and all female staff should
20 receive that instruction before they actually become pregnant.

21 CHAIRMAN STITT: Um-hm.

22 MS. HOLAHAN: So, sort of up front.

23 CHAIRMAN STITT: Right.

24 MS. HOLAHAN: So, there is guidance. And, I
25 think the other document actually referenced the Reg Guides'

1 specific that could be used for some of these instructions.

2 The only other comment that they made yesterday
3 was they took out the last two items. What's here is 25 and
4 26.

5 MR. QUILLEN: I was going to recommend you take
6 out the last item, too.

7 MS. HOLAHAN: The questions and answers?

8 MR. QUILLEN: Yes.

9 MS. HOLAHAN: Okay.

10 MR. QUILLEN: I wasn't sure how you instruct
11 somebody in questions and answers.

12 CHAIRMAN STITT: The issue about previous
13 incidents, why did they want that out?

14 MS. HOLAHAN: Sally, do you want to address that?
15 Sally?

16 MR. AYRES: I don't think it should go. I think
17 that's all from valuable lessons learned.

18 CHAIRMAN STITT: I agree.

19 MS. MERCHANT: That was one of the suggestions
20 that it be changed to say lessons learned. They did not want
21 that to be interpreted by some applicant slash licensee to
22 mean that you had to provide your history to the -- in the
23 training session even though it must be available.

24 Legally, it must be available to the staff. That
25 doesn't mean you have to stand up there and beat your breast

1 and say we had incidents --

2 CHAIRMAN STITT: Um-hm.

3 MS. MERCHANT: -- and we were involved and --

4 CHAIRMAN STITT: Or, you could take it to mean
5 you have to have an incident before you can --

6 MS. MERCHANT: -- they said does that mean that
7 anyone who did not have any kind of incident, who had a
8 perfectly clean record, should not have to address that point.

9 In other words, it's a question of how's that
10 going to be interpreted.

11 MS. HOLAHAN: Okay. Because we weren't
12 necessarily meaning it to be incidents at that facility.

13 MR. AYRES: I've got a comment on that.

14 MS. MERCHANT: Well, that came up.

15 MR. AYRES: It's very good.

16 MS. MERCHANT: That came up. So, the feeling was
17 that most lecturers are going to use anecdotes.

18 CHAIRMAN STITT: Right.

19 MS. MERCHANT: And, that it would be something
20 that would happen anyway. But, if it was going to stay in,
21 they would have preferred it to say lessons learned rather
22 than give anyone an impression, because keep in mind, as we
23 had discussed earlier, it is guidance.

24 These are not regulatory requirements and,
25 unfortunately, people follow these as though they are gospel.

1 CHAIRMAN STITT: Well, the phrase examples can be
2 very instructive and it doesn't imply that it's a previous
3 incident.

4 It could be an example from other incidents and,
5 you know, that are in print or something you've just made up
6 because folks learn best from example or -- what was the
7 phrase you used?

8 MS. MERCHANT: Anecdotes?

9 CHAIRMAN STITT: Anecdotes. But, examples of
10 circumstances or examples of situations.

11 MR. AYRES: I have a comment here I'm trying to
12 find. It addressed it very well.

13 CHAIRMAN STITT: Yes, Bob.

14 MS. HOLAHAN: Okay, the other point is, I mean,
15 there is one information notice that's out in terms of some of
16 these types of incidents and, you know, that would probably be
17 made available anyway but not necessarily to new staff coming
18 in.

19 MR. QUILLEN: One of the things you want to get
20 across here is the fact that there have been incidents.

21 MS. HOLAHAN: Um-hm. I think that was the intent
22 of putting it in.

23

24 MR. QUILLEN: Right. And, but the way this is phrased
25 it could be interpreted as there was an incident at this

1 facility.

2 MS. HOLAHAN: So, examples of -- would you say
3 examples of situations?

4 MR. AYRES: This one is very nice. Let me read
5 it to you. It's a good comment.

6 It says please confirm that for all workers and
7 authorized users refresher training will include components
8 that will serve to maintain an awareness of radiation safety
9 with respect to changes in license, changes in regulatory
10 requirements, and lessons learned, experiences derived from
11 NRC information notices, NRC/NMSS newsletters, and NRC
12 inspection findings at your own institution.

13 MS. MERCHANT: I don't think they're required to
14 give inspection findings from their own institution unless
15 there's -- I mean it's got to be available.

16 But, I don't think that they should have to
17 interpret this as you have to include your inspection findings
18 in your training.

19 MR. AYRES: Well, this is a for example list but
20 --

21 CHAIRMAN STITT: How about something that says
22 examples of clinical circumstances, clinical cases, clinical
23 situations, any of those phrases?

24 I mean a lot of times I will lecture and I just
25 make up a case.

1 MS. HOLAHAN: Examples of clinical situations.

2 CHAIRMAN STITT: Combine several things that will
3 make several -- the teaching points that you've been through.

4 So --

5 MS. HOLAHAN: Examples of clinical situations and
6 lessons learned?

7 CHAIRMAN STITT: Um-hm.

8 MS. MERCHANT: Sounds good.

9 CHAIRMAN STITT: Okay. Let's keep talking about
10 this section on training. Let's ignore, for the time being,
11 PDR devices.

12 Well, I guess we -- if I ignore it for the time,
13 where does that take us? Is 9.1.1.2 for the PDR?

14 MR. AYRES: Yes.

15 MS. HOLAHAN: No.

16 CHAIRMAN STITT: No? Well --

17 MR. AYRES: No. All right. I had to reread it
18 myself. Okay.

19 CHAIRMAN STITT: The only reason I was trying to
20 separate that out is PDR's got some issues that --

21 MR. AYRES: What I tried to do here, and maybe
22 not entirely successfully, this was a change from policy and
23 guidance directive.

24 Policy and guidance directive just, more or less,
25 went down the topics serially and then had a license

1 reviewer's guide that said this one applied to this and this
2 one applied to that, a check list.

3 This one, because it's more of an outline format,
4 I tried to sub-index, LDR, HDR, and PDR, as appropriate and
5 anything that didn't specify one or the other specifically was
6 intended to apply to all.

7 And, like I said, I may not be totally
8 successful. It's tough writing this for all of the remote
9 afterloading modalities because they converge and a section
10 will apply to all.

11 Then, it will apply to a sub-set. Then, it will
12 come together again and apply to all. And, then, another sub-
13 set has to be broken out because --

14 CHAIRMAN STITT: Will this be understandable to
15 those who have to use it?

16 MR. AYRES: Well, that's what I'm saying --

17 CHAIRMAN STITT: Because if we're confused at all
18 here --

19 MR. AYRES: -- it's tough to --

20 CHAIRMAN STITT: -- I suspect that they are.

21 MR. AYRES: I think the intent is to provide --
22 is add a check list to this in the future?

23 MS. HOLAHAN: For the license reviewers --

24 MR. AYRES: Yes.

25 MS. HOLAHAN: -- there will be check list. But,

1 again, this will be going out to licensees and, I guess the
2 question is is it confusing -- should PDR be dealt with at the
3 bottom of the section on training?

4 Should we go through possibly considering the
5 training programs?

6 CHAIRMAN STITT: I'm just -- as I look at the
7 format -- I'm having format problems and maybe content
8 problems.

9 But, definitely, format. On page 8, there's
10 general training. On page 11, there's general training.

11 And, I'm not sure what they refer to.

12 MR. AYRES: Well, that's because this is the
13 nursing staff which we're changing to professionals
14 responsible, on page 8 through 10.

15 On 11, we start medical physics staff. It's
16 smaller.

17 CHAIRMAN STITT: Okay. So, it may just be the
18 way that it's -- okay. I'm having trouble with the dots and
19 the one's.

20 But, again, I think it's more of a format
21 problem. Now that I understand how it's laid out it's easier
22 to --

23 Tell me your major sections. Let me start from
24 page 7. The major sections are what?

25 MR. AYRES: It's easiest when you look at the

1 index and where they're indented.

2 MS. HOLAHAN: Actually, the first section we
3 probably need the 9.1.1 because that's general under the
4 training program and we can just start, then, the nursing
5 staff as 9.1.1, I think.

6 That may make -- at least get one set of numbers
7 out.

8 MR. AYRES: Yes.

9 MS. HOLAHAN: So, if we took out that training
10 program for individuals responsible for remote afterloading
11 the personnel should be instructed in, that first section is
12 your general introduction.

13 CHAIRMAN STITT: Okay. I'm with you now.

14 MS. HOLAHAN: Okay.

15 CHAIRMAN STITT: And, then, we --

16 MS. HOLAHAN: Then, the next section could be
17 9.1.1. Take out one set of one's here.

18 MR. AYRES: Yes, just all the way through.

19 MS. HOLAHAN: Yeah. And, then, training for
20 caregivers responsible, whatever the wording was that I coined
21 before, then, under that training for caregivers, you'd have
22 general training, normal and emergency operation, and, then,
23 specific for PDR.

24 Then, your next section would be 9.1.2.

25 CHAIRMAN STITT: Which was medical physics? Is

1 that right?

2 MS. HOLAHAN: Right.

3 MR. AYRES: Yes.

4 CHAIRMAN STITT: Okay.

5 MR. AYRES: One general style comment here. I
6 got some comments on -- there's people -- and the cover letter
7 didn't address it, I think, adequately, but these are not, as
8 you've obviously noticed by now, sequential numbering.

9 And, in the main items, as well as in the sub-
10 items, and that's because overall in the entire Reg Guide 10.8
11 they are sequential.

12 But, the holes, like we go from Item -- under
13 Item 9 we go from 9.1 to 9.3. 9.2 isn't there.

14 That's something that doesn't apply in this
15 module but is -- well, I'd have to have the whole outline for
16 10.8 to tell you why, what it is, and why it's missing.

17 CHAIRMAN STITT: All right. I think I
18 understand. So, they should have, within each section, some
19 similar format.

20 MR. AYRES: Yeah. In other words, if you go to
21 mobile diagnostic, Item 9 will be the same --

22 MS. HOLAHAN: Right.

23 MR. AYRES: -- it'll be training for individuals,
24 but there will be other items that are in that are in that
25 that are not in this one and vice versa.

1 MS. HOLAHAN: Right. Now, the question is does
2 that get confusing going from the module back to the body back
3 to the module is you are either a license applicant or a
4 reviewer?

5 MR. AYRES: If you have the entire Reg Guide 10.8
6 it shouldn't be nearly as confusing but --

7 MR. CAMPER: Well, what's going to have to be --
8 I mean, obviously, the plan has been that the licensee can
9 read the general stuff.

10 They can go specifically to that module most
11 applicable to them and the idea was that that would make it
12 easier.

13 Now, the point been made that you've got to go
14 back and forth but have we've been as clear as we could be in
15 the module that cross-referencing will have to occur?

16 MS. HOLAHAN: No.

17 MR. AYRES: No.

18 MR. CAMPER: Well, maybe that's --

19 MR. AYRES: I presume that would be taken care of
20 in the Reg Guide, the first section.

21 CHAIRMAN STITT: This is something that we need
22 to --

23 MR. CAMPER: Well, I think the Reg Guide should
24 say that. No question. But, what I'm thinking is I can
25 certainly see a scenario where someone who's trying to put

1 together an application would go to this module.

2 And, I think it needs to be in both places.

3 MR. AYRES: Well, I don't understand how we're
4 doing this for sure. I thought it was only going to be
5 available as the entire Reg Guide.

6 In other words, you couldn't write and ask us for
7 a module. You'd get the Reg Guide. It's a published, bound -
8 -

9 MR. CAMPER: Well, yeah. But, is someone --
10 well, eventually 10.8, the plan is that it would be revised.

11 It would have all of these modules. If someone
12 wanted 10.8, they would get the whole general text and they
13 would get all the modules.

14 MR. AYRES: Yeah.

15 MR. CAMPER: But, if someone came in and said
16 hey, send me the module on teletherapy, for example, they
17 would get that and the general text of 10.8.

18 They would not get all the modules.

19 MR. AYRES: That's what I didn't understand.

20 MR. CAMPER: But, here's the problem. I mean I
21 can certainly see how a module, though, could become separated
22 out in the field.

23 CHAIRMAN STITT: It will be.

24 MR. CAMPER: It will be. And, so, I think what
25 we need to do is make sure that the main body of 10.8 draws

1 attention to specific modules.

2 But, also, in the lead-in section of each module,
3 remind them that they're going to need the main body of 10.8
4 and will have to cross-reference as they step through
5 requirements outline in the module.

6 CHAIRMAN STITT: It goes back to the thing I keep
7 harping on. In the training section, there's nothing about
8 physician training here because it is --

9 MR. AYRES: There's nothing unique here.

10 CHAIRMAN STITT: Okay.

11 MS. HOLAHAN: Well --

12 MR. AYRES: Except we've now discussed that item.

13 MS. HOLAHAN: No, I think that in Item 9 is, and
14 that was what the discussion we'd had earlier, what is
15 currently on page 11 is 9.1.1.2.

16 But, we could change -- with the renumbering it
17 would become 9.1.2, okay? Where it says training for the
18 medical physics staff?

19 CHAIRMAN STITT: Um-hm.

20 MS. HOLAHAN: Everything under there is also
21 applicable to the authorized users. So, if we retitle that as
22 training for staff directly involved in administration and
23 monitoring of patients undergoing remote afterloading therapy,
24 then, all that information is also applicable.

25 And, even though an authorized user has specific

1 training and experience to be listed as an authorized user,
2 they must also received all this training.

3 CHAIRMAN STITT: Right. I think that's -- you
4 have a way of putting -- linking more together but it's more -
5 -

6 MS. HOLAHAN: But, I think when you see it, it
7 will make sense.

8 MR. CAMPER: And, the other thing, too. There's
9 some words that go under the heading 9.1.1.1.

10 MS. HOLAHAN: Well, we'll renumber that.

11 MR. CAMPER: Training for everybody. But, that
12 paragraph becomes, what is it? It's training commensurate
13 with --

14 MS. HOLAHAN: It is in here and we're just going
15 to bold it.

16 MR. CAMPER: Yeah. Commensurate with your
17 responsibilities and so forth.

18 MS. HOLAHAN: Right.

19 MR. CAMPER: Obviously, a physician doesn't need
20 to know a lot about basic radiation biology and so forth and
21 so on.

22 MS. HOLAHAN: We hope they know that.

23 MR. CAMPER: Some of the other topics it would
24 because they already have had that, obviously.

25 MS. HOLAHAN: Right.

1 CHAIRMAN STITT: All right. So, I think we've
2 got the outline. The structure there has cleared that up for
3 me.

4 MS. HOLAHAN: Yes. So, basically, the two
5 sections would be one is caring for the patient either while -
6 - and the other one is actually administering and caring.
7 So --

8 CHAIRMAN STITT: So, within those, are there
9 comments about normal and emergency operations, the low dose
10 rate device?

11 That appears under the -- it appears at the
12 bottom of page 9. We been through the previous section.

13 MS. HOLAHAN: Should that be -- are you saying
14 should that be repeated in the second section?

15 CHAIRMAN STITT: Uh --

16 MS. HOLAHAN: The one that's for training for
17 nursing?

18 CHAIRMAN STITT: Actually, I'm just asking if
19 there're comments. Anybody have comments on the emergency
20 operation section for the caregivers.

21 Let's go ahead and jump in with PDR because this
22 is the caregiver section. Do you have comments that have come
23 in, Bob Ayres, regarding the PDR?

24 MR. AYRES: Yeah, a lot. One from an agreement
25 state.

1 CHAIRMAN STITT: Do you want to jump into those
2 or how does the staff feel? Trish, how do you view this
3 section as it reads currently?

4 Is this a compromise? Is this workable? This
5 really states some of the things that we've been through.

6 At least, this discussion is pretty
7 straightforward in outlining what the dilemma.

8 MS. HOLAHAN: Yes, it's outlining the dilemma and
9 I think it's addressing some of the proposals that we have had
10 come in as an acceptable alternate.

11 CHAIRMAN STITT: Um-hm.

12 MS. HOLAHAN: Is that correct, Bob?

13 MR. AYRES: I'm sorry.

14 MS. HOLAHAN: This is taking into account the
15 proposals that we have had in as an acceptable alternate for
16 PDR.

17 MR. AYRES: Right. It incorporates presentation
18 on behalf on AAPM at the ACMUI as well as a site visit.

19 MS. HOLAHAN: In the ACR proposal?

20 MR. AYRES: And, the one licensee we did have for
21 PDR and the site visit included discussions between NRC, the
22 licensee and the manufacturer.

23 All sitting together. The region representative,
24 myself and Jeff Williamson and Steve Teague.

25 CHAIRMAN STITT: So, then, are we happy with it

1 the way it is? It certainly represents a small fraction of
2 what's going on.

3 Ironically, it's probably the best way to do
4 brachytherapy just from a biologic standpoint. But, it's
5 probably the most difficult way to do it from a safety
6 standpoint and patient safety.

7 MR. AYRES: The comments I've gotten on this and,
8 again, some of these are agreement state specific problems
9 like you brought up and running into problems with state law,
10 that sort of thing.

11 MS. HOLAHAN: I'm getting a copy made of the
12 state comments that you're looking at.

13 MR. AYRES: Okay. One of them is that the module
14 indicates that NRC will consider trained nursing staff to
15 qualify as device operators.

16 It's actually nursing staff and therapists. They
17 go on to comment the department rules prohibit a non-certified
18 individual from administering radiation to humans and it is
19 not likely that nurses will qualify.

20 Well, I think there's a little misunderstanding
21 there. They're not doing an administration per se.

22 They're watching --

23 MR. QUILLEN: Monitoring.

24 MR. AYRES: -- monitoring the administration
25 which was, in fact, was prescribed and started by the

1 physician authorized user.

2 So, I'm not sure about the validity of that
3 comment.

4 MR. QUILLEN: That's what my linear accelerator
5 operator does.

6 CHAIRMAN STITT: Right. Carry out the orders.

7 MR. AYRES: Yes. In teletherapy, it's the -- the
8 therapists all the time are even more so involved in the
9 administration than is the case for HDR.

10 They made another minor comment on the training
11 where we -- the module indicates that both practical and
12 written exams should be administered.

13 And, they think we should require that copies of
14 the exams and answer key with a specified minimum passing
15 criteria be submitted as part of the license application.

16 That's maybe -- I'm not sure if we wanted to get
17 involved at that level of detail. Where they get into
18 problems with the HDR, it does not adequately address the use
19 of PDR's they say.

20 The module indicates a more sophisticated alarm
21 system. Sensors lack of constant surveillance. And, it says
22 the alarm system is not defined.

23 I think they have a misunderstanding there and we
24 could probably discuss that a little bit. I need to rewrite
25 that for a little better clarity based on the comments.

1 In doing electrical engineering work in the past,
2 I took some liberties on understanding that obviously, not
3 everyone caught.

4 The section implies that what -- their main
5 thrust is that the patient remains attached to the device
6 during non-treatment times and they object to that.

7 They say if you're going to leave the patient
8 attached to the device, you have constant surveillance.
9 Otherwise, you disconnect.

10 And, that's certainly contrary to the philosophy
11 in which these devices were developed to be operated.

12 And, I'm not sure I go along with that. But,
13 that's their central thrust. If the patient is connected to
14 the device, you have constant surveillance.

15 If the patient is not -- otherwise, disconnect
16 the patient from the device. And, they go into various
17 examples, too, like visitors and so forth.

18 That's the real thrust. What the special alarm
19 system is, and maybe I should just explain it up front as the
20 wording doesn't do adequately.

21 But, what we felt was, and this is being done in,
22 I understand, Arizona, they have a facility where they have
23 this type of alarms, is that if the machine fails, and the
24 definition of failure would be that you have what I call a
25 wire, well, and it really is, a logical "and", that requires

1 that if the device is not in the safe, the room monitor must
2 be going.

3 Okay? That is the function check and if that
4 doesn't happen, the device is supposed to generate an error,
5 retracts the source.

6 In other words, it indicates that the room
7 monitor, the prime alert, what have you, has failed. It
8 generates an error.

9 Retracts the source. And, required operator
10 intervention to correct the problem before the source can be -
11 - treatment can be restarted.

12 The real alarm condition, and in this case we
13 specify an audible alarm because it's not under constant
14 supervision and it may be 30 feet down the hall from the
15 nurses' station, is if the device says the source is safe,
16 retracted, and the room monitor is alarming, then, a
17 significant non-silenceable audible alarm is generated until
18 the problem is corrected.

19 That's a special alarm system. We tie the
20 radiation monitor into the interlock alarm system and in two
21 ways to generate an alarm and to run a self-test, if you will,
22 on its function.

23 In other words, if the device says the source is
24 out, the alarm better be going. If the device says the source
25 is in, the alarm better not be going.

1 MR. CAMPER: This is an Arizona requirement.

2 MR. AYRES: Yes.

3 MR. CAMPER: Are any of the agreement states
4 doing that?

5 MR. AYRES: I have no knowledge.

6 MR. CAMPER: Is Colorado doing that?

7 MR. QUILLEN: No.

8 CHAIRMAN STITT: Who's actually doing PDR?

9 MR. AYRES: There's very few of them. None
10 anymore in our states and --

11 MS. HOLAHAN: Is there one in Arizona?

12 MR. AYRES: There is certainly one in Arizona.

13 CHAIRMAN STITT: Who is it? Do you know?

14 MR. AYRES: Who?

15 CHAIRMAN STITT: What institution?

16 MR. AYRES: No, I don't. I have a list. I could
17 find it. I could find that out.

18 CHAIRMAN STITT: There can't be more than a
19 couple of places that even do brachytherapy to any degree.

20 MS. HOLAHAN: I know UCSF has a program.

21 MR. AYRES: Yes, yes. They have one.

22 MR. CAMPER: Who does it?

23 MS. HOLAHAN: UCSF. San Francisco. And, then, I
24 know there's some research on-going in Michigan.

25 MR. AYRES: Yes. The philosophy applied to this

1 was recognizing that the level of risk was one tenth of HDR
2 but substantially more than LDR.

3 It's a 1 Curie source max. So, that -- translate
4 that into that you have 10 times more response time than you
5 would with a comparable accident with HDR with everything else
6 being equal.

7 MR. CAMPBELL: What about when everything else is
8 not equal? Treatment duration is not equal.

9 MR. AYRES: Well, the actual --

10 MR. CAMPBELL: It's several hours or days.

11 MR. AYRES: Well, it's 70 -- it's a typical LDR.
12 Overall treatment period, the actual source exposure time, is
13 comparable.

14 In other words, the source may be out 5 minutes.
15 And, everybody agrees that this is an experimental modality in
16 that all the evidence suggests that you get the equivalent of
17 LDR tissue response by pulsing the source.

18 It depends on the source strength. If its a full
19 1 Curie, you may be treating 5 minutes of every hour.

20 If it's a half a Curie, you have 10 minutes. If
21 it's a quarter, you have 20 minutes of every hour until you
22 reach source exchange.

23 The advantages of it are is, of course, it
24 apparently produces the identical tissue response to that of
25 LDR.

1 It allows nursing care without interfering with
2 the treatment in any manner what so ever unless there's an
3 emergency because the nursing care can be scheduled for the
4 off time.

5 Obviously, you could schedule visits, too, if you
6 wish. The one thing I've talked to to the people at
7 Mallinckrodt that were using it, the other touted advantage of
8 it, apparently, isn't, or at least at that institution and, as
9 far as I know, not very much used, is the ability to shape the
10 field by the stepping -- varying the dwell times.

11 It would have, I guess, an advantage over
12 conventional LDR. With a smaller source, you could probably
13 treat some areas that might be more difficult to treat with a
14 large manual afterloading.

15 CHAIRMAN STITT: So, what do we need -- do we
16 have what we need here, for the time being? I mean I think
17 PDR is probably the most ethereal of all the things we're
18 discussing because it's the least established due to all the
19 pluses and minuses that you just elucidated.

20 I think one of the issues that's bothersome to me
21 and also to the NRC is that there may be a one tenth of the
22 level of problem if a source is stuck in place.

23 However, if you don't know that that source is
24 stuck in place --

25 MR. AYRES: Right.

1 CHAIRMAN STITT: -- one tenth doesn't matter a
2 wit.

3 MR. CAMPER: That's the point I was getting at.
4 You know, you have a monitoring problem --

5 MS. HOLAHAN: Yes.

6 MR. CAMPER: -- that you don't have with HDR
7 treatment.

8 MR. AYRES: Yes.

9 MR. CAMPER: You've got a duration problem.

10 MS. HOLAHAN: Right.

11 MR. CAMPER: You have the question of
12 availability of the right staff all the time. There are some
13 problems like that.

14 MS. HOLAHAN: We've tried to get around that and
15 we have gotten around it with HDR saying the authorized user
16 and the medical physicist have to be present.

17 MR. AYRES: What the components here are, besides
18 the special alarm system, which is, if you will, in lieu of
19 somebody sitting there watching there all the time.

20 Maybe that's not adequate. The other one is that
21 the people that do watch it or who are available to respond
22 immediately, that is, within a minute or less.

23 Well, we really don't specify that. But, they'd
24 be specially trained in all the normal and emergency
25 operations.

1 They have to prove their competence by practical
2 exam. There's another stipulation in there that they need to
3 be retrained twice a year because one makes the assumption
4 that these individuals do not have the repetitive hands on
5 experience that the physician and physicist does.

6 You know, In other words, they'll be on shifts.
7 And, there will be shifts that there'll be treatment going on
8 and they won't be there and so forth.

9 And, so, we put in a double the training
10 refresher requirement and there is a requirement in here that
11 the ROS/physicist/physician be available in, I'd have to look
12 it up, in some minimum amount of time to respond to a page, be
13 it home or wherever.

14 And, now, whether this aggregate set of
15 requirements is sufficient is what's on the table.

16 CHAIRMAN STITT: Does the issue of emergency --
17 when we jumped into our discussions this morning, we actually
18 starting talking about emergency --

19 MS. HOLAHAN: Procedures.

20 CHAIRMAN STITT: -- management of HDR sources.

21 Where did I lose that section to?

22 MS. HOLAHAN: That was around page 34 or 33.

23 CHAIRMAN STITT: And, that is in which -- what is
24 section 11.2 called?

25 MS. HOLAHAN: Section 11 is called radiation

1 safety program.

2 CHAIRMAN STITT: Okay. So, then what we
3 discussed as far as this section that we've discussed should
4 also relate to PDR.

5 Is that correct? That is, as far as retrieving.

6 MS. HOLAHAN: Yes. Now, again, that raises a
7 question of the surgical intervention, yes --

8 CHAIRMAN STITT: Right. But, if you're looking
9 at the document --

10 MS. HOLAHAN: -- which we don't specifically
11 address.

12 CHAIRMAN STITT: -- and contemplating PDR, this
13 emergencies procedures also relates to it. It doesn't have to
14 be repeated anywhere.

15 MR. AYRES: Right.

16 CHAIRMAN STITT: Okay.

17 MS. HOLAHAN: Right.

18 MS. HOLAHAN: All right, so, back to your
19 question, which is the right one. Do we have what we need in
20 this section for the time being?

21 I guess just in general I think this is probably
22 as good as we can do when you realize that this is not as
23 highly developed, it may not be because of the constraints,
24 but at least it makes some statements that we haven't made
25 before.

1 CHAIRMAN STITT: Okay.

2 MR. CAMPER: I suspect you're right.

3 CHAIRMAN STITT: So, then, PDR was turned into
4 something fairly easy.

5 MR. AYRES: Well, we wrestled quite a bit and
6 lots of discussions occurred between what's put down here and
7 --

8 CHAIRMAN STITT: That's why it looks so well done
9 because you've done all the homework to set it up for us.

10 Well, then, let's move on to --

11 MR. QUILLEN: I'm not finished with that section,
12 yet.

13 CHAIRMAN STITT: You're not? You've got to speak
14 up, sir.

15 MR. QUILLEN: You have the new title of the
16 device monitor slash operator, okay? And, later on, you use
17 the title device operator.

18 And, then, later on further, you use the title
19 device monitor. At first, I thought you were talking about
20 one person.

21 Then, I think you're talking about two different
22 people. What are you talking about?

23 CHAIRMAN STITT: Sounds like something that's out
24 of the nuclear reactor industry, doesn't it?

25 MR. CAMPER: What's the second one?

1 MR. HOLAHAN: We say device operator on 11,
2 device monitor operator on page 10 and where -- do we say
3 device monitor alone somewhere?

4 MR. QUILLEN: Yes, back -- let's see. Let me
5 find it. It's on page 28 under 11. And certified.

6 There we have a specially trained and certified
7 device monitor.

8 MR. AYRES: Where is that? Page 20?

9 MR. QUILLEN: 28.

10 MR. AYRES: I think there may be a real reason
11 for that one. Let me get there and see.

12 MR. CAMPER: We have device operator up on 10.

13 MR. QUILLEN: Okay.

14 MR. CAMPER: And we have -- where's your trained?

15 MR. QUILLEN: Device operator slash -- monitor
16 slash operator. The next page talks about the device
17 operator.

18 MR. CAMPER: Yeah, I have those two. Device
19 operator.

20 MR. QUILLEN: On page 28, under 11 --

21 MR. HOLAHAN: Okay. That's referring to PDR
22 there.

23 MR. AYRES: PDR where we have those extra
24 requirements for the monitor. So, I did that with some
25 deliberation, but maybe it's not clear at that point.

1 CHAIRMAN STITT: Is a certified device monitor a
2 gizmo or a person?

3 MR. AYRES: Person.

4 MS. HOLAHAN: Is that the same as the device
5 monitor operator that's referred to on page 10, I think, is
6 the question, isn't it?

7 Because it'd talk about only -- we have a primary
8 device monitor operator --

9

10 MR. AYRES: Yeah, it is. They're both under the
11 PDR section.

12 MS. HOLAHAN: Yeah. But, I guess we need to be
13 consistent and decide what we want to call them.

14 MR. AYRES: Yeah, yeah.

15 MR. QUILLEN: Be consistent on what you're going
16 to call them.

17 MR. AYRES: And, maybe -- it looks like --

18 MR. CAMPER: Why is it just the device operator,
19 Bob?

20 MR. AYRES: Well, because under PDR the nurses or
21 the specially trained nurses or therapists aren't operating
22 the device.

23 They're just --

24 MR. CAMPER: Right. They're more monitoring.

25 MS. HOLAHAN: So, could we take out operator on

1 page 10, then, the slash operator?

2 MR. AYRES: Probably. I need to look at those.

3 MS. HOLAHAN: I mean for PDR, could it just be --
4 I mean that's a possibility. For PDR, it could be a monitor
5 and, then, the operator is the person who actually pushes the
6 button.

7 MR. QUILLEN: Because on the next page you have
8 device operator which is somebody, it appears, that's under
9 the physics staff.

10 MR. AYRES: Yes, that's correct. That was the
11 intent. What we have for LDR and PDR, we have the people who
12 watch over it are not the operators, not the ones who program
13 it, not the ones who initiate the treatment.

14 MR. CAMPER: A question for you, Bob. If I read
15 9.1.1.2.2 or 1 the list there it says an outline of initial
16 training provided by the device manufacturer or individual, so
17 forth and so on, the licensee gives to the authorized user
18 physicists and/or RSO and device operators.

19 What device operator is there that isn't an
20 authorized user, a physicist, and/or an RSO?

21 MS. HOLAHAN: Therapist.

22 MR. AYRES: Therapist.

23 MR. CAMPER: That's an authorized user.

24 MS. HOLAHAN: No, no. A tech.

25 MR. CAMPER: Oh, okay.

1 MS. HOLAHAN: Formerly a technologist, now a
2 therapist.

3 MR. AYRES: A lot of times, even with our
4 requirements that the physicists and the authorized user be
5 there, they are often there but actually somebody else, a
6 therapist, is actually manipulating the device.

7 MR. CAMPER: Then, why don't you say therapist --

8 MS. HOLAHAN: Some states will only allow --
9 won't allow the physicist to operate it.

10 MR. AYRES: It could be a dosimeterist.

11 CHAIRMAN STITT: Does device operator get a
12 definition somewhere? Is it supposed to?

13 MR. AYRES: Well, that what I thinking about.
14 With all these things we're talking about maybe these should
15 go in the glossary.

16 MS. HOLAHAN: Yeah, we could define it. Yeah.

17 MR. AYRES: We'd get them straightened out and
18 put them in the glossary.

19 MR. CAMPER: Well, it's either that or you might
20 be a little more clear by saying or others. See, an AU, a
21 physicist or an RSO is a device operator, may be a device
22 operator.

23 MS. HOLAHAN: Can be, yeah.

24 MR. CAMPER: Then, you can say or other device
25 operators for example, technologists or dosimeterists.

1 MS. HOLAHAN: We've got that idea of the general
2 category on the top of the page where basically -- right
3 there.

4 That's listing sort of who all the general folks
5 are that we're talking about except there again --

6 MR. CAMPER: Well, then, you ought to draw a
7 distinction to device operator, then.

8 MS. HOLAHAN: Well --

9 MR. CAMPER: You see, once again you have a
10 device operator as a line item.

11 MR. AYRES: Well, I see something else here, too.
12 I should delete and/or RSO because you made the decision
13 towards the end to delete and/or RSO out of the required
14 people and this is a place that I didn't -- I missed getting
15 back --

16 MS. HOLAHAN: Well, we could include RSO as
17 possibly needing that though, as well, right? Because --

18 MR. AYRES: Well, the reason it was in there was
19 because that was in lieu of the physicist if they didn't have
20 one.

21 And, I wouldn't think the RSO would need the
22 training unless he was going to be a device operator or
23 something like that.

24 MR. CAMPER: And, now we require them to have the
25 physicist.

1 MR. AYRES: Yeah.

2 MR. CAMPER: You're right. That's a good catch.

3 CHAIRMAN STITT: Well, I do like what Larry
4 suggested in defining -- that the device operator has an
5 explanation or an explanation just by that comma which could
6 include a dosimeterist or RTT.

7 MS. HOLAHAN: Could we say, up at the top of that
8 page, in the 9. --

9 CHAIRMAN STITT: At the top, yeah.

10 MS. HOLAHAN: -- that that would include and that
11 should actually be including authorized user, physicist,
12 therapist, dosimeterist or other device operators, just in
13 case.

14 MR. AYRES: I was just going to say or other
15 device operators.

16 MS. HOLAHAN: Right. Just in case we missed
17 somebody.

18 MR. AYRES: And, then, we define in the glossary,
19 put in the glossary, device operators and device monitors,
20 and/or other device operators.

21 MS. HOLAHAN: Bob, let me ask you a question. Up
22 there is you've got the authorized user only for HDR and PDR
23 treatments.

24 Shouldn't the authorized user receive all that
25 other general training, too?

1 CHAIRMAN STITT: I thought it stated that it did.

2 MS. HOLAHAN: The way that it's worded is only
3 the normal and emergency operation.

4 MR. AYRES: Well, that wasn't my intent. That
5 goes beyond, I think, what we need. Again, Dr. Stitt can very
6 well address this but, I think, with LDR the authorized user
7 is not necessarily even there when treatment is initiated.

8 They may or may not be depending on the
9 institution and the individual physician but requiring them to
10 have training on the device, I think, would be clearly
11 appropriate if they are the primary responder to a difficulty
12 with the device.

13 But, if they aren't --

14 MS. HOLAHAN: Yes, but, if you look under the
15 general training, it isn't really the -- well, the operating
16 instructions, but it gets into the appropriate radiation
17 surveys, the source inventory controls, source leak testing.

18 Particularly, if others are all doing it under
19 the supervision of the authorized user, I don't see, and let
20 me ask --

21 CHAIRMAN STITT: Oh, I agree with that.

22 MS. HOLAHAN: -- Dr. Stitt, again, should that be
23 included as part of the authorized user training as well?

24 CHAIRMAN STITT: Yes. As far as I'm concerned,
25 it should be. I mean, actually, this is all material -- this

1 would be training that if the authorized user is the
2 physician, they would have been trained on during their
3 residency or hopefully --

4 MS. HOLAHAN: So, then, they may not need the --

5 MR. CAMPER: I do have a question, though, about
6 one of those. Number 2. What do we mean by source inventory
7 control?

8 MS. HOLAHAN: What source is in storage and what
9 source is in the unit. Well, and then, don't forget, this
10 encompasses remote afterloading or LDR as well.

11 MR. CAMPER: LDR. Oh, yes. That's right. Okay.

12 CHAIRMAN STITT: Yes.

13 MR. CAMPER: That's it.

14 MR. AYRES: You might say the Indiana,
15 Pennsylvania had a poor inventory control on the source.

16 MR. CAMPER: Well, it's up to you but I might
17 argue that point.

18 MS. HOLAHAN: Actually, one of the conditions is
19 in lieu of the 35.406 which is the source inventory, so --

20 MR. CAMPER: Well, I was thinking, obviously, of
21 more classical inventory as in LDR.

22 MR. AYRES: I forgot or didn't capture well what
23 were we changing this title to 9.1.1 to which is going to
24 become 9.1.2 which is train for medical physics staff?

25 It was going to be training --

1 MS. HOLAHAN: Okay. I can give it -- well,
2 training for professional staff responsible for the care of
3 patients undergoing remote afterloading.

4 And, then, 9.1.2 becomes training for staff
5 directly involved in planning, administration and monitoring
6 of patients undergoing.

7 MR. CAMPER: That's consistent with our approach
8 yesterday, right?

9 MS. HOLAHAN: Right.

10 MR. AYRES: I may get together with you.

11 MR. HOLAHAN: Yeah.

12 MR. CAMPER: Yeah, you didn't have the benefit of
13 the discussion yesterday. If you had been there, it would
14 have helped a lot but we can --

15 MR. HOLAHAN: Plus I have Sally right here in
16 front of me.

17 MR. CAMPER: -- get together on that.

18 CHAIRMAN STITT: Bob Quillen, do have other
19 comments?

20 MR. QUILLEN: A couple editorial comments.

21 MR. AYRES: Okay.

22 MR. QUILLEN: On number 3, at the top of page 11.
23 I think that should be a separate paragraph because the lead
24 into that is how we're to act in this capacity as individuals
25 who meet the following minimum training requirements and 3 is

1 not a training requirement.

2 MS. HOLAHAN: Where? I'm sorry.

3 MR. AYRES: Oh, I think I have a comment on that,
4 also, from another source. Same thing, yeah.

5 MR. CAMPER: It's number 3.

6 MR. AYRES: It's not a sub-set. It's a separate
7 paragraph.

8 MS. HOLAHAN: Oh, okay.

9 MR. AYRES: There's a couple places where that
10 occurs.

11 MR. QUILLEN: I'm next down to 9.1.1.2.2.

12 CHAIRMAN STITT: The bottom of the paragraph on
13 page 11.

14 MR. QUILLEN: Yes. And, this is another
15 editorial one. You have a sentence here. It has almost 70
16 words in it and the verb is the last word in the sentence.

17 It would be helpful --

18 MR. HOLAHAN: In number 1?

19 MR. QUILLEN: Yes.

20 MR. CAMPER: Our old English teachers would have
21 found this intolerable, right?

22 MR. QUILLEN: I would just put the verb up here
23 in front of the sentence.

24 MR. HOLAHAN: We need to find an old English
25 teacher to fix that section, right? This is what's called a

1 run on sentence.

2 MR. AYRES: Well, it hasn't gone through our
3 technical editor. I don't know whether this documents going
4 to go through our tech editor.

5 MS. HOLAHAN: I don't know if the licensing
6 manual will.

7 CHAIRMAN STITT: I can see where a well-placed
8 period would help that out.

9 MR. CAMPER: Yeah, that's right. We don't want
10 70 words in a sentence.

11 CHAIRMAN STITT: We can get that fixed for you,
12 doc.

13 MR. CAMPER: Even a bureaucrat shouldn't do that.

14 MR. AYRES: Sneak one in.

15 MR. QUILLEN: That's all I have.

16 CHAIRMAN STITT: All right. If we fix that on
17 page 11, that'll make him happy. How about page 12?

18 It's still -- now, we're at normal and emergency
19 operation at HGR remote afterloading devices.

20 MS. HOLAHAN: I'm sorry. Where are you?

21 CHAIRMAN STITT: 12.

22 MS. HOLAHAN: Okay.

23 CHAIRMAN STITT: Any comments on 12?

24 MS. HOLAHAN: I'd like to make -- are we on the
25 section for training for ancillary?

1 CHAIRMAN STITT: Let me just -- hang onto that
2 thought.

3 MS. HOLAHAN: Okay.

4 CHAIRMAN STITT: And, let's see if anybody else
5 has other comments that relate to normal and emergency
6 operation of HGR remote afterloading devices, editorial or
7 otherwise.

8 MR. QUILLEN: On number 2, it wasn't clear to me
9 what you were looking for with respect to affiliation.

10 MR. AYRES: Well, often time, it's a vendor.
11 Other times, it might be a consulting firm or in house.

12 MR. QUILLEN: What you're really looking for is
13 the qualifications, isn't it?

14 MR. AYRES: Yeah.

15 MR. QUILLEN: Rather than the affiliation?

16 MR. AYRES: Well, yeah. Is there an advantage to
17 knowing where they're from, I guess, is the question.

18 MR. CAMPER: What's the yardstick to judge?

19 MR. AYRES: Yeah.

20 MR. CAMPER: I don't think there is one. It's
21 really about their qualifications.

22 MR. AYRES: Yeah.

23 MR. CAMPER: Who. Who did it. And, are they
24 qualified. I think Bob has got a good point there. I would
25 suggest deleting the word affiliation unless somebody has a

1 compelling reason why we shouldn't.

2 Yes. I guess the only advantage to affiliation
3 of the vendor providing it, sometimes confer upon them expert
4 status, maybe appropriately, maybe not.

5 CHAIRMAN STITT: I suspect you're going to be
6 given an affiliation anyway.

7 MEMBER QUILLEN: Yes. I would expect so, too.

8 MR. AYRES: Yes. I think you will be, too.

9 MEMBER QUILLEN: It will be in their CV.

10 CHAIRMAN STITT: Anything else that you want to
11 discuss on normal and emergency operation, HDR devices? Mr.
12 Ayres?

13 MR. AYRES: I'm sorry?

14 CHAIRMAN STITT: Anything else on that section?

15 MR. AYRES: I don't have anything.

16 MEMBER QUILLEN: I don't have anything either.

17 CHAIRMAN STITT: All right.

18 MR. AYRES: Not here.

19 CHAIRMAN STITT: Dr. Holahan, do you want to move
20 on to 9.1.1.3, "Training - Ancillary."

21 DR. HOLAHAN: Which is now 9.1.3.

22 CHAIRMAN STITT: Which is now. I'll get that
23 down. "Training for Ancillary Personnel (Housekeeping,
24 Dietary services, Security)." Do we have a new name for that
25 section?

1 DR. HOLAHAN: No.

2 CHAIRMAN STITT: No? Oh.

3 DR. HOLAHAN: But I did want to address -- and
4 because this went out later, I wasn't able to provide this to
5 Bob yet -- that the other modules we have revised.

6 Part 19.12 was revised this summer. And so it
7 has now been that -- it used to be that anybody going into a
8 restricted area need training. Now the revised language that
9 we will revise this to read is, "Individuals whose assigned
10 activities during normal and abnormal situations are likely to
11 result in a dose in excess of 100 millirem must receive
12 instruction commensurate with potential radiological health
13 protection problems in the workplace."

14 So basically if you've just got a visitor walking
15 through, they don't necessarily need instructions or if you've
16 got somebody who's just walking, an ancillary person just
17 walking through, unless you feel they are likely a normal or
18 abnormal situation.

19 So that will be revised to read I think --

20 CHAIRMAN STITT: What you just read.

21 DR. HOLAHAN: -- the new language.

22 CHAIRMAN STITT: Will that also include some
23 examples like you just gave or are those sort of off the cuff?

24 DR. HOLAHAN: I think the examples are still
25 going to be the same. Particularly with HDR is that if

1 there's an abnormal situation, an individual if they are in a
2 room with an HDR are likely to receive in excess of 100, so I
3 think in many situations.

4 Now, the point is -- and that's made at the
5 bottom -- that "Licensees may choose to prohibit ancillary
6 personnel from entering restricted areas."

7 CHAIRMAN STITT: Okay.

8 DR. HOLAHAN: But they would still need to
9 provide some training. Basically "Don't go in this room when
10 this sign is up."

11 MR. CAMPER: And what document were you reading
12 from?

13 DR. HOLAHAN: Oh, this was out of the radioactive
14 drug therapy module since we already made that change.

15 MR. CAMPER: Okay.

16 CHAIRMAN STITT: What do Number 1 and Number 2
17 relate to? I mean, I know what they are, but they're kind of
18 hanging out there, "Posting," and "Labeling." Is this
19 training they're supposed to have on posting and labeling or
20 is there more information we need to hear? Posting --

21 MR. AYRES: "Individuals will be instructed in
22 the following topics," and those are the two topics.

23 CHAIRMAN STITT: Okay.

24 MR. AYRES: This parenthetical statement probably
25 should be moved. It gets a little bit in the way of

1 understanding that. It should be moved up ahead of that.

2 MR. CAMPER: Bob, help me out a minute.

3 MR. AYRES: Yes.

4 MR. CAMPER: For ancillary personnel,
5 housekeeping, et cetera, posting is clear. Labeling is what?
6 Labeling on the device itself?

7 MR. AYRES: Yes, for example. You can have the
8 room posted or you can have --

9 DR. HOLAHAN: You could have a label. I mean, if
10 --

11 MR. AYRES: "Radioactive material. Do not
12 disturb" or something like that on a safe or --

13 DR. HOLAHAN: Right. "Don't pick up something
14 marked with a label on it that says 'Radioactive material.'"

15 MR. CAMPER: Well, that's supportable here
16 because we might have a lead container sitting around or a
17 source that fell out.

18 DR. HOLAHAN: Right, source.

19 MR. AYRES: Or a new source yet to be installed.

20 MR. CAMPER: Right. Okay. Just an editorial
21 comment about the paragraph, though, a few lines up, where it
22 says, "10 CFR 19.12." "10" can't stand alone at the end of
23 the sentence, as in "10 CFR 19.12."

24 MR. AYRES: No. That --

25 MR. CAMPER: Just a minor editorial comment.

1 DR. HOLAHAN: It will be probably be moved anyway

2 --

3 MR. CAMPER: Yes. I'm sure it will.

4 DR. HOLAHAN: -- some when we revise it.

5 MR. CAMPER: I'm sure.

6 MEMBER QUILLEN: One of the problems of the way
7 this is stated is that what ancillary people need to be
8 trained in is what is the meaning of labels --

9 DR. HOLAHAN: Right.

10 MEMBER QUILLEN: -- and signs. They don't do
11 posting themselves.

12 DR. HOLAHAN: No. Oh, okay.

13 CHAIRMAN STITT: I guess that's the problem I had
14 with it. Thank you. When I see those two words there, in
15 fact, I would suggest that we need a -- if you're going to
16 keep that first paragraph, then let's make a second paragraph
17 that says, "Individuals will be instructed in the following
18 topics." It lists them.

19 MR. AYRES: Yes. That sentence has got to be
20 moved that follows that.

21 CHAIRMAN STITT: Yes. But I agree with Bob's
22 comment.

23 MR. CAMPER: The meaning of.

24 CHAIRMAN STITT: The meaning of. There you go.

25 MR. CAMPER: Yes.

1 CHAIRMAN STITT: Posting. The meaning of
2 labeling, which I had to ask myself.

3 MR. AYRES: Or you could put it in a sentence,
4 "The meaning of the following topics" or "understanding of" or
5 something like that.

6 MR. CAMPER: Yes.

7 MR. AYRES: It could be adjusted either place,
8 but yes.

9 DR. HOLAHAN: The other -- and, again, I don't
10 mean to refer continually back to the other module. But the
11 other point that was in there that raised a question as to
12 whether or not it should be included as radiation protection
13 to include concept of time, distance, and shielding.

14 CHAIRMAN STITT: There you go. Concept of. I
15 mean, okay.

16 DR. HOLAHAN: And we could include that as well,
17 as opposed to meaning of posting and labeling and precautions.

18 CHAIRMAN STITT: Right, right.

19 MR. CAMPER: Similarly, I would be specific about
20 what you mean by "precautions." You mean precautions when in
21 rooms where remote brachytherapy is occurring; right?

22 DR. HOLAHAN: Right. It's even if they're going
23 into a PDR room with --

24 MR. CAMPER: Right. I think we should be
25 specific about what we mean by "precaution."

1 CHAIRMAN STITT: And, Trish, you keep bringing it
2 up. We need to make these things as homogeneous as we can,
3 where they should be, so that it doesn't appear that we're
4 making up new issues under training just because the isotope
5 may have changed or the use is changed. And where it makes
6 sense we have to, but we need some continuity. It sounds like
7 you're responsibility for bringing us up on that.

8 MEMBER QUILLEN: One of the problems that you get
9 into -- and I'll give you some experience to illustrate this
10 -- is that when I was in Ohio, both the NRC and the State of
11 Ohio had an ongoing set of issues with Western Reserve
12 University and University Hospital. And when I asked the
13 University Hospital what was the primary language of their
14 ancillary staff, their janitorial staff, they said, "Polish."

15 So they could not read instructions. I mean,
16 they needed to be instructed in Polish basically what signs
17 meant, what labels meant, what they were supposed to do. But
18 you couldn't post instructions in English on the wall and
19 expect them to understand what they were supposed to do.

20 MR. CAMPER: Yes. So you might modify your
21 sentence, then, where it says "Individuals will be instructed
22 in the following topics," "in a manner that ensures that they
23 understand the subject matter," something to that effect.

24 MEMBER QUILLEN: That's right. You need to get
25 something across that these people have to understand these

1 issues, rather than just be able to --

2 MR. CAMPER: If you say something like what I
3 just said, I think you're making the point without that
4 treading on thin ice in that you begin to sound
5 discriminatory.

6 MEMBER QUILLEN: That's right. And I know in our
7 area it's Hispanics.

8 DR. HOLAHAN: I know. I was down in Texas. And
9 many of the signs were posted in both English and Spanish.

10 MEMBER QUILLEN: Right, but this is one of the
11 things I noticed at University Hospital in Cleveland. You had
12 many ancillary people who just didn't know. I mean, they just
13 did what they were told, and that was it --

14 MR. CAMPER: Right.

15 MEMBER QUILLEN: -- because they couldn't read
16 the signs.

17 CHAIRMAN STITT: And we do need to address that
18 in some tasteful fashion.

19 MEMBER QUILLEN: Well put.

20 CHAIRMAN STITT: Well, I was having the same
21 problem. What's posting? And what's labeling?

22 DR. HOLAHAN: So if we say "meaning of posting
23 and labeling" and then "necessary precautions," would that be
24 --

25 MR. CAMPER: Well, again, I think the point that

1 I was making was it's necessary precautions when and areas
2 where LDR or PDR or HDR is occurring. I mean, that's --

3 DR. HOLAHAN: When in a restricted area?

4 MR. CAMPER: Well, see, you could be in a
5 restricted area for some reason other than where LDR, HDR, or
6 PDR is going on. I mean, the bottom line is you want them to
7 know when they're going in a room where --

8 DR. HOLAHAN: Yes. But, again, if we're saying
9 this is commensurate. Okay.

10 MR. CAMPER: Well, "precautions" is not nearly
11 descript enough.

12 MEMBER QUILLEN: You know, this is too --

13 DR. HOLAHAN: Right. But the language is going
14 -- that is currently in Part 19 says --

15 CHAIRMAN STITT: It depends on what kind of
16 precautions you're concerned about.

17 DR. HOLAHAN: Well, it says "commensurate with
18 potential radiological health protection problems present in
19 the workplace" in Part 19 now. So I think that will address
20 that to some degree.

21 MEMBER QUILLEN: Well, the other issue I have is
22 the situation we see periodically and I think other people see
23 periodically is that janitorial staff does not follow the work
24 rules associated with working in a medical environment. They
25 get bags mixed up. So they put yellow bags up in magenta bags

1 and vice versa and white bags.

2 And so there's an issue here that they understand
3 whatever the -- not just the precautions, but the -- I hate to
4 use the word "work rules," but something like that associated
5 with the environment.

6 DR. HOLAHAN: Well, would it be typical for many
7 of the remote afterloading cases that ancillary staff would
8 just be told not to go into the room?

9 CHAIRMAN STITT: Yes, that's very typical because
10 of what you're describing.

11 MR. AYRES: And there isn't really a bag problem
12 with remote afterloading.

13 DR. HOLAHAN: No.

14 MR. AYRES: There isn't radioactive waste
15 associated with it.

16 MEMBER QUILLEN: I know. But I'm just saying
17 that that's what happens.

18 MR. AYRES: I understand your point and --

19 MEMBER QUILLEN: I don't know how many times in
20 my life I've had to deal with that issue of putting --

21 CHAIRMAN STITT: Why don't you take the
22 parentheses out of "Licensees may choose to prohibit"? I
23 mean, I only say that in a --

24 DR. HOLAHAN: That could actually be moved up,
25 too.

1 CHAIRMAN STITT: It sounds like --

2 MR. AYRES: That's in error. That sentence needs
3 to be made a separate sentence that starts ahead of
4 "Individuals." Yes. That one I've already noted.

5 CHAIRMAN STITT: Okay. It makes it sound like
6 "Oh, by the way" when, actually, a lot of people choose that
7 route because --

8 MR. AYRES: That will be made a stand-alone
9 sentence between "review" and "Individuals."

10 CHAIRMAN STITT: Good. And we're going to try to
11 flesh out "Posting/Labeling," "Precautions" to include the
12 things that we just brought up, then.

13 "Training for Contractors." "Contractors" refer
14 to what?

15 DR. HOLAHAN: Anybody.

16 MR. AYRES: Anybody, including physicists,
17 nurses. It just says everything that applies to your own
18 people applies to contractors.

19 CHAIRMAN STITT: Okay.

20 MR. CAMPER: Why don't you just --

21 CHAIRMAN STITT: Give examples.

22 MR. CAMPER: -- embody that term or that concept
23 earlier when you're talking about who's being trained?

24 DR. HOLAHAN: Because --

25 MR. CAMPER: Why do you need a separate section?

1 DR. HOLAHAN: Because we felt it was significant
2 enough to bring it to light. We didn't want it lost in the
3 body as you're just sort of scanning through to have
4 contractors --

5 CHAIRMAN STITT: I can see that.

6 DR. HOLAHAN: We wanted to make sure that people
7 were aware that contractors working for the licensee are still
8 working on that license.

9 CHAIRMAN STITT: Would you describe who
10 contractors might potentially be? And that will just catch
11 people's eyes. We all know it, but I had to ask a question to
12 be sure.

13 DR. HOLAHAN: Okay. I mean, in our --

14 CHAIRMAN STITT: Contract nursing staff are
15 involved in this. And I think it's a potential risky area.
16 But, nonetheless --

17 MR. AYRES: It covers a huge spectrum. I mean,
18 it could --

19 CHAIRMAN STITT: Well, give some examples.

20 DR. HOLAHAN: Give some examples.

21 MR. AYRES: It could even be construction folks
22 become ancillary personnel at that point.

23 CHAIRMAN STITT: Well, somebody might say, "Yes.
24 That involves the contract that we have for physics," but not
25 realize that in some hospitals the folks who are writing the

1 license may not realize that nursing staff, particularly on
2 certain shifts, are all contractual and are brought in from
3 outside agencies for short.

4 MR. AYRES: Yes. That's always a problem with
5 overlooking particularly contract nursing personnel.

6 MR. CAMPER: You could have a consultant
7 physicist, too; correct?

8 MR. AYRES: Oh, sure. I mentioned that.

9 CHAIRMAN STITT: Some examples.

10 MEMBER QUILLEN: Operator, slash operator.

11 DR. HOLAHAN: Yes. I think you do have temp
12 services for therapist, too. So if you brought in a --

13 CHAIRMAN STITT: Some examples would say "This
14 means you."

15 MR. AYRES: Yes. We have visiting authorized
16 users.

17 CHAIRMAN STITT: True.

18 MR. CAMPER: No longer.

19 CHAIRMAN STITT: No longer?

20 MR. CAMPER: Not after the radiopharmacy rule.

21 The term authorized --

22 CHAIRMAN STITT: They can come in?

23 MR. CAMPER: Visiting authorized user no longer
24 exists in our regulations after the radiopharmacy rule, which
25 became effective in January. Remember that now they may, the

1 licensees may, authorize an authorized user provided they have
2 certain board certifications and then subsequently notify us
3 within 30 days of having done so. So the term --

4 DR. HOLAHAN: So locum tenants would be included
5 under that? Locum tenants would be included that they would
6 just let us know if they are coming in?

7 MR. CAMPER: As long as they're Board-certified.
8 Now, if they're not Board-certified, they still have to seek
9 an amendment. But you will not find the term "visiting
10 authorized user" in the regulations today.

11 MR. CAMPER: Oh, okay. Off track.

12 CHAIRMAN STITT: So that doesn't really relate to
13 the mobile HDR units? Those aren't visiting authorized users.
14 Those are authorized users.

15 MR. CAMPER: That's right. They're a use.
16 That's correct.

17 MR. AYRES: Which, by the way, mobile HDR is not
18 in here whatsoever.

19 CHAIRMAN STITT: That was meant to be off -- not
20 off the record, but -- right. We've had enough difficulties.

21 MR. AYRES: It was in the -- I guess it wasn't in
22 the cover letter. The reason is we have yet to receive an
23 application for mobile HDR.

24 MR. CAMPER: Two reasons, actually. That is
25 correct. We have not yet received, although we anticipate

1 receiving in the near future. But literally today Part 35
2 prohibits --

3 MR. AYRES: Yes.

4 MR. CAMPER: -- licensing of a mobile HDR. If we
5 were going to license one, we would have to grant it by
6 exemption --

7 MR. AYRES: That's correct.

8 MR. CAMPER: -- to Part 35. Now, as Bob said,
9 we've never had to do that yet. We did meet with an
10 organization this summer that was going to submit an
11 application. They have not as of yet.

12 The State of California has a license to mobile
13 HDR; in fact, to this very same organization.

14 MR. AYRES: Yes. And I understand they're
15 actively advertising at this point. We've been getting a
16 bunch of telephone inquiries in the last couple of weeks about
17 mobile HDR from agreement states, in particular, but also some
18 of our regions.

19 I understand that also applies -- since it's not
20 authorized, that applies to reciprocity also at this point.

21 CHAIRMAN STITT: Have there been any
22 misadventures from the California unit yet?

23 MR. AYRES: One misadministration.

24 MR. CAMPER: Your comment about reciprocity is
25 correct. One-fifty states that we will recognize under

1 reciprocity those things which the agreement states have
2 authorized their licensee to do unless it is contrary to our
3 regulations, --

4 MR. AYRES: Which it currently is.

5 MR. CAMPER: -- which it currently would be.

6 That's right.

7 MR. CAMPER: Are we losing you in that regulatory
8 jargon?

9 CHAIRMAN STITT: I was thinking what I wanted to
10 have for lunch.

11 MR. AYRES: In other words, right now we have no
12 licensed mobile HDR. And we would not grant it under
13 reciprocity.

14 CHAIRMAN STITT: That will be a separate
15 subcommittee meeting.

16 MR. CAMPER: Yes, it will.

17 CHAIRMAN STITT: "Records," 9.3.

18 DR. HOLAHAN: It just says you have to keep them.

19 CHAIRMAN STITT: What?

20 DR. HOLAHAN: It just says you have to keep them.

21 MR. AYRES: For three years on your training
22 records.

23 CHAIRMAN STITT: Training records. All right.

24 MR. AYRES: That's under 9. So it's training.

25 CHAIRMAN STITT: Right. Item 10, "Facilities and

1 Equipment." So 10.1 is really what it looks like?

2 DR. HOLAHAN: Yes.

3 CHAIRMAN STITT: Okay. How about 10.1.1 and
4 thereafter?

5 DR. HOLAHAN: Yes. 10.1 is general. Then you've
6 got either the pulsed or then 10.1.2 is the low-dose rate,
7 which is why it's broken down like that.

8 CHAIRMAN STITT: Okay.

9 MR. AYRES: Yes. We treat pulsed, medium, and
10 high the same as far as shielding goes. And there are no
11 mediums. And for biological response reasons, I would not
12 anticipate any.

13 CHAIRMAN STITT: Are there any comments that
14 you've received about these sections?

15 MR. AYRES: Not any -- again, across all sections
16 are minor editorial corrections. There was something about
17 monitors. I'm trying to remember.

18 CHAIRMAN STITT: In the "Monitor" section, are we
19 trying to be inclusive of pulse? It looks like we are.

20 MR. AYRES: Well, this is the room monitor.
21 Without having had a chance to collate these, if you will, it
22 will be a little tougher.

23 CHAIRMAN STITT: Under --

24 MR. AYRES: Oh, I remember. The comment was
25 relating to training and that we needed to explicitly address

1 the use of surveys meters and room monitors and interpretation
2 thereof under "Training." I knew there was one comment in
3 about that, having it in the wrong section.

4 CHAIRMAN STITT: There is a separate section,
5 116, regarding pulse, dose, rate, and devices and more
6 sophisticated alarm system.

7 Bob Quillen, do you have comments in this section
8 or is it --

9 MEMBER QUILLEN: No.

10 CHAIRMAN STITT: It's fairly straightforward.
11 Nobody has -- it probably doesn't have changes in it, in
12 particular, does it, from other past versions or --

13 MR. AYRES: Yes. It's 10.1.1.4.2 on Page 16 I'm
14 going to have to just clarify a little bit. Most people
15 didn't understand why I "anded" and why I "orred."

16 MEMBER QUILLEN: Neither did I.

17 MR. AYRES: That's logical "and," logical "or."
18 Like I said, my electrical engineering background came through
19 there and got everybody.

20 DR. HOLAHAN: Logical to you, Bob, but not to the
21 non-engineers.

22 MR. AYRES: I can draw a little integrated
23 circle.

24 MR. CAMPER: We physicists say you have to keep
25 an eye on those engineers. You've got to watch those guys.

1 No. We understand what you're saying, Bob.

2 MR. AYRES: I could do "this," instead of "and"
3 or "or."

4 CHAIRMAN STITT: That would help a lot.

5 MR. CAMPER: Surrogate symbols.

6 DR. HOLAHAN: Let me ask, Bob, because I think I
7 know. I recall this. Do we specifically address that we will
8 not allow portable shields for HDR; correct?

9 MR. CAMPER: That's correct.

10 CHAIRMAN STITT: Where is that? Because that's
11 one of the things I was looking for. Is that in this section?

12 MR. AYRES: Yes. It certainly is.

13 CHAIRMAN STITT: That's why I was looking --

14 MR. AYRES: Now you're asking me to find it.

15 CHAIRMAN STITT: Oh, "Adequacy of Shielding for
16 HDR Devices," I guess. I'm on 19.

17 DR. HOLAHAN: It should be under the facility
18 diagram, I think.

19 MR. CAMPER: The facility diagram.

20 MR. CAMPER: No.

21 CHAIRMAN STITT: Wait a minute. "For the PDR
22 licensees specify." Well, we all feel that way if we can find
23 it.

24 MR. AYRES: Low dose rate. "Low-dose rate I
25 explicitly allowed. And that's on Page 10.

1 DR. HOLAHAN: Ten?

2 MR. AYRES: Or Page 18, second paragraph down.
3 That's portable or allows it for low-dose rate. Adequacy of
4 Shielding for HDR."

5 DR. HOLAHAN: I guess because the question has
6 been raised about whether or not it should be allowed for PDR,
7 I think.

8 MR. CAMPER: It has been raised.

9 DR. HOLAHAN: Yes.

10 MR. CAMPER: We have had a technical assistant's
11 request on that.

12 DR. HOLAHAN: For PDR?

13 MR. AYRES: Not for PDR. For HDR. And we're
14 treating PDR the same as HDR.

15 MR. CAMPER: Right.

16 DR. HOLAHAN: Here we have on Page 20 in terms of
17 for PDR afterloading devices, the licensee should specify the
18 configuration of portable shields, if applicable." That's
19 Item Number 2. But that PDR doesn't address --

20 MR. CAMPER: But do you know what? I don't think
21 we say under this category entitled "Adequacy of" --

22 DR. HOLAHAN: Right, that they cannot.

23 MR. CAMPER: -- that you can't use a portable
24 shield.

25 CHAIRMAN STITT: Then we need to add it.

1 MR. CAMPER: Yes, we do. I could have sworn we
2 addressed that someplace.

3 CHAIRMAN STITT: We certainly talked about it
4 enough.

5 MR. CAMPER: Maybe I'm recalling the technical
6 assistance response in which we said you couldn't use it for
7 HDR.

8 MR. AYRES: Oh, I did lie. Under 2 on Page 20, I
9 said, "For PDR" --

10 DR. HOLAHAN: Yes.

11 MR. AYRES: -- "afterloading devices, the
12 licensee should specify the configuration of portable
13 shields."

14 MR. CAMPER: You covered LDR and PDR well. But
15 we haven't --

16 CHAIRMAN STITT: Well, that might be a place to
17 stick the next number in there and --

18 DR. HOLAHAN: Put it in that same paragraph?

19 CHAIRMAN STITT: -- exclude it from HDR as a
20 separate number, I would think.

21 DR. HOLAHAN: If that's the case, then that
22 second --

23 MR. AYRES: Well, I could try to just put it as
24 an additional sentence in 2 that portable shields are not
25 allowed in a little --

1 DR. HOLAHAN: Do you think it's significant
2 enough that it should be called out separately as a separate
3 line item?

4 CHAIRMAN STITT: How often do you get questions
5 about it?

6 MR. AYRES: We just don't.

7 MR. CAMPER: Well, we had had one. We had one
8 technical assistance request that I recall. Is that the only
9 one?

10 MR. AYRES: Well, we have had one, yes, which we
11 did the TAR on. And then I think there's been a couple since
12 that I just referred the regions to the TAR.

13 CHAIRMAN STITT: I'd make it a separate number,
14 just make it a single -- you know, if it just needs one or
15 maybe two sentences, but it would be very easy to see as
16 you're running through this.

17 MR. AYRES: Okay. It's something our license
18 reviewers are very much attuned to.

19 MR. CAMPER: Correct, but if someone were coming
20 into the world of HDR new as a business venture or whatever,
21 it would be good to know that you can't.

22 CHAIRMAN STITT: Right. You don't have to even
23 look for it.

24 DR. HOLAHAN: That would be HDR and MDR, wouldn't
25 it? Would it be HDR and MDR?

1 MR. AYRES: Yes. For shielding purposes, yes.

2 DR. HOLAHAN: Okay. But just for PDR, we would
3 allow it.

4 MR. AYRES: Well, I put that in there. I guess
5 that's on the table.

6 MR. CAMPER: Well, you have, what, one-tenth of
7 the source strength.

8 MR. AYRES: Yes.

9 DR. HOLAHAN: I think, too, with PDR it would be
10 looking at going into where it would be conducted. Would it
11 be necessary to have portable shields or it wouldn't --

12 MR. AYRES: Well, they clearly -- most of the
13 institutions I'm aware of tend to use PDR a lot like they use
14 LDR.

15 MR. CAMPER: Absent shielding.

16 MR. AYRES: Well, except with shielding LDR for
17 --

18 MR. CAMPER: Oh, they are using?

19 MR. AYRES: Oh, yes. That --

20 MR. CAMPER: Portable? Portable shielding?

21 MR. AYRES: Yes. That one-curie source mandates
22 that. They can't meet the unrestricted area under restricted
23 area limits otherwise unless they don't use adjacent rooms or
24 restrict --

25 MR. CAMPER: You mean at the boundary of the --

1 it depends on how big the room is.

2 MR. AYRES: Yes. They normally do it in -- what
3 the normal situation --

4 MR. CAMPER: Actually, you're referring to the
5 two mr per hour?

6 MR. AYRES: Yes.

7 MR. CAMPER: That's at the boundary of the
8 unrestricted area?

9 MR. AYRES: Yes.

10 MR. CAMPER: And all I'm saying is that would be
11 a function of the size of the room.

12 MR. AYRES: Yes. But what they normally do is
13 roll in a PDR in a standard manual low-dose patient treatment
14 room.

15 MR. CAMPER: Yes. I understand. I understand
16 what you're saying. I think to get to the crux of your
17 concern, I think your statement in Item 2, your last sentence,
18 I think you've captured it well, "For PDR afterloading
19 devices, the licensee should specify the configuration of
20 portable shields, if applicable, used for each set of
21 calculations." It seems pretty --

22 MR. AYRES: The tendency I see with the people
23 who want to use portable shields for HDR are those who try to
24 put them in --

25 MR. CAMPER: Nonexisting --

1 MR. AYRES: -- an orthotherapy --

2 MR. CAMPER: Right. That's right.

3 MR. AYRES: -- room or simulator room.

4 MR. CAMPER: That's right.

5 CHAIRMAN STITT: Or to turn a room that really
6 isn't adequate into something that will pass.

7 MR. AYRES: Yes.

8 CHAIRMAN STITT: All right. In another --

9 MR. CAMPER: It is typically in a transition,
10 too, that they're wanting to do that.

11 MR. AYRES: Well, the one I did the TAR one, they
12 wanted to do it permanently.

13 MR. CAMPER: That's right. they wanted to mount
14 it in the floor. That's right. They wanted to use a portable
15 shield and mount it in the floor.

16 MR. AYRES: And one hanging over the patient.

17 MR. CAMPER: That's right.

18 DR. HOLAHAN: Now there's a pretty scary thought.

19 CHAIRMAN STITT: Other comments on the section
20 that we're working on, "Shielding"?

21 MR. AYRES: Here I thought I had addressed that,
22 and it isn't explicit.

23 CHAIRMAN STITT: That's why we have these
24 meetings.

25 MR. AYRES: That's right.

1 CHAIRMAN STITT: Bob Quillen, anything here?

2 MEMBER QUILLEN: No. The only item I had was on
3 Page 20. And it was Item 4, on "Calculations to determine the
4 dose." This is both HDR and PDR. And with PDR you'll have to
5 explain to me how often, on what periodicity, I should say,
6 these things operate? Which do you have them on, how many
7 hours per day, or --

8 CHAIRMAN STITT: Well, several minutes an hour.

9 MEMBER QUILLEN: Several minutes an --

10 MR. AYRES: To upwards of an half an hour out of
11 an hour.

12 MEMBER QUILLEN: Half an hour of an hour over
13 what period? All day long?

14 MR. AYRES: For three days, three-four days.

15 MEMBER QUILLEN: Three or four days. If you use
16 a continuance occupancy factor of one, you would be doing a
17 calculation based upon a total day's exposure, then, as if
18 somebody was there 24 hours a day.

19 MR. AYRES: Which a patient in an adjacent room
20 may be.

21 MEMBER QUILLEN: Well, but for a worker probably
22 is not going to be.

23 MR. CAMPER: It wouldn't be, would not be.

24 MEMBER QUILLEN: They would not be.

25 MR. CAMPER: If you have someone sitting at a

1 desk or standing in one place all the time.

2 MEMBER QUILLEN: Well, I would say continuance
3 occupancy factor of one would be based upon somebody who is
4 not an occupational worker, not a worker in the petroleum.
5 You're making a possible worst-case scenario for a facility
6 where --

7 MR. AYRES: Well, this is unrestricted areas
8 where we're considering the public.

9 MEMBER QUILLEN: I know.

10 CHAIRMAN STITT: And I think he --

11 MR. CAMPER: Yes. But you still should use a
12 realistic occupancy factor.

13 MR. AYRES: Well, we said --

14 MR. CAMPER: That's what you were saying. Right,
15 Bob?

16 MEMBER QUILLEN: Yes. I think this is for one
17 case it's reasonable. In one case it's not reasonable.

18 DR. HOLAHAN: But I think the argument --

19 MR. AYRES: We say we will accept less, but
20 you've got to at least show us it's reasonable. And if you
21 don't want to actually demonstrate what the occupancy factor
22 is, then one is a conservative way to go.

23 MR. CAMPER: No question.

24 MEMBER QUILLEN: Yes. But you use the term
25 "compelling."

1 MR. AYRES: Well, "compelling" might be --

2 DR. HOLAHAN: I think we have --

3 MR. CAMPER: The fact that we have someone in
4 that station 25 percent of the time and using a quarter
5 occupancy in and of itself is legitimate rationale.

6 MR. AYRES: Yes, yes.

7 MR. CAMPER: I don't know if that's compelling or
8 not, but it's legitimate.

9 DR. HOLAHAN: But I think it depends on what the
10 unrestricted area is because, again, as Bob said, if it's a
11 patient room next door, then you may well have a patient in
12 there full time.

13 Also in some cases we've had licensees come back
14 and tell us, "Well, it's just a stairwell in there" or
15 something.

16 And we say, "Yes. But just make sure. How are
17 you going to verify?" And there have been some cases where
18 you've got people residing --

19 CHAIRMAN STITT: In the stairwell?

20 DR. HOLAHAN: Well, or in a closet or things,
21 homeless.

22 CHAIRMAN STITT: Only in D.C.

23 MEMBER QUILLEN: If I were writing this, I would
24 have said, "should consider an occupancy, a factor appropriate
25 for the use of the adjacent area."

1 MR. CAMPER: I think that makes sense, Bob. I
2 mean, that principle holds true whether you're developing,
3 designing an X-ray suite or a therapy suite. I mean, that's a
4 truism. Use the occupancy factor that is appropriate and
5 design your shielding and your distance accordingly.

6 DR. HOLAHAN: But that also means you need to
7 tell us what the adjacent areas area.

8 MR. CAMPER: Sure. That's --

9 CHAIRMAN STITT: And explain it.

10 MR. CAMPER: And explain it.

11 MR. AYRES: I think it should stay in there,
12 though. Absent any information, it will be presumed to be
13 one. I mean, all I'm saying is that one is the default value.

14 MR. CAMPER: Well, wait a second. If you put
15 some words in like Bob is suggesting, Bob Quillen is
16 suggesting, say "Calculations to determine the dose received
17 by individuals present in unrestricted areas should consider
18 occupancy factors appropriate to or consistent with the actual
19 use of the actual presence in adjacent areas."

20 DR. HOLAHAN: "Possible use."

21 MR. CAMPER: In the case of a patient in an
22 adjacent room, the occupancy factor would be assumed to be
23 one.

24 MEMBER QUILLEN: Yes. You can put that in. I
25 mean, that's --

1 MR. CAMPER: See, the way you've got it now, it
2 really leads them with a bridle on to one. And that's a
3 little strong.

4 MR. AYRES: Yes.

5 MR. CAMPER: I understand your conservatism. And
6 that's a legitimate concern. But I think that if you capture
7 words such as Bob was suggesting and then call out the point
8 that if it's a patient --

9 MR. AYRES: All I want to do is -- you know, I
10 think, yes, it needs to be changed and say, you know, "Provide
11 us the information. But absent the information, we will
12 assume one."

13 MR. CAMPER: Well, you could say that
14 specifically.

15 MR. AYRES: Yes, yes.

16 MR. CAMPER: Okay.

17 MEMBER QUILLEN: That was my only comment on Page
18 20.

19 MR. CAMPER: But let me just give you the
20 argument to that. One could argue that, "Absent that
21 information, you should ask."

22 MR. AYRES: Well, if they want to take the most
23 conservative number, why ask?

24 MR. CAMPER: No. We would be taking the most
25 conservative number.

1 MR. AYRES: Right.

2 MR. CAMPER: the way you structure that comment,
3 we would be taking --

4 MR. AYRES: Right.

5 MR. CAMPER: "If you don't give it to us, we will
6 assume one."

7 MR. AYRES: Yes. Well, why should we ask if they
8 don't want to provide it and just presume one or they just
9 presume one themselves?

10 MR. CAMPER: I'm just saying there are two ways
11 you can -- two ways we could take that. One would be if it's
12 not specified, you could specifically ask so that you would be
13 getting the best data possible or you can take the
14 conservative approach, "We will assume one."

15 MR. AYRES: Yes.

16 MR. CAMPER: And as long as we alert them to
17 that, I mean, that's reasonable.

18 MR. AYRES: Well, actually we shouldn't have to
19 alert them because that should be in their calculations.
20 They've got to presume an occupancy factor in the calculations
21 or --

22 MR. CAMPER: Well, again, I think if we structure
23 it the way --

24 MR. AYRES: Okay. Yes. I'll revisit that one.
25 It needs a little --

1 MR. CAMPER: It should work.

2 DR. HOLAHAN: You should also maybe indicate that
3 they should -- remind them to describe what the adjacent areas
4 are.

5 MR. AYRES: Yes.

6 MR. CAMPER: Are we clear about that point in the
7 facility diagram?

8 MEMBER QUILLEN: Yes, you are.

9 DR. HOLAHAN: Are we?

10 MR. AYRES: One of the problems that assuming one
11 takes care of and using a specific value doesn't if the use of
12 the room changes. Then one would need to put some language in
13 here that they will have to amend their licensee with new
14 calculations if the room usage changes; in other words, they
15 convert the room from a treatment planning room to a patient
16 room or whatever.

17 MR. CAMPER: I had a comment now that we've
18 gotten back into that section. Under 10.1.2.1, "Facility
19 Diagram," we have a sentence there which I know why you have
20 it in there, but I must tell you it's a little troubling as I
21 read it. It says, "The patient room should be as far away
22 from the nursing station and heavy traffic hallways as is
23 consistent with good medical care."

24 DR. HOLAHAN: I think we also said that in the --

25 MR. CAMPER: Well, what bails us out of that

1 sentence is "as is consistent with good medical care." In
2 other words, I could readily see why one would want to develop
3 a room in which it was very close to a nursing station because
4 of the fact that this procedure is ongoing for a long period
5 of time and you want to be able to have good monitoring.

6 The reason you've done this, of course, is
7 because of exposure rate. But, you know, you can design to
8 exposure rate. Page 18.

9 MR. AYRES: Yes.

10 DR. HOLAHAN: We use that same language in the
11 manual, "brachytherapy module," as well, basically to --

12 CHAIRMAN STITT: Which module?

13 DR. HOLAHAN: Manual brachytherapy, one we'll
14 discuss tomorrow.

15 CHAIRMAN STITT: And this was the fire language.
16 Is that right? Is that somewhere?

17 MR. CAMPER: I mean, couldn't you modify?
18 Instead of saying that the room should be as far away from the
19 nursing station, couldn't you say something along the lines of
20 "The room should" -- let me give you the thought. The room
21 that is used should be consistent with providing good medical
22 care while considering a means to reduce the exposure.

23 MEMBER QUILLEN: It uses its own ALARA concept,
24 basically.

25 DR. HOLAHAN: Yes. It's the ALARA

1 considerations. And I think that --

2 MEMBER QUILLEN: You've got good medical care and
3 ALARA combined. And you have to balance the two.

4 DR. HOLAHAN: Right.

5 CHAIRMAN STITT: So maybe you should make that
6 statement, instead of saying --

7 MR. CAMPER: That's what I -- well, yes, but --

8 CHAIRMAN STITT: -- where the room should be
9 located.

10 MR. CAMPER: That's right. I mean, the idea of
11 saying the room should be --

12 CHAIRMAN STITT: Just say put it where.

13 MR. CAMPER: -- far away from the nursing station
14 is a little troubling.

15 CHAIRMAN STITT: Yes.

16 MR. CAMPER: You should say that the placement of
17 the patient room should bear in mind principles of ALARA and
18 good medical care.

19 CHAIRMAN STITT: I've actually worked in
20 institutions where they were right next to the nursing station
21 --

22 MR. CAMPER: Absolutely.

23 CHAIRMAN STITT: -- for that very reason.

24 MR. CAMPER: Absolutely. You design it
25 accordingly. That's what lead in the wall is for.

1 DR. HOLAHAN: Currently in the --

2 MR. CAMPER: There are Pb-lined glass windows and
3 so forth.

4 DR. HOLAHAN: That language came out of Appendix
5 R of the existing Reg. Guide 10.8.

6 MR. AYRES: Yes.

7 DR. HOLAHAN: It says, "The patient's room will
8 be as far away from the nursing station and heavy traffic
9 hallways as consistent. It will be a private room unless the
10 dose rate at one meter meets requirements in 20.105(a) and" --

11 MR. CAMPER: Well, I understand.

12 DR. HOLAHAN: Okay.

13 MR. CAMPER: And I still have the same problem
14 with it as a matter of principle, though. I'm not saying it's
15 poor, inadequate. I'm just saying there's a better way to say
16 it.

17 I mean, what you're really getting at is what Bob
18 is raising. It's really about ALARA and at the same time good
19 medical care. And you place your room with those things in
20 mind or you design your room accordingly.

21 DR. HOLAHAN: So you're saying to revise it to
22 say something about it should be located to take into
23 consideration both ALARA considerations and good medical care.
24 The problem is then people come back and say, "Okay. What do
25 you mean?"

1 MEMBER QUILLEN: That's their problem.

2 DR. HOLAHAN: They can figure it out; right?

3 MR. CAMPER: I think health physicists
4 understand. Physicists understand that concept.

5 DR. HOLAHAN: You're assuming again that
6 everybody has a physicist on staff.

7 CHAIRMAN STITT: This is pretty high level stuff.
8 I mean, they're either going to have a good contractor or
9 they're going to have a physicist on the staff. I don't think
10 it's the same as talking to the housekeeping people.

11 DR. HOLAHAN: Okay.

12 MEMBER QUILLEN: No.

13 CHAIRMAN STITT: He says it's not. I mean, here
14 you're saying it should be far away. I think you should not
15 tell them where it should be but tell them that the issues
16 you're dealing with are ALARA and medical care and let them
17 figure out where it should be because it's going to be
18 different in different facilities.

19 MR. CAMPER: See, actually you have three things.
20 You have ALARA, good medical care. You have exposure limits,
21 the boundary of unrestricted areas. I mean, those are the
22 three things you've got to consider.

23 CHAIRMAN STITT: I want to make you folks work
24 through the end of the item that we're on. So --

25 MR. CAMPER: What a taskmaster.

1 CHAIRMAN STITT: I know. Well, I was trying to
2 figure out if we could get through Item 11, but I don't think
3 it's going to work.

4 MR. AYRES: We don't have very -- short trip,
5 short trip.

6 DR. HOLAHAN: Item 11 is pretty much all left,
7 that is left.

8 MR. AYRES: It's huge.

9 DR. HOLAHAN: Item 11 is the rest of it. Okay?

10 CHAIRMAN STITT: Well, you can't go to 11 until
11 you finish what we're working on.

12 DR. HOLAHAN: Item 10.

13 CHAIRMAN STITT: So just tighten those
14 sphincters. I shouldn't say these things. I need to
15 practice. All right.

16 MEMBER QUILLEN: Can I just ask a question for
17 clarification? Because on top of Page 18, the first line
18 there, my copy is such that I can't read. It says "general
19 information." Then the next word I can't read.

20 CHAIRMAN STITT: Mine says "described
21 previously."

22 MR. CAMPER: We did that on your copy on purpose,
23 Bob.

24 DR. HOLAHAN: What? Wait a minute. What? Can
25 you start with the beginning of the sentence because I think I

1 --

2 MEMBER QUILLEN: "In addition to the general
3 information."

4 MR. AYRES: "In addition to the general" -- it's
5 on Page 17 on my copy.

6 DR. HOLAHAN: Okay. Thank you.

7 MR. AYRES: "Described previously in this guide."

8 MEMBER QUILLEN: "Described." Okay.

9 DR. HOLAHAN: Okay.

10 MR. CAMPER: Just as a matter of record, you and
11 Bob are working from a different copy than we are?

12 DR. HOLAHAN: I just put it straight up. And I
13 think it's the difference in the type that was done. Yours is
14 somewhat smaller type.

15 MR. AYRES: Yes, yes.

16 DR. HOLAHAN: And I don't know how it came out
17 differently, but it did.

18 MR. AYRES: Yes. I just printed a fresh one,
19 too.

20 DR. HOLAHAN: It's only shifted by a line or two,
21 but it's enough that we're scurrying every time you --

22 MR. AYRES: Well, I have a copy of that. I
23 sometimes go back to those.

24 DR. HOLAHAN: Yes. OGC's comments are the --

25 MR. AYRES: Yes. We have, it looks like, 10 and

1 12-point pitch type.

2 DR. HOLAHAN: Yes. Okay.

3 MEMBER QUILLEN: Well, going back to that
4 paragraph, then --

5 CHAIRMAN STITT: We're under "Facility Diagram."
6 Is that correct?

7 MEMBER QUILLEN: "Facility Diagram."

8 CHAIRMAN STITT: 10.1.2.1.

9 MEMBER QUILLEN: "In addition to the general
10 information described previously in this guide, provide a
11 description of any additional shielding of proposed patient
12 rooms used for implant therapy." What does that have to do
13 with facility diagram? It has to do with additional shielding
14 requirements. Isn't it?

15 CHAIRMAN STITT: Does that refer to temporary
16 shields?

17 MEMBER QUILLEN: And then you go to "consistent
18 with good medical care," which is really -- the paragraph
19 heading doesn't describe what's in your paragraph is what I'm
20 saying.

21 DR. HOLAHAN: Well, except the facility -- your
22 location of your patient room -- again changing it in light of
23 what we just discussed with the ALARA and the good medical
24 care, that is part of the facility diagram where you can
25 actually locate it.

1 And then I think your shielding would be part of
2 your facility diagram. You're using additional shielding.

3 MEMBER QUILLEN: Well, it talks about portable
4 shields, too.

5 DR. HOLAHAN: But those would also be part of
6 what you're using in your facility to comply with --

7 MR. AYRES: If your permanent shielding isn't
8 adequate from your facility diagram, you're going to have to
9 address that issue.

10 MEMBER QUILLEN: I just found the paragraph
11 heading to be not descriptive of what information you were
12 searching for in the paragraph.

13 MR. AYRES: Okay.

14 DR. HOLAHAN: Oh, okay. Well, yes because,
15 actually, 10.1 is entitled "Facility Diagram," too. And this
16 is like a subheading of a subheading.

17 MR. AYRES: This is specific to low-dose rate
18 devices.

19 DR. HOLAHAN: Yes, but on Page 13, the overall
20 topic is "Facility Diagram."

21 MR. AYRES: Yes.

22 DR. HOLAHAN: Then we go into HDRs. Then we go
23 into LDR. So I think we need to --

24 MR. AYRES: Yes. Okay. I see. It needs to be
25 reexamined.

1 CHAIRMAN STITT: Or retitled. Is she
2 complaining?

3 DR. HOLAHAN: No. She was just asking where.

4 MR. CAMPER: Simon Legree has us moving to this
5 part.

6 CHAIRMAN STITT: All right. So we like what it
7 says, but we'd like to call it something else?

8 DR. HOLAHAN: We'd like to call it something
9 different.

10 CHAIRMAN STITT: Would that be right, Bob?

11 MEMBER QUILLEN: Yes.

12 CHAIRMAN STITT: Okay. It's not the content as
13 much as --

14 MEMBER QUILLEN: Yes. It's not the content. The
15 content just doesn't follow the --

16 MR. CAMPER: Right, right.

17 MR. AYRES: I'll play with that.

18 CHAIRMAN STITT: So we'll find some other way to
19 describe that.

20 All right. "Viewing and Intercom Systems,"
21 "Warning Systems and Access Control."

22 DR. HOLAHAN: How about "Diagrams"? That's what
23 it's called under the HDR section.

24 CHAIRMAN STITT: What's it called?

25 DR. HOLAHAN: "Diagrams."

1 CHAIRMAN STITT: That's what it is. You're
2 asking for diagrams in Paragraph 1 and 2.

3 MR. AYRES: Okay. So noted.

4 CHAIRMAN STITT: How about "Viewing and Intercom
5 Systems" as well as "Warning Systems and Access Control"?

6 MR. CAMPER: Again, we're only under LDR here.

7 CHAIRMAN STITT: Remote LDR. Is that right?

8 MR. AYRES: Yes, remote afterloading.

9 DR. HOLAHAN: Yes.

10 CHAIRMAN STITT: Right.

11 DR. HOLAHAN: Manual will be dealt with tomorrow.

12 CHAIRMAN STITT: Okay. There's no issue on
13 remote low-dose rate that comes up in the high-dose rate
14 regarding moving the devices? Is that correct? Are we
15 happier with relocating LDR devices than we are with
16 relocating HDR devices?

17 MR. AYRES: Right. Just recently I've addressed
18 this issue with some guidance to the regions. And our current
19 position as set forth in that is you can't move them. We
20 grandfathered those that we're permitted to.

21 CHAIRMAN STITT: LDRs we're talking about?

22 MR. AYRES: HDRs.

23 CHAIRMAN STITT: HDRs. All right.

24 MR. AYRES: But we won't consider it unless the
25 devices meet the new requirements for transportability for

1 future licenses.

2 CHAIRMAN STITT: But LDRs, that's not one of the
3 issues that --

4 MR. AYRES: Not one of the issues.

5 CHAIRMAN STITT: So this is all looking fine.
6 How about in the last paragraph on 18, "Warning Systems and
7 Access Control," specifically in regards to relocating?
8 Everybody's happy with that?

9 I'm not questioning. I just want to discuss it.

10 DR. HOLAHAN: Right.

11 MR. AYRES: Yes. The only special thing in there
12 is when they move it, they reconnect whatever interlock
13 protective systems they have, they be tested before they begin
14 treatment.

15 MR. CAMPER: Bob, a question for you.

16 MR. AYRES: Yes?

17 MR. CAMPER: Bob Ayres, on Page 19, under 10.2,
18 "Survey Instruments," is this clearing up that confusion that
19 exists on 35?

20 MR. AYRES: No. This goes with the existing
21 requirements because this is LDR.

22 DR. HOLAHAN: Well, actually, no. The survey
23 instruments, that's just what you must have. And that goes
24 back to 420. That's not use of survey instruments. Isn't
25 that under operating procedures?

1 MR. AYRES: Yes. See, this requires both here.
2 It says you've got to have both of them.

3 DR. HOLAHAN: Okay. This is a --

4 MR. AYRES: That's just reiterating, if you will,
5 35.420.

6 MR. CAMPER: No, no. How do you get to both of
7 them? Where do you see that?

8 DR. HOLAHAN: Because 420 --

9 MR. AYRES: "Licensee shall confirm the
10 possession and availability of a portable radiation detection
11 survey instrument and a portable radiation measurement survey
12 instrument." That's both of them.

13 DR. HOLAHAN: It's under operating procedure is
14 the question you're asking?

15 MR. CAMPER: What's the national dose rate from
16 the LDR?

17 MR. AYRES: Same as conventional low dose. What?
18 Twenty r per hour or something like that?

19 MR. CAMPER: Why do you want somebody to have a
20 survey measurement instrument capable of a range up to 1,000
21 millirem per hour?

22 DR. HOLAHAN: Because 420 --

23 MR. AYRES: Well, I didn't see any particular
24 reason in granting any -- I mean, that's what's required for
25 conventional manual afterloading brachytherapy and --

1 MR. CAMPER: Well, that's true. I mean, that's a
2 regulation problem.

3 MR. AYRES: That's a regulation problem.

4 DR. HOLAHAN: Section 10.2 applies to both HDR
5 and LDR remote afterloaders, that section you're reading on
6 survey instruments.

7 MR. AYRES: Yes, it does. It's now out of --

8 DR. HOLAHAN: Now, I'll switch from --

9 MR. CAMPER: No.

10 DR. HOLAHAN: No.

11 MR. AYRES: Yes, it does.

12 DR. HOLAHAN: It dropped from 10.1. Anything
13 with a 10.1.2 addresses low-dose rate.

14 MR. AYRES: Yes.

15 DR. HOLAHAN: Then once you get to 10.2, you're
16 into a new section.

17 MR. AYRES: Yes. You can keep track of things
18 better by always referring to the indented.

19 MR. CAMPER: I see. Well, that's not easy to
20 follow.

21 MR. AYRES: Well, that's the structure of the
22 document.

23 DR. HOLAHAN: That's the structure of the way the
24 Reg. Guide is written, and all Reg. Guides are written into --

25 MR. CAMPER: Yes, yes. Okay. I see what the

1 problem is. Also, frankly, 35.420 as currently written could
2 be improved.

3 DR. HOLAHAN: Correct.

4 MR. AYRES: Right.

5 DR. HOLAHAN: Hopefully we can deal with that as
6 we revise Part 35.

7 MR. CAMPER: Yes. Okay. I see the problem.
8 Okay.

9 MR. AYRES: If you promise to sit on OGC, I'll
10 approve it.

11 MEMBER QUILLEN: I'll just for the record make a
12 comment that when we adopted our version of Part 35, that
13 medical physics consultants came to me and said, "Look,
14 there's no good one instrument that will do this."

15 So what we wrote our regulation to say is, "You
16 will have survey capability between these two ranges. And I
17 don't care whether you use one instrument or two instruments
18 or three instruments."

19 MR. CAMPER: You're saying to go from .1 to
20 1,000?

21 MEMBER QUILLEN: Yes.

22 MR. AYRES: I understand there are instruments
23 available now that will cover that range.

24 MEMBER QUILLEN: Well, that's what the
25 manufacturer is saying. People who practice in the field say

1 no.

2 MR. AYRES: Maybe.

3 MR. CAMPER: Okay.

4 CHAIRMAN STITT: All right. Any other comments
5 on this? We're winding up through this section here? Bob
6 Quillen, other things you have to comment on?

7 MEMBER QUILLEN: No. I think I've made all my
8 comments.

9 MR. AYRES: One little sneaky thing I put in here
10 just looking ahead, just a comment the reason of it, on Page
11 20, on Item 5, I put "units of rem or millisieverts." The
12 reason for that is at least in Russia and maybe some other
13 places in Europe and maybe -- I'm unaware of in the U.S., but
14 there are some RAL procedures, at least being used and
15 investigated using neutron sources; in particular, Californium
16 252. So I was just anticipating.

17 MR. CAMPER: Bob, I noticed here on Page 21 --
18 and you may have done this. I just haven't thought about it
19 before now. In Item 6(b), where we're saying a "dose within
20 0.5 rem (5 millisieverts)," have we used English and standard
21 international units throughout? I would double-check that,
22 but --

23 MR. AYRES: I tried to.

24 MR. CAMPER: Okay.

25 MEMBER QUILLEN: That's an editorial --

1 MR. CAMPER: As we move towards complete
2 implementation of our metrification program, we should make
3 sure we're doing that. And perhaps you have. It's just a
4 thought.

5 MR. AYRES: I think the latest comments I got
6 from our tech editor is -- I may need to change this. Anyway
7 I think now we've done the switch and metric goes first.

8 DR. HOLAHAN: Yes.

9 MR. CAMPER: I thought it was the other way
10 around.

11 MR. AYRES: Well, it used to be. I think it's
12 now we've -- I'll check that.

13 DR. HOLAHAN: It's -- yes.

14 MR. CAMPER: Okay. Whatever is consistent with
15 the agency policy.

16 DR. HOLAHAN: The only point that I just wanted
17 to make quickly -- and I just wanted to raise it on the table
18 -- is on Page 19 under "Security of RAL Devices," one of the
19 questions that has been posed to me when I have been talking
20 to individuals is: For security of the device, if you shut it
21 off with the keys and everything else, there does -- how far
22 away does an individual have to be to take the key with them?
23 And what is unattended? And I don't know. Do we need to
24 spell that out any further?

25 Because there's been a question, "Look, I've done

1 all my warm-up and everything else, and I'm going off to do
2 this. But I don't want to shut the whole unit down to take
3 the keys out."

4 CHAIRMAN STITT: What are the possible actions
5 that would be acceptable or not acceptable?

6 DR. HOLAHAN: I don't know. I just wanted to
7 raise it because it --

8 MR. CAMPER: Why would I not want to take the key
9 with me if it was unattended?

10 DR. HOLAHAN: Because I'm only going down the
11 hall to my office.

12 CHAIRMAN STITT: Maybe it depends on what
13 unattended means.

14 MR. AYRES: Well, device is --

15 DR. HOLAHAN: Well, I guess that's --

16 MR. CAMPER: Still, at that point it is
17 unattended. It is not being monitored. It is not in use.

18 CHAIRMAN STITT: But you've just done your
19 warm-up procedures?

20 DR. HOLAHAN: You've done your warm-up
21 procedures. You've done your dosimetry. The patient isn't
22 there -- or no. You haven't done your dosimetry. You've done
23 your warm-up procedures and everything else.

24 The patient isn't there yet. You're leaving it
25 for 20 minutes until the patient gets there. But you don't

1 want to sit and watch it, sit beside it while you're waiting
2 for that patient to come down.

3 MR. AYRES: My personal reply to that would be if
4 I were asked that question, "Well, okay. Make the access door
5 to the treatment facility lockable and that be locked." Then
6 the keys are not accessible. The console key is not
7 accessible.

8 MR. CAMPER: That's not a healthy situation to
9 have. It's just not.

10 DR. HOLAHAN: Okay. I'm just raising it because
11 the question has been raised, and I just wanted to put it on
12 the table to see if there is, you know --

13 MR. AYRES: That's done. You know, it's not
14 locked during treatment, of course, but if you had your door
15 to your treatment room lockable, then you could leave the
16 device in and power it up because you've --

17 DR. HOLAHAN: But the console is outside. So
18 it's not.

19 CHAIRMAN STITT: Consoles aren't necessarily in
20 secured areas.

21 DR. HOLAHAN: Right.

22 MR. AYRES: Right.

23 CHAIRMAN STITT: The machines are, but the
24 consoles aren't.

25 MR. AYRES: But if the source was under a locked

1 shield, which we would by locking the treatment room door,
2 somebody runs out, so what, I mean?

3 MEMBER QUILLEN: Well, there's another thing you
4 could put in here. You could say it's not in use or is
5 unattended and not under observation because sometimes when
6 you mean attended, you mean somebody is standing there. In
7 other cases something's unattended, but it's under
8 observation.

9 MR. CAMPER: Right.

10 MR. AYRES: Again, it's a bit of a definition
11 thing, I guess, you know.

12 MR. CAMPER: Well, you could, but you could put
13 an "i.e." after "unattended." Where it says "unattended,"
14 there is not not under observation -- or you could say "not
15 being directly observed" or something to that effect.

16 MEMBER QUILLEN: We've come into this same
17 question with linear accelerators, where they say, "Look,
18 there's nobody standing at the control panel."

19 MR. CAMPER: Yes. "We're fired up, keeping
20 warmed up."

21 MEMBER QUILLEN: "And we're going to keep it on,"
22 but it's under observation.

23 CHAIRMAN STITT: That's acceptable.

24 MEMBER QUILLEN: Yes.

25 CHAIRMAN STITT: I think we ought to be specific

1 because this is a common, ordinary household problem. Not in
2 use or unattended. You can read it to mean "It's Tuesday. We
3 don't do these procedures on Tuesdays." Yet, that's different
4 than "We've got it warmed up. We're waiting for the patient."
5 It's not in use, but it would still be under observation.

6 MR. CAMPER: Well, you see --

7 CHAIRMAN STITT: Do you want to --

8 MR. CAMPER: See, someone might argue "If I'm
9 warming it up, it is, in fact, in use."

10 MR. AYRES: Yes. That's a legitimate argument.

11 MR. CAMPER: There are different types of in use.

12 MR. AYRES: Yes.

13 MR. CAMPER: Irradiating the patient. That's
14 another type of in use. I'm preparing it for irradiation.
15 That's also.

16 MR. AYRES: The observation is one method of
17 ensuring the console keys are inaccessible to authorized
18 persons.

19 DR. HOLAHAN: Unauthorized persons.

20 MR. CAMPER: Unauthorized, right.

21 MR. AYRES: That's what I said, "unauthorized
22 persons."

23 CHAIRMAN STITT: What's the circumstance where a
24 patient has got an applicator in place, films have been done,
25 the nurse is in the room with the patient, the console is

1 outside, and then the team that's just taken the films and
2 done the planning has gone off to the --

3 MR. AYRES: Then they had better take the keys
4 with them.

5 DR. HOLAHAN: They can't.

6 MR. CAMPER: No, they can't.

7 CHAIRMAN STITT: But that would fit this
8 definition of not --

9 MR. CAMPER: That's right.

10 DR. HOLAHAN: Not attended.

11 MR. CAMPER: So under that circumstance you would
12 want it to be under observation.

13 MR. AYRES: Yes.

14 CHAIRMAN STITT: Because it would be under
15 observation is a --

16 MR. AYRES: You're really self-explanatory.
17 That's one method of assuring that the keys are inaccessible
18 to unauthorized persons. One method is that whenever the keys
19 are in the console, they're under constant observation. The
20 console is under constant observation. That's a method.

21 CHAIRMAN STITT: There are a lot of fine points
22 when it comes down to how you really clinically use these
23 things people are either going to achieve or not achieve
24 depending on how you use this and also what your intent is.

25 When I read that, my mind thought "Oh, this is

1 when the machine is not being used at all."

2 MR. AYRES: Well, that's certainly included, yes.

3 CHAIRMAN STITT: Well, that's easy. That means
4 they shouldn't be in the --

5 DR. HOLAHAN: They shouldn't be.

6 CHAIRMAN STITT: Like in the copier. The keys to
7 the copier are always in the door by the copier. But that's a
8 black and white. And I think the operating circumstance is
9 the gray. And that's a lot more common. Well, it's a problem
10 area. And you could get partly through that if you used
11 observation.

12 MR. AYRES: Yes. I don't think things like this
13 should be too specific because there are a lot of ways --

14 MR. CAMPER: Furthermore, the keys should always
15 be inaccessible to unauthorized individuals.

16 MR. AYRES: Well, of course.

17 MR. CAMPER: Always. Maybe the sentence --

18 MR. AYRES: Well, this is presuming that they're
19 inaccessible when you're actually operating the machine
20 because you're going to fight them.

21 MR. CAMPER: Well, what I'm trying to say is --

22 DR. HOLAHAN: Just say "This should include the
23 methods for use to ensure that the console keys will be
24 inaccessible to unauthorized persons."

25 MR. CAMPER: That's right.

1 MEMBER QUILLEN: That's your goal, yes.

2 MR. CAMPER: That's the goal right there.

3 DR. HOLAHAN: And just take out that --

4 MR. CAMPER: Yes. I thought about --

5 DR. HOLAHAN: -- parenthetical phrase.

6 MR. AYRES: Yes.

7 MR. CAMPER: Yes, yes. I mean, that's the goal.

8 You want the keys to make --

9 DR. HOLAHAN: You don't want somebody who
10 shouldn't have the keys wandering around the hospital with
11 them.

12 CHAIRMAN STITT: Right.

13 MR. CAMPER: Right.

14 DR. HOLAHAN: Because whether it's in use or
15 unattended or not, they shouldn't have them.

16 CHAIRMAN STITT: Right. And we're making it
17 simpler, instead of more complicated. Is everybody else happy
18 with that?

19 MR. CAMPER: Yes. I think that will work.

20 CHAIRMAN STITT: Okay. We're talking about
21 "Adequacy of Shielding for HDR and PDR Devices."

22 MR. AYRES: Yes.

23 CHAIRMAN STITT: We've been through that.

24 MR. AYRES: Yes, we've been through that.

25 CHAIRMAN STITT: I knew that looked familiar.

1 MEMBER QUILLEN: One last comment on Page 18.
2 The last sentence on Page 18, at least my Page 18, which ends
3 in "should be described," I had to read that sentence three
4 times to understand it because of where the verb is placed.

5 DR. HOLAHAN: But saying "Describe restricted
6 area controls."

7 MEMBER QUILLEN: Yes.

8 DR. HOLAHAN: "Describe your restricted area
9 controls."

10 MEMBER QUILLEN: Right.

11 DR. HOLAHAN: Make it "active."

12 CHAIRMAN STITT: You'd make a good journal
13 referee.

14 MR. CAMPER: Mrs. Earl would be proud of you.

15 CHAIRMAN STITT: Very good.

16 MEMBER QUILLEN: You don't want to get the people
17 frustrated when they read something like that.

18 CHAIRMAN STITT: That's true. Absolutely.

19 MEMBER QUILLEN: They get frustrated because
20 "What do these people want me to do? I don't" --

21 CHAIRMAN STITT: Start with an --

22 MEMBER QUILLEN: -- "understand what they want me
23 to do."

24 CHAIRMAN STITT: People are happy. Right.

25 "Here's what you're supposed to do." All right. So we like a

1 shielding section. That's 10.6. Have we been through all of
2 10.6 that we need to discuss, including the words on Page 21?
3 Got anything on your page? No?

4 MEMBER QUILLEN: No. I'm ready for 11.

5 CHAIRMAN STITT: Okay. Bob Ayres, are you ready
6 for 11 or lunch, whichever comes first?

7 MR. AYRES: Lunch.

8 CHAIRMAN STITT: Folks to my left?

9 MR. CAMPER: Lunch.

10 CHAIRMAN STITT: Okay. Good. Can we be back at
11 1:00?

12 (Whereupon, a luncheon recess was taken at 12:19
13 p.m.)

14

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (1:16 p.m.)

3 MS. MERCHANT: Okay. We're back on the record.

4 CHAIRPERSON STITT: All right. Page 21, item 11.

5 I believe all we have left is item 11, is that correct?

6 MS. HOLAHAN: Well, there's a small 12.

7 MR. AYRES: Very small.

8 MS. HOLAHAN: Yes.

9 CHAIRPERSON STITT: But half of the document is
10 yet to go. So radiation safety program, leak tests, a lot of
11 blue lining over here. Why don't we have you lead off here?

12 MEMBER QUILLEN: Well, the only comment I had was
13 on the next page there.

14 CHAIRPERSON STITT: Okay.

15 MEMBER QUILLEN: On page 22, that refers to
16 Appendix L that I didn't have, so I couldn't review that.

17 MR. AYRES: That refers to Reg. Guide 10.8, which
18 is a leak test procedure, and I don't know if that's getting
19 any change or not.

20 MEMBER QUILLEN: It says here it's personnel
21 external exposure program.

22 MS. HOLAHAN: Oh, you're on personnel monitoring.

23 MR. AYRES: Oh, I was reading -- okay. It's the
24 same thing.

25 MS. HOLAHAN: Currently, there have been no

1 changes made to those, but I think that's something that we
2 were going to look at and see if there were changes that need
3 to be made.

4 MR. AYRES: Yeah.

5 MS. HOLAHAN: But they are the appendices from
6 the existing Reg. Guide 10.8, as it stands today.

7 MR. AYRES: This is written with -- in view of
8 the fact that this will be one chapter in that Reg. Guide, so
9 --

10 MEMBER QUILLEN: Okay. It talks about
11 calibration pocket dosimeters also, and I have yet to see a
12 pocket dosimeter that could be calibrated. I can see where
13 you can shut the calibration on it, but I can't see how you
14 could calibrate one.

15 CHAIRPERSON STITT: So you're suggesting that
16 calibration ought to come out of that sentence, procedures?

17 MEMBER QUILLEN: All I'm doing is -- frequency
18 for calibration checking of pocket dosimeters.

19 CHAIRPERSON STITT: Does anybody have 20.1501(b)?

20 MS. HOLAHAN: Yes.

21 CHAIRPERSON STITT: Does it talk about
22 calibration of pocket dosimeters? I don't --

23 MS. HOLAHAN: 1501 was it?

24 MEMBER QUILLEN: 1501(b).

25 MS. HOLAHAN: Okay. 1501(b) says, "The licensee

1 shall ensure that instruments and equipment used for
2 quantitative radiation measurements are calibrated
3 periodically for the radiation measured."

4 MR. AYRES: Yeah. What this --

5 MS. HOLAHAN: And (c) is all personnel
6 dosimeters.

7 MR. AYRES: Right. What this sentence, just
8 covers that eventuality. If you use pocket dosimeters to
9 monitor personnel exposure, not that -- that's when you've got
10 to calibrate them. And so if you can't calibrate them, you
11 can't use them for that purpose. So this covers that --

12 CHAIRPERSON STITT: What comments do you have?

13 MEMBER QUILLEN: Well, I've never seen a pocket
14 dosimeter that you could calibrate. You can check the
15 calibration on it, but you certainly can't calibrate.

16 MR. AYRES: But then, have you seen these
17 dosimeters used in lieu of film badges, for example?

18 MEMBER QUILLEN: Well, I haven't been in practice
19 for a long time, but at one time, yes.

20 CHAIRPERSON STITT: Yeah, I have, too.

21 MEMBER QUILLEN: Many years ago.

22 (Laughter.)

23 MR. AYRES: Yeah, it's a back-handed exclusionary
24 statement, I guess. It says if you can't calibrate them, you
25 can't use them for this purpose.

1 MEMBER QUILLEN: Okay.

2 CHAIRPERSON STITT: Are you going to let it go,
3 or do you want to -- you want "calibration" taken out of
4 there?

5 MEMBER QUILLEN: I'd just --

6 MR. AYRES: I might -- one suggestion. As the
7 primary means of monitoring personnel exposures.

8 MEMBER QUILLEN: Where would you put that in?

9 MR. AYRES: If you use pocket dosimeters to
10 monitor and change to monitor as the primary means of
11 monitoring personnel exposures.

12 MEMBER QUILLEN: Yes, I can understand that.
13 That would help.

14 CHAIRPERSON STITT: Would it still be okay to say
15 "frequency for calibration and maintenance, as required"?

16 MR. AYRES: Primary method, then.

17 CHAIRPERSON STITT: The next sentence.

18 MS. HOLAHAN: What was your first fix, Bob?

19 MR. AYRES: What?

20 MS. HOLAHAN: What was your first fix?

21 MR. AYRES: Well, that was it. It was --

22 MS. HOLAHAN: I missed it.

23 MR. AYRES: If you use a pocket dosimeter as the
24 primary method of monitoring personnel exposures.

25 MS. HOLAHAN: Okay.

1 MEMBER QUILLEN: What are you doing about
2 electronic dosimeters?

3 MR. AYRES: How about either use pocket or
4 electronic?

5 MEMBER QUILLEN: Okay.

6 MS. HOLAHAN: So do you think that's still a
7 problem, having it in as -- having "calibration" in there?

8 MEMBER QUILLEN: Well, I would take --

9 MS. HOLAHAN: Or are we taking "calibration" out
10 now?

11 MEMBER QUILLEN: I'd take "a pocket" because now
12 you've added electronics, so I'd say "such dosimeters," or
13 whatever.

14 MR. AYRES: Just if you use electronic
15 dosimeters?

16 MEMBER QUILLEN: Yes.

17 MR. AYRES: Okay.

18 MS. HOLAHAN: Okay.

19 MEMBER QUILLEN: That's --

20 MS. HOLAHAN: If you use electronic dosimeters,
21 okay.

22 MR. AYRES: As the primary method of --

23 MS. HOLAHAN: It should provide the useful range
24 and procedure --

25 MR. AYRES: -- monitoring personnel exposures.

1 MS. HOLAHAN: Okay. By the way, just as a
2 correction, I just as I was looking it up, it's Appendix D,
3 not Appendix L that is the personnel monitoring. That was
4 just a --

5 MR. AYRES: Oh. Changed L to D?

6 MS. HOLAHAN: Change L to D.

7 MEMBER QUILLEN: Okay. That's the only comment I
8 had on that page.

9 CHAIRPERSON STITT: Any other comments? Bob
10 Ayres?

11 MR. AYRES: No.

12 CHAIRPERSON STITT: Okay. Implant source record
13 and inventory, 11.14. This looks very straightforward. I
14 don't --

15 MEMBER QUILLEN: One of the things that I thought
16 would be helpful in here, and a lot of my highlights refers to
17 records that you're supposed to keep, as if you had some place
18 where there was a summary of all of the records that you had
19 to keep.

20 CHAIRPERSON STITT: That's a good point, where it
21 just lists --

22 MR. AYRES: Yeah. We actually generated a
23 document, but now the NUREG -- I think it was the NUREG that
24 listed all of the recordkeeper environments throughout our
25 regulations. It was kind of interesting.

1 MEMBER QUILLEN: Because of -- for example, this
2 record of inventory has to be kept for five years. Most of
3 the other records have to be kept for three years.

4 MR. AYRES: That's right. And a few, like the
5 calibration of teletherapy units, have to be kept as long as
6 you have the device.

7 CHAIRPERSON STITT: Well, at the end, we have a
8 glossary. Why don't we fix up something that would fit into
9 this section, maybe at the end of the section or adjacent to
10 the glossary and refer to it, and just list what's required.

11 MR. AYRES: I would think this would need to be
12 run up the -- discussed a little more widely. If we do
13 something like this here, I think it applies to everything.

14 MS. HOLAHAN: It would impact all of the modules.
15 So the question is, do we want to have that sort of up front
16 as a separate, stand-alone, all of the records that are
17 required for each area? Or each -- if we have it for this
18 module, we should have it in each of the modules as to what
19 are the records, and what are the record protection
20 requirements.

21 CHAIRPERSON STITT: I think it would make a lot
22 of people's lives, including the NRC's life, easier. And it's
23 not creating anything new. It's abstracting and making a
24 list.

25 MR. AYRES: Yeah, I think that's one that maybe

1 we make a note on.

2 MS. HOLAHAN: Right.

3 MR. AYRES: It's broader than just this module,
4 by far.

5 MS. HOLAHAN: Right.

6 MEMBER QUILLEN: But it just impressed me all of
7 the records you were going to have to keep based upon this
8 section, and that there were some small differences in the
9 length of time the records were going to be kept. But it
10 would be helpful for the users to have a list to say, "Gosh, I
11 know I have to keep all of these records."

12 CHAIRPERSON STITT: So a list for the record and
13 the duration?

14 MEMBER QUILLEN: Yes.

15 CHAIRPERSON STITT: And we could do it for all of
16 the sections.

17 MS. HOLAHAN: Yes. Bob, let me just -- because I
18 just have a small question. Were we going to spell -- I
19 notice you've got "referred to the standard license
20 conditions." Were you going to spell out any more in the body
21 as to what that included, or did you just --

22 MR. AYRES: I'm not sure what you're talking
23 about.

24 MS. HOLAHAN: For the source inventory. You
25 don't describe the alternative method. You just say it's

1 included in one of the standard license conditions. Well, the
2 standard license conditions don't go to a licensee. Do we
3 need to spell it out in the Reg. Guide?

4 MR. AYRES: We do. They're attached here.

5 MS. HOLAHAN: Will they be when it goes out to
6 the licensees?

7 MR. AYRES: That's the intent. So it's --

8 MS. HOLAHAN: Because we don't -- we're not doing
9 it with any of the other modules, to put the standard
10 licensing --

11 MR. AYRES: Well, Janet agreed that it was unique
12 here because we had to do these "in lieu of's" all over the
13 place, because 35.400 couldn't apply, or wouldn't apply.
14 There was no way you could apply it.

15 MS. HOLAHAN: Okay.

16 MR. AYRES: And we had a unique situation here
17 and, you know, you -- with remote afterloaders, no way to meet
18 the requirements for manual --

19 MS. HOLAHAN: Okay.

20 MR. AYRES: -- which is all that 35.400
21 addresses.

22 MEMBER QUILLEN: Okay. That's one of the
23 questions I was going to ask later on, because I wasn't sure
24 whether the standard license conditions were going to be
25 attached to this module.

1 MR. AYRES: That at least was the decision going
2 in here after discussing it with Janet, and we removed
3 attachment from them. They're just part of --

4 MS. HOLAHAN: Okay.

5 MEMBER QUILLEN: Well, the thing says "in the
6 attached sample license condition," which is --

7 MR. AYRES: Yeah, but it's not an attachment with
8 an attachment number, and that sort of thing.

9 MEMBER QUILLEN: Okay. So it's not attached
10 anymore. It's --

11 MR. AYRES: Yeah, because -- and these were
12 really attachments to Reg. Guide 10.8. So if we made them
13 attachments, they're attachments to attachments, and it got a
14 little out of hand.

15 MS. HOLAHAN: Were these only the standard
16 license conditions that were in the P&GD --

17 MR. AYRES: Yeah, that's correct.

18 MS. HOLAHAN: -- or did you expand them to
19 include the new ones that we're going to need?

20 MR. AYRES: No, just the ones that were needed to
21 get around 35.400, primarily.

22 MS. HOLAHAN: Okay. No, I'm just thinking that
23 that -- probably we need to consider either have them all in
24 or because the thing -- it would be a standard license
25 condition requiring the physical presence of the authorized

1 user and medical physicist. And so that's how it --

2 MR. AYRES: Well, then, I called them sample
3 license conditions here.

4 MS. HOLAHAN: Yeah, okay.

5 MEMBER QUILLEN: Okay. If you're going to be
6 doing that, this is another editorial comment, and that is the
7 sample license conditions should have some sort of numbering
8 system. So if you're going to cross reference the page 22 to
9 the license conditions, you know exactly which one you're
10 referring to.

11 MR. AYRES: Just editorial -- that's a different
12 way of doing things, but I'm not going to say it's precluded.

13 MEMBER QUILLEN: I'm just trying to make it
14 easier for the people to use this, so they don't go through
15 here and wonder which one you're talking about.

16 CHAIRPERSON STITT: Is that doable? It would
17 certainly make it easier for the folks that are trying to
18 understand how to use it.

19 MS. HOLAHAN: Yes.

20 CHAIRPERSON STITT: You had some of the same
21 comments, then, Dr. Quillen, in your blue marker, all
22 referring to required forms and duration.

23 MEMBER QUILLEN: Right.

24 CHAIRPERSON STITT: You've got that throughout
25 your document, right?

1 MEMBER QUILLEN: Right.

2 CHAIRPERSON STITT: So we're talking about
3 implant source record inventory and area survey, and let's
4 include LDR devices.

5 MEMBER QUILLEN: One of the questions I had
6 really goes over to the next page, page 24, item 4. It says,
7 "Record of survey results will be maintained for inspection by
8 the Commission for the duration of the license." All of the
9 other records are being kept for three years, five years,
10 etcetera.

11 MR. AYRES: That, again, is in 35.

12 MEMBER QUILLEN: Yeah. Well, I just wondered,
13 does that mean at the end of -- when your license is
14 terminated, you can throw away all of the survey records, even
15 if they're not three years old or five years old?

16 MR. AYRES: Not the way I would read it. Once we
17 release a facility as -- or return to unrestricted public use,
18 we're done.

19 MEMBER QUILLEN: Well, the reason I'm saying
20 is --

21 MS. HOLAHAN: Plus, it would be all part of the
22 -- I mean, the termination of the license, there would be
23 certain things that would have to be demonstrated --

24 MR. AYRES: Decommissioning.

25 MS. HOLAHAN: -- in terms of -- yeah,

1 decommissioning and bringing it down to acceptable levels. So
2 there are several license conditions that we use beyond what
3 we use here that are required to be kept for the duration of
4 the license.

5 MEMBER QUILLEN: If I were a licensee, there were
6 some of these records I'd like to keep myself.

7 MR. AYRES: Well, there's certainly nothing wrong
8 with keeping records above and beyond our requirements for
9 other reasons.

10 CHAIRPERSON STITT: Yeah, and I don't think this
11 implies that you have to destroy them at all.

12 MR. AYRES: Not at all.

13 MS. HOLAHAN: Yeah, you're not precluded from
14 keeping them.

15 CHAIRPERSON STITT: No.

16 MS. HOLAHAN: You're just not being required to
17 maintain them.

18 MR. AYRES: By us. You may be required by
19 somebody else.

20 MS. HOLAHAN: Yeah.

21 MR. AYRES: Hospital accreditation organizations,
22 or professional accreditation organizations, or IRS, or
23 whatever.

24 CHAIRPERSON STITT: Bob, do you have other
25 comments on page 23 or page 24?

1 MEMBER QUILLEN: That's all I have.

2 CHAIRPERSON STITT: Trish? Page 25 is operating
3 and calibration procedures.

4 MS. HOLAHAN: Before we go back to -- on 27, can
5 I go back?

6 CHAIRPERSON STITT: You can go wherever you want
7 to go.

8 MS. HOLAHAN: I'm sorry.

9 CHAIRPERSON STITT: Which page? You have to tell
10 us where you are, though.

11 MS. HOLAHAN: Page 24.

12 CHAIRPERSON STITT: Okay. I was going to say, we
13 can't go --

14 MS. HOLAHAN: And it corresponds to the license
15 condition on page 38. It's regarding the survey for HDR, and
16 it's just an issue that has been raised in the sense that for
17 LDR, for the survey required, in terms of 35.404, you only
18 need to keep the initials of the individual who performed the
19 survey. We specified the name of the individual making the
20 survey for HDR. Can we just have that as initials, too? I
21 mean, I know the issue has come up.

22 MR. AYRES: Yeah. Well, it's currently a TAR, so
23 I guess we need to see how that comes out.

24 MS. HOLAHAN: Okay. But we accept the -- we do
25 accept initials as a signature.

1 MR. AYRES: Well, what I did -- and I'll tell you
2 how I got where I got -- and I'm willing to go back to the
3 full thing, it's knowing these -- it's "in lieu of," it's the
4 inventory thing, or who was authorized to get brachytherapy
5 sources out of inventory.

6 MS. HOLAHAN: By the way, for clarification, it's
7 the second condition on page 38, the one that starts "in lieu
8 of the source inventory."

9 MR. AYRES: Yeah.

10 CHAIRPERSON STITT: Well, I've got a -- my 38 is
11 the glossary. That's part of --

12 MS. HOLAHAN: Oops. Then, it's the one that
13 starts -- is titled "Standard License Conditions."

14 CHAIRPERSON STITT: Okay.

15 MS. HOLAHAN: Sorry.

16 CHAIRPERSON STITT: In lieu of?

17 MS. HOLAHAN: Yeah, the second one.

18 CHAIRPERSON STITT: I'm with you now. Go ahead,
19 Bob.

20 MR. AYRES: What -- okay. Yeah, the second one,
21 in lieu of 10 CFR 35.406. What 35.406 requires is a listing
22 of who is authorized to do this, by name, and then when they
23 do the inventory, they're to initial the inventory as having
24 been completed.

25 What I did was I -- when I did the "in lieu of,"

1 I said, let's see,

2 MS. HOLAHAN: It's the second condition.

3 MR. AYRES: (c), item (c), make a record of the
4 survey, giving time, date, and name of the individual making
5 the survey, which meets the name requirements of the existing
6 35.406.

7 MS. HOLAHAN: So the existing 406 requires the
8 name of who is doing the survey?

9 MR. AYRES: That's correct.

10 MS. HOLAHAN: Okay.

11 MR. AYRES: It requires name and initial. It
12 requires an authorized list.

13 MR. CAMPER: 406, what?

14 MR. AYRES: 35.406.

15 MR. CAMPER: No, no, I know that. 406 --

16 MS. HOLAHAN: (b), is it?

17 MR. CAMPER: (b), (1) -- that's --

18 MEMBER QUILLEN: (2) -- (b)(2).

19 MR. CAMPER: Yes.

20 MEMBER QUILLEN: And (b)(3) also.

21 MR. CAMPER: And the initials --

22 MS. HOLAHAN: Yeah, but that doesn't require the
23 name --

24 MR. AYRES: Or it's the initials of the
25 individual who removes the --

1 MS. HOLAHAN: Oh, the names of the individuals
2 permitted to handle the sources.

3 MR. AYRES: Right.

4 MS. HOLAHAN: And I guess the question is, could
5 we do a similar thing with HDR, have the names of the
6 individuals who are permitted to do the survey, and then they
7 could just initial it at the time of their survey.

8 MR. AYRES: Sure.

9 MS. HOLAHAN: Okay.

10 MR. AYRES: Or what I did was try to reach a
11 compromise, just put down the name and --

12 MS. HOLAHAN: Okay.

13 MR. AYRES: -- instead of the name and initials.

14 MS. HOLAHAN: But that doesn't -- actually, that
15 doesn't include an initial, does it?

16 MR. AYRES: No.

17 MS. HOLAHAN: So they're not really signing off
18 that they've done it, so we may be better off to try and
19 parallel what's currently required for the inventory, have a
20 list of the names and then have them initial.

21 MR. AYRES: Yeah, they could have it preprinted
22 on the form or something, the survey form.

23 MS. HOLAHAN: Or a listing over the AU -- I mean,
24 if you've got a list of authorized users, or authorized
25 physicists, or whatever, who would do the surveys, you could

1 just maintain a list of that.

2 MR. CAMPER: Yeah. It says that they shall make
3 a record of brachytherapy source use. Now, I would imagine
4 you could go about creating some ongoing record, wherein you
5 would identify individuals for the record. But then you --
6 and their initials parenthetically, for example, I think if
7 you use their initials.

8 Now, we had a TAR also --

9 MS. HOLAHAN: Yeah, and this is what were just
10 referring to is there was a TAR in-house that people didn't
11 want to put down their full name each time they did a survey.
12 They just wanted to say, "Okay, this is who I am," and just
13 initial off every time they --

14 CHAIRPERSON STITT: So to keep the record like we
15 talked about before, with -- of the individuals, plus their
16 initials, and if we parallel the two systems, then we --

17 MS. HOLAHAN: Right.

18 CHAIRPERSON STITT: -- are working in concert of
19 prior --

20 MR. AYRES: Yeah. What I did was make it a
21 little bit shorter and not require the names and initial, but
22 just the name -- enter their sign and ended up with both a
23 name --

24 MR. CAMPER: But they're all supposed to be
25 consistent, aren't they?

1 MS. HOLAHAN: Yes, because, actually, we don't
2 require an initial or a signature currently. Okay.

3 MR. CAMPER: Well, I also got the impression in
4 one place we're requiring initials and in one place we're
5 requiring a name. Is that correct?

6 MR. AYRES: Well, the reason the name came in is
7 this is this -- 406 is rather unique in having a specific
8 requirement for the name to be listed.

9 MS. HOLAHAN: And basically, it's because it's a
10 list of the people authorized to --

11 MR. AYRES: It's an authorization.

12 MS. HOLAHAN: Yeah. Okay.

13 MR. AYRES: It's kind of a "no, never mind"
14 almost.

15 CHAIRPERSON STITT: So does that address the
16 point you wanted to bring up, Trisha?

17 MS. HOLAHAN: Yeah, I think we just needed to
18 address it and perhaps make them consistent between the two, I
19 think.

20 MEMBER QUILLEN: Well, my --

21 MS. HOLAHAN: I mean, if it is something that
22 comes in --

23 MEMBER QUILLEN: -- my intent on this one was to
24 wait until the TAR gets all signed off and then we'll see
25 where that one stands.

1 MS. HOLAHAN: Yeah. Okay.

2 MR. AYRES: I knew this one was, in fact, going
3 through the technical systems request process with all
4 concurrence. And once that one is reached, I figured to
5 adjust this --

6 MS. HOLAHAN: That we can adjust this, yeah.

7 MR. AYRES: -- appropriately.

8 MS. HOLAHAN: Yeah, that could be done.

9 CHAIRPERSON STITT: All right. But let's do it
10 the same across the board.

11 All right. So that's 24, then. Other issues on
12 24? We were looking at 25. 25? 26? We're just listing page
13 after page of a variety of issues relating to safety, the
14 safety program.

15 Bob, what do you have there?

16 MEMBER QUILLEN: This gets back to -- and I think
17 we discussed this earlier on the approved alternate.

18 MR. AYRES: Yeah, okay.

19 MEMBER QUILLEN: On page 27.

20 CHAIRPERSON STITT: Okay, right.

21 MEMBER QUILLEN: 27, okay. That's not back.

22 CHAIRPERSON STITT: And so how do you want that?

23 MEMBER QUILLEN: I just wondered what you had in
24 mind as to who would be approvable as an alternate?

25 MR. AYRES: Well, rather than being restrictive,

1 I was hoping to get away with a general comment here. The way
2 we've been dealing with this pretty much is on a case-by-case
3 basis through a TAR process, or whatever, and I recently put
4 out some, I guess, instructions on the bulletin guidance to
5 the regions, listing those, at least to date, we had approved.

6 And I -- I'm not sure I have -- I remember that
7 all-inclusively. But, for example, for the authorized user,
8 it would be a resident properly trained in the use of the
9 device, working under -- or anybody working under the
10 supervision of the authorized -- other physician working under
11 the supervision of the authorized user.

12 CHAIRPERSON STITT: Would that be preferable than
13 an approved alternate?

14 MR. AYRES: Well, then we restrict it to those, I
15 guess, few cases that --

16 MS. HOLAHAN: Or could we use it as an example, I
17 guess.

18 MR. AYRES: And a trained dosimetrist we have
19 permitted in --

20 CHAIRPERSON STITT: Maybe we should strike
21 "approved alternate," because that implies that there is a
22 form to fill out and an approval process to go through, and
23 I'm not sure that that's what we're trying to say.

24 MEMBER QUILLEN: That's what the -- well, the
25 first thing as I read it and I thought it's -- who is making

1 the approval here? Because I wasn't sure who was making the
2 approval, which is --

3 CHAIRPERSON STITT: Yes.

4 MEMBER QUILLEN: The second point is, I read it
5 to mean that the authorized user had to be there, and then
6 either the medical physicist or radiation safety officer or an
7 approved alternate.

8 MR. AYRES: Yeah. The radiation safety officer
9 has got to go, too.

10 MR. CAMPER: That's right. We were just --

11 MR. AYRES: I fixed it in the one place that it
12 was mentioned, and it -- you've got to look around through the
13 document.

14 MEMBER QUILLEN: So I didn't read this to mean
15 that the authorized user would have an alternate --

16 MR. AYRES: I agree, if everybody else does.
17 Just get rid of "approved alternate" and you're back into the
18 space of exemption requests that we typically are on this kind
19 of --

20 MS. HOLAHAN: Well, should we address the fact
21 that licensees may come in to request, and that -- to propose
22 an alternate, such as a physician under the supervision of, or
23 a specially trained dosimetrist?

24 MR. AYRES: My intent here was more with that
25 statement as guidance to our license reviewers, who have been

1 provided -- who we -- who are the approved alternates through
2 out technical assistance request and other correspondence.
3 But since this goes to perspective licensees also, that could
4 be confusing at that point. For the license reviewer, it
5 makes more sense, because they know who we've approved.

6 MEMBER QUILLEN: I'd take out the "approved
7 alternate" and do what was suggested, which is say that the
8 applicant can suggest alternate --

9 MR. AYRES: The typical situation we run into
10 where they request some relief is the facility which is very
11 common that only has one medical physicist, and they don't
12 want to suspend treatment when this individual is on vacation.

13 MR. CAMPER: Well, the approved alternate
14 statement --

15 MR. AYRES: Yeah.

16 MR. CAMPER: -- is consistent, though, isn't it,
17 with the earlier point, which I do believe is made in the
18 document, that under -- well, that was under PDR, though, we
19 would consider an alternative. We have never, until this
20 point, indicated that we would accept an alternative to --

21 MR. AYRES: Right.

22 MR. CAMPER: -- the AU or the --

23 MR. AYRES: Except in other documents.

24 MR. CAMPER: Right. I mean, I'm talking in this
25 document.

1 MR. AYRES: In this document, that's correct.

2 MR. CAMPER: Well, I guess the -- and I guess
3 that's the next comment. I mean, should we? And then, the
4 other comment is it seems to me that it's worthy of a couple
5 of words being inserted that a physician working under the
6 supervision of an authorized user, e.g. a resident, is
7 acceptable.

8 MR. AYRES: Well, in all of our other documents,
9 we also say "with the specified device training."

10 MS. HOLAHAN: But that goes without -- because
11 that's up front, that anybody who is involved with it must
12 have --

13 MR. AYRES: But it says "the authorized user."
14 It doesn't say "approved alternate" in that section, or
15 anything like that.

16 MR. CAMPER: Well, we've got to be careful about
17 this, because clearly residents, I mean, can do this and
18 should be able to do this.

19 MS. HOLAHAN: Without having to come in here.

20 MR. CAMPER: I mean, you don't see a problem with
21 that, do you?

22 CHAIRPERSON STITT: No. No.

23 MR. CAMPER: So --

24 MS. HOLAHAN: And we can maybe just expand --

25 MR. CAMPER: We may need to do it in both places.

1 MS. HOLAHAN: Right.

2 MR. CAMPER: To make it clear, I mean,
3 specifically that residents who are operating under the
4 supervision of an authorized user can do this, provided that
5 they have obtained the device-specific training. That's
6 really the issue, right?

7 MS. HOLAHAN: Yes.

8 MR. AYRES: Well, then, you give the one specific
9 approved alternate. That's for an authorized user, you know.

10 MR. CAMPER: Well, the medical physicist is a
11 problem.

12 MR. AYRES: What we have approved is a
13 dosimetrist.

14 MR. CAMPER: Well, let me ask you this, then.
15 What would you do -- would you -- that raises an interesting
16 question. If one looks at the requirements in 961 about the
17 experience that's required to become a teletherapy physicist
18 or a brachytherapy physicist, if you will, could a physicist
19 in training during that one year -- could that physicist in
20 training for that year function in the role of the medical
21 physicist in this instance? Or could it be only an identified
22 and approved physicist on the license?

23 MS. HOLAHAN: Similar to the way a resident --

24 MR. CAMPER: Similar to the way a resident --

25 MS. HOLAHAN: -- fill in as an authorized user.

1 MR. CAMPER: Yeah, right. What about that? Any
2 thoughts?

3 CHAIRPERSON STITT: It seems like it would work.
4 I mean, is that -- the way things are written --

5 MR. CAMPER: It's certainly treating -- it's
6 treating a physicist in training in a parallel fashion to a
7 physician in training.

8 MS. HOLAHAN: That would still, though, probably
9 wouldn't it have to come in on a case-by-case basis, though,
10 still for an exemption, because whereas we have defined
11 training and experience for authorized users and residents in
12 training --

13 MR. CAMPER: Do you mean defined it in the
14 regulations?

15 MS. HOLAHAN: We don't have defined regulation
16 yet for a medical physicist, except for teletherapy physicist
17 --

18 MR. AYRES: Except our linkage to teletherapy --

19 MS. HOLAHAN: That's right.

20 MR. AYRES: -- equivalent.

21 MS. HOLAHAN: So it's not quite as clean-cut as
22 with the resident physician.

23 MR. CAMPER: Well, that's certainly true. In
24 pure regulatory-ese, you're right. But certainly, we are
25 imposing a regulatory requirement --

1 MS. HOLAHAN: Yes.

2 MR. CAMPER: -- via the current mechanism that
3 we're using, because we're asking for specific things in
4 guidance space, and then we're using --

5 MS. HOLAHAN: That's true.

6 MR. CAMPER: -- conditions. I mean, the net
7 impact is a regulatory requirement.

8 MR. AYRES: Yeah. And OGC is kind of dragging
9 their heels on this one. I'm not sure how it --

10 MR. CAMPER: You know what I'd like to do? I'd
11 really like to explore that particular question with the
12 ACMUI. Maybe we can add that as a squeeze-in agenda item.
13 We'd have to notice it, though, wouldn't we, Torre? If we
14 were to explore this one specific question, the concept of a
15 physicist in training, while obtaining their experience as
16 delineated in Part 35, to become a brachytherapy physicist --

17 MS. TAYLOR: We can add -- we'll have to amend
18 the Federal Register. So I just need to know --

19 MR. CAMPER: Do we still have time to do that?

20 MS. TAYLOR: We're past the 15 days. But with
21 good reason, we can always do another one, and we'll need to
22 put in a reason.

23 MR. CAMPER: If we could do it, it would be nice
24 to take advantage of the fact that the committee is going to
25 be meeting very quickly, and I think we can address the issue

1 in probably 20 minutes to half an hour.

2 MS. HOLAHAN: Trish, I wasn't listening, if you
3 would write the question out and get with me later.

4 MS. TAYLOR: Okay.

5 MR. CAMPER: That's a good way to make sure we
6 explore it thoroughly.

7 CHAIRPERSON STITT: Except there won't be any
8 physicists at that meeting.

9 MS. HOLAHAN: Yeah, that's -- the only question
10 is we don't have a physicist at the next ACMUI meeting. Do we
11 want to --

12 MR. CAMPER: Well, we would have Dr. Wagner, but
13 you're right. He's not the right type of physicist, yeah.

14 Now, we're in an effort to reinstate the second
15 physicist position, which may or may not be in place by the
16 meeting next spring. Yeah, that's a good point. We probably
17 -- well, we could certainly get a sense from the committee in
18 terms of -- but it wouldn't be the same as having a physicist
19 there.

20 Well, for purposes now, let's ponder whether that
21 makes sense or not.

22 MS. HOLAHAN: Should we put in a statement at
23 this point in time saying that licensees can propose
24 alternatives on a case-by-case basis until we --

25 MR. CAMPER: I think what I would do is, yeah,

1 try to capture a sentence in there that points out to them
2 that physicians operating under the supervision of -- provided
3 they have obtained the instrument-specific training, and so
4 forth, and then see if you can't come up with a sentence that
5 says, "Licensees may propose alternatives which will be
6 evaluated on a case-by-case basis." That leaves the door open
7 if someone wants to call us up and say, "Let me talk to you
8 about this possible scenario."

9 But that concept of a physicist in training, in
10 parallel fashion to a physician in training, is something we
11 ought to explore at some point with the committee.

12 MS. HOLAHAN: I just wanted to make sure that, in
13 my mind, that everybody here is comfortable with taking out
14 the "or radiation safety officer."

15 CHAIRPERSON STITT: I am.

16 MS. HOLAHAN: Okay. Then, let me go back up to
17 number 8. Should "radiation safety officer" then come out of
18 that last sentence in item 8? If it's going to be the
19 requirement --

20 MR. AYRES: No, this one is more -- this one is
21 intended to be more a review of the procedures, and I think
22 the RSO is playing an appropriate role there. It's a
23 commitment, a license commitment, that when -- says, "shall
24 not commit any treatment with which a decoupling -- not
25 removed -- decoupled or jammed source cannot be removed

1 expeditiously in the patient, as determined by the authorized
2 user with consultation."

3 And the RSO has a responsibility in this area.
4 This is like a preparation of the application.

5 MS. HOLAHAN: Could I, then, propose that we say
6 the RSO and medical physicist?

7 MR. AYRES: I'd say "and/or."

8 MR. CAMPER: Well, a question, Bob. In the case
9 at hand, in item 8, when the source becomes decoupled or
10 jammed, cannot be removed expeditiously from the patient.
11 That's a medical issue. That's a pure medical problem. I
12 mean, what is an RSO really going to do at that point?

13 MS. HOLAHAN: They may have the physicist in
14 there trying to --

15 MR. AYRES: And placed in a shielded container.
16 It's --

17 MS. HOLAHAN: I wasn't saying --

18 MR. CAMPER: Yeah, but I'm focusing on what --
19 it's inside the patient.

20 MEMBER QUILLEN: You've got a good point.

21 MR. AYRES: Well, I guess I was looking ahead
22 that often the authorized user is not the author of the
23 license application. As a matter of fact, I think more often
24 the case than not he is not involved in preparing the license
25 application.

1 MR. CAMPER: You know, you realize that this gets
2 us back to that central question that we were exploring early
3 in the game today under emergency procedure.

4 CHAIRPERSON STITT: Right.

5 MR. CAMPER: I mean, for example, if you were to
6 -- if you took the statement and truncated it at the point --
7 for the period after container, or for that matter after
8 patient, I mean, that's -- that's really the question that we
9 were dealing with this morning. Do you state it that
10 explicitly? And we somewhat shied away from that explicit
11 statement, as I recall, didn't we?

12 MR. AYRES: Well, this is pretty explicit, but
13 it's --

14 MR. CAMPER: Well, that's my point.

15 MR. AYRES: It's a judgment or a -- we're asking
16 for a commitment from the licensee they won't do this, and
17 that's -- that commitment is predicated on the judgment of the
18 individuals involved.

19 MS. HOLAHAN: In a way, this is almost saying
20 that you must commit that if you're doing something that is
21 going to require surgical intervention and you can't do it,
22 then you're going to tell us that you won't do it.

23 MR. CAMPER: Well, let me spend my --

24 MR. AYRES: I'm saying, what the normal response
25 is is they're saying it's going to be contained; and,

1 therefore, there won't be a --

2 MR. CAMPER: Let me spin my point differently,
3 then. In the case at hand in item 8, we are soliciting a
4 commitment from the licensee that it shall not conduct any
5 treatment procedure for which a decoupled or jammed source
6 cannot be removed expeditiously from the patient and placed in
7 a shielded container. Now, then you can go on and on with
8 whom this consultation is being derived.

9 But is that statement to that point consistent
10 with what we were saying under emergency procedures in item
11 11.21?

12 CHAIRPERSON STITT: I thought it was. It's a
13 different way of saying what we talked about earlier this
14 morning. It really doesn't matter who you confer with. The
15 statement stands as it is. Put a period after "container."

16 MR. CAMPER: Well, what I'm getting at is we are
17 --

18 MS. HOLAHAN: Oh, don't even have the last part
19 of the sentence?

20 MR. CAMPER: Well, what I'm saying is if you read
21 that -- the emergency procedure, where it says, "If
22 appropriate, supplies necessary to surgically remove
23 applicator or sources from the patient, including scissors,
24 capable cutters." Does that coincide with or work for the
25 fact that you have previously, on page 27 under item 8,

1 solicited a commitment from the licensee that they will not do
2 it?

3 MS. HOLAHAN: No, because --

4 MR. AYRES: No. It says they won't do it if they
5 can't --

6 MS. HOLAHAN: Right.

7 MR. AYRES: -- if they can't expeditiously remove
8 it.

9 MS. HOLAHAN: So if they can expeditiously remove
10 it surgically --

11 MR. CAMPER: So now they've committed that they
12 will expeditiously remove it.

13 MR. AYRES: And then, this is going on on
14 technique.

15 MR. CAMPER: Okay. No, no, I understand. So
16 stay with me. So they commit that they can expeditiously
17 remove it.

18 MR. AYRES: Yeah.

19 MR. CAMPER: All right. Then, you go over there
20 to your emergency procedures and you say, "If appropriate,
21 supplies necessary to surgically remove." You've already
22 committed to doing it.

23 MS. HOLAHAN: No. They may --

24 MR. AYRES: No. You may have committed to not
25 doing the procedures which -- well, restricting yourself,

1 which some have, to only doing those procedures which would
2 not require surgical removal.

3 MR. CAMPER: I know. But let's say they make the
4 commitment, under item number 8, that they will not do it
5 unless they can remove expeditiously from the patient and
6 place it in a shielded container. Make a commitment to do
7 that.

8 MR. AYRES: Right. Which may or may not involve
9 surgical procedures. If it's a --

10 MR. CAMPER: Okay.

11 MR. AYRES: -- Fletcher suit, it's not going to,
12 or a tandem.

13 MR. CAMPER: Well, let's say, for example, that
14 they commit to doing it, and they commit to doing bronchial
15 procedures.

16 MS. HOLAHAN: Then, they would have to --

17 MR. CAMPER: Then, under item F, on emergency
18 procedures, we would expect to see, wouldn't we?

19 MR. AYRES: Yeah, exactly.

20 MS. HOLAHAN: Then, it is appropriate.

21 MR. AYRES: Then, it is appropriate.

22 MR. CAMPER: Well, is that clear to our
23 reviewers?

24 MR. AYRES: Well, I would certainly think so.

25 MR. CAMPER: Is it clear?

1 MS. HOLAHAN: I think it is.

2 MR. CAMPER: Okay.

3 MS. HOLAHAN: I mean --

4 MR. AYRES: Yeah, I --

5 MS. HOLAHAN: Because I think if appropriate says
6 if you're going to be doing things that you might need them,
7 then, yes, you've got to have those. But if you're not going
8 to, then you don't have to have those. If you're --

9 MR. AYRES: Yeah. Someone --

10 MS. HOLAHAN: -- you're not going to do those,
11 then you don't have to have them.

12 MR. AYRES: Some licensees have stated on their
13 application that they were only going to do OB/GYN-type
14 procedures or a select list that didn't involve anything that
15 would require surgical, and then they didn't address these
16 issues.

17 MS. HOLAHAN: Templates are sutured in, aren't
18 they?

19 CHAIRPERSON STITT: Say that again?

20 MS. HOLAHAN: Templates. You know, they would be
21 sutured in, wouldn't they? So that would --

22 MR. AYRES: Well, I don't know whether you define
23 cutting a suture a surgical procedure or not, pulling a
24 template out. I --

25 CHAIRPERSON STITT: It's possible that a needle

1 could get stuck inside the patient or -- so you'd have to go
2 after it surgically.

3 MR. AYRES: Yeah. We had the case in, what,
4 Keesler, where the needle got bent and --

5 CHAIRPERSON STITT: I don't see the same problem.
6 I am having somnolence from lunch, and you guys are on a high
7 from it. But, to me, we're saying the same thing.

8 My only problem with number 8 is that I don't
9 know what the consultation with any of these people has to do
10 with the fact you either commit to do the procedure or you
11 commit not to do it. I don't -- I think that the consultation
12 aspect of it is sort of fabrication.

13 MS. HOLAHAN: So you would propose to end it
14 after "container"?

15 MEMBER QUILLEN: That's what I would --

16 CHAIRPERSON STITT: Yeah. I mean, I don't see
17 how consultation either before or after the license is
18 written, or during a procedure, changes whether or not you've
19 made this commitment that you can or cannot do X, Y, or Z
20 procedures.

21 MR. AYRES: Yeah, right.

22 CHAIRPERSON STITT: So I'm sort of looking at it
23 differently than --

24 MR. AYRES: Okay.

25 CHAIRPERSON STITT: But the three of you go

1 ahead, and we'll just take a nap and let us know when we're
2 supposed to get --

3 MR. AYRES: You didn't have the chili.

4 (Laughter.)

5 CHAIRPERSON STITT: That's right. The rest of
6 you did.

7 (Laughter.)

8 Are you happy yet? Okay. Eight?

9 MR. AYRES: Yeah, okay.

10 CHAIRPERSON STITT: Took care of 9. Trisha, you
11 were kind of going backwards. What else do we need to review
12 that you caught that we need to smooth over?

13 MS. HOLAHAN: I think you've addressed it by
14 taking out those last two -- that last sentence, so it's gone,
15 so --

16 CHAIRPERSON STITT: All right. So points number
17 4, 5, 6, 7, 8, 9, are there any more issues, just on the two
18 pages we have in front of us? How about for you, Trish?

19 MS. HOLAHAN: I'm just going to raise a question
20 that was discussed yesterday in item number 3. And, I'm
21 sorry, I did tell you I wasn't going backwards.

22 CHAIRPERSON STITT: Where?

23 MS. HOLAHAN: Item number 3.

24 CHAIRPERSON STITT: Oh, I'm sorry, you can't
25 because I only said 4, 5, 6 --

1 (Laughter.)

2 All right.

3 MS. HOLAHAN: Yesterday discussing, again, the
4 radioactive module, and when we were discussing instructions
5 for nursing personnel, the issue came up as to what
6 instructions of the authorized user should we as a main --
7 should we require nursing personnel to follow the authorized
8 users instructions regarding care to be provided, medical
9 care. Or is that another -- I mean, regarding care with
10 respect to radiation safety aspects.

11 MR. AYRES: Oh, you went way back. Oh, okay.

12 MS. HOLAHAN: Yeah, I'm sorry.

13 CHAIRPERSON STITT: Just say it again. Let me
14 listen to it another time.

15 MEMBER QUILLEN: You're qualifying care, in other
16 words. You're trying to qualify it?

17 MS. HOLAHAN: I'm asking, should we?

18 MEMBER QUILLEN: Medical care, which is radiation
19 --

20 MS. HOLAHAN: Or is it sufficient the way it is
21 written?

22 CHAIRPERSON STITT: What would be the alternative
23 to the way it's written?

24 MS. HOLAHAN: The question that had come up
25 yesterday was, should NRC be putting in their guidance that

1 the nursing personnel are required to follow the authorized
2 users instructions, which would include medical care.

3 CHAIRPERSON STITT: I don't think the NRC can
4 require medical care.

5 MS. HOLAHAN: No. But the way it is written,
6 does this read as though it is only the care in terms of the
7 radiation safety aspects?

8 CHAIRPERSON STITT: Oh.

9 MEMBER QUILLEN: That's the way I read it.

10 CHAIRPERSON STITT: That's the way I read it,
11 too. But then, it was kind of set up, because it's got RSO
12 and because it's an NRC document.

13 MEMBER QUILLEN: I didn't read it that you were
14 requiring --

15 MS. HOLAHAN: Well, I'm not, and I just wanted to
16 make sure that that was clear.

17 MR. AYRES: One of the things I had in mind here,
18 of course, is the typical thing I would expect is where it
19 says pulsed dose rate is care -- normal care should be
20 restricted between the 30 minutes to the hour, if we -- which
21 the authorized user would issue because that's when the
22 sources would not be out. That sort of thing.

23 CHAIRPERSON STITT: Did yesterday's isotope group
24 want to see a change of any sort, or was it just an area they
25 were discussing?

1 MS. HOLAHAN: They just -- it was just an area
2 that they were discussing, in terms of the instructions when
3 you're talking about following the instructions of the
4 authorized user. We were clarifying it specific to the
5 radiation safety aspects.

6 CHAIRPERSON STITT: That's how I read it.

7 MS. HOLAHAN: Okay.

8 CHAIRPERSON STITT: Because they are also
9 expected to follow medical orders that are written regarding
10 --

11 MS. HOLAHAN: Right.

12 CHAIRPERSON STITT: I guess I'd focus that it was
13 --

14 MS. HOLAHAN: Okay.

15 CHAIRPERSON STITT: -- really relating to
16 radiation safety issues.

17 MR. AYRES: I guess I took it that everybody --
18 most of us are taking that as implied.

19 MS. HOLAHAN: Okay.

20 MR. CAMPER: Can I raise something again? Can I
21 take you back to page 27, item 8, again, for a moment?

22 CHAIRPERSON STITT: That's forward.

23 MR. CAMPER: Oh, I'm sorry.

24 MS. HOLAHAN: Yeah, we jumped forward now.

25 CHAIRPERSON STITT: Better ask Trisha if she has

1 anything on 2 that she wants to --

2 MR. CAMPER: We go forward from our last backward
3 spin.

4 CHAIRPERSON STITT: Right.

5 MR. AYRES: You are now on page 25, right?

6 CHAIRPERSON STITT: Well, but now --

7 MS. HOLAHAN: Now we're back up to 27.

8 MR. AYRES: Now, we're back to 27? Okay.

9 MR. CAMPER: For item number 8, I'm still a
10 little troubled by item number 8, and let me try to articulate
11 it a little bit differently this time. In item number 8, I
12 would prefer if there was some way to put a positive spin on
13 it. As I read it now, you're asking a licensee to commit that
14 they won't do certain procedures. Could you change it and
15 say, "A commitment from the licensee that it shall only
16 perform procedures" --

17 MS. HOLAHAN: Yes.

18 MR. CAMPER: -- "treatment procedures for which a
19 decoupled or jammed source" --

20 MS. HOLAHAN: Can be --

21 MR. CAMPER: -- "can be removed."

22 CHAIRPERSON STITT: And I think we'd make it a
23 lot more understandable as to what it was I was committing to
24 do.

25 MR. CAMPER: And the second part of that I would

1 then suggest, if you go over to page 34, item F, where it
2 says, in the emergency procedures, "if appropriate." I would
3 put a parenthetical "refer to" --

4 MS. HOLAHAN: Right.

5 MR. CAMPER: -- "commitment" in item 8 under
6 whatever part this is.

7 MS. HOLAHAN: Right.

8 MR. CAMPER: Then, I think it's very clear to the
9 licensee that, guess what? You made a commitment back earlier
10 that you were only going to do procedures if, and this is
11 where "if" comes to bear.

12 MR. AYRES: Where are you at?

13 MR. CAMPER: I'm saying on page 27, item --

14 MR. AYRES: No, I got that.

15 MR. CAMPER: Okay. Go over to the emergency
16 procedures, item F, on page 34. Okay? Item F, page 34, Bob.

17 MR. AYRES: Okay.

18 MR. CAMPER: And the sentence in there where it
19 says, "And, if appropriate," and I would parenthetically
20 insert "refer to commitment of item 8" --

21 MS. HOLAHAN: 11.201(b)(8).

22 MR. CAMPER: Right.

23 MR. AYRES: I'm glad you --

24 MR. CAMPER: Very good.

25 CHAIRPERSON STITT: That's a special test they

1 take before they --

2 MR. CAMPER: And then, I think that the licensee,
3 at that point, could put a positive spin on what they're
4 committing to, and it's clear to them that, yeah, you'd better
5 go back and look at what you said, because this is where
6 surgical procedures come to bear. And I think it puts us in a
7 pretty good comfort zone at that point.

8 MEMBER QUILLEN: Yeah.

9 CHAIRPERSON STITT: I do, too.

10 MR. CAMPER: Without causing -- without making
11 them do it, right?

12 CHAIRPERSON STITT: I'm surprised you didn't
13 catch that, because you're the -- this is actually a grammar,
14 or not a grammar but an editorial construction sort of thing.

15 MR. AYRES: Linkage.

16 MEMBER QUILLEN: I get tired of being --

17 CHAIRPERSON STITT: The only responsible
18 individual.

19 MR. CAMPER: He didn't have the chili. That's
20 what it was.

21 CHAIRPERSON STITT: All right. I like that. I
22 think it makes -- and it relates those two, which is also very
23 important, that all of this material relates to one another.

24 Well, Trish, you have the option of going
25 backwards or forwards.

1 MS. HOLAHAN: This is just a simplification, I'm
2 hoping.

3 CHAIRPERSON STITT: Okay.

4 MS. HOLAHAN: Okay? Because of the item
5 11.201(b)(8) --

6 MR. AYRES: Whatever --

7 MS. HOLAHAN: -- just a question, Bob. Under
8 that 11.20, can we not take out those initial numbers and just
9 have that as a --

10 MR. AYRES: Where is 11.20 at?

11 MS. HOLAHAN: Page 24.

12 MR. AYRES: Oh, that's back.

13 MS. HOLAHAN: I was afraid to say that, because I
14 knew that was backwards.

15 MR. AYRES: Yes, it is.

16 CHAIRPERSON STITT: I haven't done my job very
17 well.

18 MR. AYRES: What about 11.20 now?

19 MS. HOLAHAN: Okay. Taking out those initial
20 numbers, because that could be a new paragraph just to say the
21 licensee should provide a copy of operating procedures, again,
22 I was trying to simplify the number of numbers that we have in
23 here.

24 MR. AYRES: Oh, okay. Fine.

25 MS. HOLAHAN: And then, (a) and (b) could be --

1 stay as (a) and (b) and then --

2 MR. AYRES: Well, they could be (1) and (2),
3 then.

4 MS. HOLAHAN: Yeah, and the same thing for -- on
5 page 28.

6 MEMBER QUILLEN: Why is it that in this you go
7 11.20, and then (1), but in your regulations you go 35.404,
8 and (a)?

9 MS. HOLAHAN: But we're taking out the (1).

10 MR. CAMPER: Well, I think the answer is it's
11 guide format, right?

12 MS. HOLAHAN: Well, I think partly as some of
13 this came from the P&GD, putting it into that format, whereas
14 we have some of these 1's and 2's. But you're right, it is
15 guide format that we have numbers. I don't know why.

16 CHAIRPERSON STITT: Because. Because it's made
17 that way.

18 MS. HOLAHAN: That's right.

19 MEMBER QUILLEN: I was wondering why it's
20 inconsistent. That's all.

21 MR. AYRES: Even more, it doesn't follow standard
22 outlining format, which would be Roman numerals followed by
23 capital letters, followed by --

24 CHAIRPERSON STITT: I imagine there's a whole
25 agency that knows about those things, though.

1 MS. HOLAHAN: Anyways, I've finished going
2 backwards now. I'm up to 27 again.

3 CHAIRPERSON STITT: Are you sure? You lied
4 before.

5 MR. AYRES: You could petition for rulemaking on
6 changing the guide format.

7 (Laughter.)

8 CHAIRPERSON STITT: So let's just flip through
9 from page 23, or wherever we -- we're probably up to 28,
10 aren't we?

11 MR. AYRES: We're somewhere around 28 or 29.

12 CHAIRPERSON STITT: We think that 23 to 28, 29 is
13 looking okay.

14 MEMBER QUILLEN: 11 is the one I discussed
15 before.

16 MR. CAMPER: Right.

17 MEMBER QUILLEN: I hope you've got the comments I
18 had about --

19 MR. CAMPER: Your operator device monitor.

20 MEMBER QUILLEN: Certified --

21 MR. CAMPER: Right.

22 CHAIRPERSON STITT: Certified device monitor,
23 which I thought was a gizmo, but I'm told was a person. So
24 we're going to -- how did we resolve that?

25 MS. HOLAHAN: We're going to --

1 MR. CAMPER: We're supposed to make it consistent
2 throughout, aren't we?

3 MR. AYRES: Well, yeah. It ran two things --
4 you're actually putting a certified with the wrong thing in a
5 sense. We're required -- it goes back to the training for
6 these, and under training it said that they should be both
7 trained and certified -- in other words, tested. But it's
8 probably confusing on this, where it's used here. That's how
9 come it got in there.

10 MS. HOLAHAN: Because actually, the reference to
11 9.1.1.3 refers them back to the training and certification.

12 MR. AYRES: Training and certification, yeah.
13 But it may be a little confusing --

14 MR. CAMPER: Could you imagine someone reading
15 this transcript? Someone reading this transcript, can you
16 imagine?

17 (Laughter.)

18 I don't know if I could follow that 11.2.3(b).
19 It does get cumbersome, doesn't it?

20 CHAIRPERSON STITT: Is point 11 satisfactory with
21 whatever changes, and what are the changes?

22 MR. AYRES: Yeah, I will readdress it.

23 CHAIRPERSON STITT: You'll fix that for us?

24 MR. AYRES: The intent was clear. The way it
25 came out isn't so clear.

1 CHAIRPERSON STITT: All right. So you're going
2 to fix that one up. All right.

3 MS. HOLAHAN: And with that one --

4 MR. AYRES: I'll probably just get rid of the
5 "trained and certified."

6 MS. HOLAHAN: -- for the PDR, and that item 11,
7 as we say "the medical physicist or radiation safety officer,"
8 is that what we're looking at?

9 MR. AYRES: Okay.

10 MS. HOLAHAN: Or is that going to --

11 MR. AYRES: I got this decision right at the end,
12 and I made the one change in the license conditions. And,
13 yeah, radiation safety officer is history.

14 MS. HOLAHAN: Okay. But for LDR, the radiation
15 safety officer is acceptable, item 10.

16 MR. AYRES: Item 10 doesn't deal with LDR.

17 MS. HOLAHAN: Yes, it does.

18 MR. AYRES: Oh, wait a minute. I'm reading item
19 11. Where is item -- oh, yeah.

20 CHAIRPERSON STITT: The one before.

21 MR. AYRES: Yeah, yeah, right. Item 10, it's
22 appropriate.

23 MS. HOLAHAN: Okay.

24 CHAIRPERSON STITT: So we are allowing RSOs for
25 LDR but not for PDR.

1 MS. HOLAHAN: Or HDR.

2 CHAIRPERSON STITT: Or HDR, right. And all of
3 the folks that use those devices know that and have been
4 through this discussion and practice.

5 MR. AYRES: Yeah. Often, a radiation safety
6 officer sets -- establishes the procedures sometimes in an
7 LDR.

8 MS. HOLAHAN: Right. But is that the case as
9 much for HDR and PDR? It would be primarily the physicist,
10 wouldn't it?

11 CHAIRPERSON STITT: No. They don't have any --
12 they basically have nothing to do with HDR and PDR.

13 MS. HOLAHAN: Okay.

14 CHAIRPERSON STITT: All right. So we're
15 consistent. Thank you for catching those, though.

16 All right. I think we're at the bottom of 28,
17 and we're looking at 29.

18 MR. AYRES: Yeah. It starts with the daily
19 checks.

20 CHAIRPERSON STITT: And we are discussing all
21 remote afterloading.

22 MEMBER QUILLEN: A couple of questions on 2,
23 which starts on the bottom of page 28 and goes over to the top
24 of page 30. It wasn't clear to me -- this is editorial again
25 -- why you had a colon at the end of the paragraph on page 28.

1 MR. AYRES: Yeah, that's inconsistent. I should
2 have semi-colons after all of the 1, 2, 3's, then, if I did
3 that. I can get rid of the colon and make it a period.

4 MEMBER QUILLEN: Okay. On --

5 MR. AYRES: It's a case of moving this. Some of
6 this was written from scratch, and others was imported from
7 the policy and guidance directive, which left dangling
8 artifacts.

9 MEMBER QUILLEN: On the list of things you're
10 supposed to be doing, as far as daily checks, at the end of
11 number 5 it says you're supposed to keep a result of this
12 test, with the initials. And then, in 7, it says again you're
13 supposed to be keeping a record of these tests, with the
14 initials. Either that's redundant or whether -- I'm not sure
15 whether 7 applies to all of the above six or only -- which
16 one.

17 MR. CAMPER: Okay. Well, 7 -- right, 7 should be
18 the catch-all for all of the above.

19 MEMBER QUILLEN: Yeah, that's what I thought it
20 was, but then --

21 MR. CAMPER: Right.

22 MEMBER QUILLEN: -- because you had --

23 MR. CAMPER: It is redundant. You're right.

24 MR. AYRES: Yeah, I'll take care of that.

25 MR. CAMPER: So we should just strike it from

1 item --

2 MEMBER QUILLEN: 5.

3 MR. CAMPER: -- 5, right.

4 MR. AYRES: And as normally mentioned, normally
5 -- or 7 will become not 7, but become a paragraph because it's
6 a recordkeeping requirement as opposed to a test.

7 MR. CAMPER: right.

8 MEMBER QUILLEN: Right. That was my next
9 comment.

10 CHAIRPERSON STITT: Okay.

11 MR. AYRES: I got so I like these numbers so much
12 I just kept going.

13 (Laughter.)

14 CHAIRPERSON STITT: Bob Quillen, what do you have
15 next?

16 MEMBER QUILLEN: Next is item 3. The first
17 sentence says, "Prior to use, the following checks will be
18 performed in accordance with the manufacturer's instructions
19 within the preceding 30 days." Now --

20 MR. AYRES: Again, we'll get rid of the colon, I
21 guess, and go to a period there.

22 MEMBER QUILLEN: Well, it wasn't clear to me,
23 prior to initial use, or prior to every use, or --

24 CHAIRPERSON STITT: Is this acceptance testing?

25 MEMBER QUILLEN: Or what is it? I wasn't clear

1 as to what use we were talking about here.

2 MR. AYRES: That prior use, yeah, makes it
3 awkward. This is a 30-day -- the monthly checks, and --

4 MEMBER QUILLEN: So are you talking about monthly
5 checks?

6 MR. AYRES: Yes.

7 MEMBER QUILLEN: Okay. Then, why don't you say
8 something --

9 CHAIRPERSON STITT: Monthly checks will include,
10 or will --

11 MR. AYRES: Well, I was trying to do a little
12 something different here, but it didn't work out well. What I
13 was trying to say was that you need to do these checks every
14 30 days, if you're using a machine.

15 CHAIRPERSON STITT: Why don't you say that?

16 MR. CAMPER: Yeah, really.

17 CHAIRPERSON STITT: Seriously, it's very
18 straightforward, and then it's got some records that have to
19 be kept and some lengths of time which end up in the other
20 document that we're talking about.

21 MR. CAMPER: And also, if you want it done every
22 30 days, Bob, just say at intervals not to exceed 30 days. If
23 the device is used, at intervals not to exceed 30 days --

24 MR. AYRES: That needs a little work.

25 MR. CAMPER: -- then you shall do certain things.

1 MEMBER QUILLEN: And you need to separate (e) out
2 like you have --

3 MR. AYRES: Yeah. That's a standard correction.
4 Yeah, the intent was there is -- storage closet, no --

5 CHAIRPERSON STITT: So 3 has to do with monthly
6 checks. Number 4 is?

7 MR. AYRES: Calibration.

8 CHAIRPERSON STITT: Calibration. Bob Quillen,
9 what do you have to say about calibration?

10 MR. AYRES: I have some comments on that.

11 MS. HOLAHAN: Would it be clearer to have
12 subheadings under there?

13 CHAIRPERSON STITT: Under the calibration
14 section?

15 MS. HOLAHAN: Well, yeah, to have a subheading on
16 monthly checks, a subheading on calibration.

17 CHAIRPERSON STITT: It would make it easier --

18 MS. HOLAHAN: Yeah.

19 CHAIRPERSON STITT: -- for the users to use.
20 You've got comments about the calibration from
21 the field?

22 MR. AYRES: Yeah, something, you know, looking --
23 I can't remember who made it.

24 CHAIRPERSON STITT: Do you have anything,
25 Dr. Quillen?

1 MEMBER QUILLEN: I was trying to remember what
2 the --

3 MR. AYRES: Oh, from Region 1, we should clarify
4 who is authorized to perform calibrations. We asked for
5 physicists to perform the calibration but imply that someone
6 besides the physicist can calibrate the unit. That comes out
7 of the teletherapy where the -- somebody else can perform the
8 calibration, but the physicist has to review it.

9 CHAIRPERSON STITT: So that's 4(a)?

10 MR. AYRES: Yeah, 4(a). We should clearly
11 specify if someone under the supervision of the physicist can
12 calibrate the unit to be consistent with the requirements of
13 teletherapy. We should require that the calibrations are
14 performed by a medical physicist authorized on the license.

15 One of the comments -- and I think maybe I missed
16 -- I've got to go back. I don't think I missed it; I think
17 the commenter did. But I think it's pretty clear here that a
18 medical physicist has to be a named individual on the license.
19 If it isn't, it should be. Yeah, but that comes under the
20 fact that it's listed under authorized users, authorized RAL
21 physicists.

22 MS. HOLAHAN: Should be named on the license.

23 MR. AYRES: For programs using HDR, PDR, RAL
24 therapy and medical physicist experience, should be named on
25 license. So it's there. They missed it in -- when they got

1 over here in the calibration and said, "Well, gee, how about
2 naming the physicist."

3 MS. HOLAHAN: They are named.

4 MR. AYRES: And they are named. I thought it
5 was.

6 MR. CAMPER: Okay. It's named on the license,
7 right?

8 MS. HOLAHAN: Yes.

9 MEMBER QUILLEN: I know what it was.

10 Paragraph (c) doesn't have a verb in the first sentence.

11 MR. AYRES: Oh, yeah.

12 MS. HOLAHAN: Should be maintained.

13 MR. AYRES: Shall be maintained, yeah.

14 CHAIRPERSON STITT: Shall include or will --

15 MR. AYRES: Should. Yeah, you can't put "shall"
16 in here.

17 CHAIRPERSON STITT: -- to maintain, okay. That
18 comes -- that goes under your list of required recordkeeping?

19 MR. AYRES: If we do it, yeah.

20 CHAIRPERSON STITT: If we do it.

21 (Laughter.)

22 MS. HOLAHAN: Well, actually, should that be
23 records of maintenance?

24 MR. AYRES: Yeah.

25 CHAIRPERSON STITT: Records of maintenance

1 requirements.

2 MS. HOLAHAN: Okay.

3 CHAIRPERSON STITT: On 4(a), do we want to --
4 sentence 1 plus sentence 2? Or who can calibrate -- promote
5 afterloading device sources?

6 MR. AYRES: That was the issue that was brought
7 up. What we do under teletherapy, we allow an individual
8 under the supervision of the authorized physicist to perform
9 the calculations. He is supervised by an authorized
10 physicist. Should we or shouldn't we, I guess is the
11 question.

12 CHAIRPERSON STITT: Who is that likely to be?

13 MR. AYRES: It could be anybody. The authorized
14 physicist develops a calibration procedure and reviews the --

15 MR. CAMPER: Well, you get back to this physicist
16 in training, for example.

17 MR. AYRES: Or a dosimetrist or a technologist.

18 MR. CAMPER: Right, or a technologist, or the
19 physicist himself, of course.

20 MR. AYRES: Yeah. Or the physicist himself, yes.

21 MR. CAMPER: Right.

22 CHAIRPERSON STITT: But one of the problems with
23 brachytherapy versus teletherapy is teletherapy is very stable
24 as a rule. It should be.

25 MR. CAMPER: Right.

1 CHAIRPERSON STITT: And we're talking about
2 sources that are coming and going here, potentially. I mean,
3 this is a high dose rate iridium. I'm just a little -- I'm
4 more reluctant to allow some of this to be done --

5 MR. AYRES: Well, we've now got two situations.

6 MR. CAMPER: So you're saying the second sentence
7 should be explicit that only the physicist can do the --

8 CHAIRPERSON STITT: That's a question that I
9 have.

10 MR. AYRES: Well, we also have two situations
11 now. We have the Farmer chamber type calibrations, which
12 require more precision and care, and, of course, source to
13 detector distances are very critical because of the lower
14 strength of the source and the non-uniform field that you have
15 with regard to teletherapy.

16 On the other hand, a lot of facilities are going
17 over to the small well ion chamber, which calibration almost
18 becomes trivial except checking the math for the --

19 CHAIRPERSON STITT: That's true.

20 MR. AYRES: -- for those that are non-pressurized
21 air chambers for the appropriate corrections for air density
22 and temperature, etcetera. So you have one that's a real easy
23 calibration procedure, technically, or at least in form you
24 run the -- if you've got a proper jig, you program the source
25 to go out to the middle of the chamber and take a reading, and

1 that's it. The other one is -- requires more care.

2 CHAIRPERSON STITT: So, I mean, in that sense, it
3 reads perfectly well and is practiced that way.

4 MR. AYRES: Yeah, by many -- more and more are
5 going to the well chamber for these devices.

6 CHAIRPERSON STITT: Well, and, of course, the
7 issue is whether or not the authorized physicist checks their
8 own work or somebody that they're supervising. If they don't
9 check it, you're going to have a mistake like you --

10 MR. AYRES: Well, they are required to --

11 CHAIRPERSON STITT: -- the high dose rate
12 prostate implant. I mean, that didn't get checked. And a
13 regulation change wouldn't have made that any different. It
14 was a practice --

15 MR. AYRES: Let me clarify here a little bit and
16 make sure it actually --

17 CHAIRPERSON STITT: So I think I'm satisfied with
18 it, unless you folks feel strongly.

19 Other issues under Section 4 about calibration?
20 Bob Quillen, did you have other things on that section? Or
21 other comments from the --

22 MEMBER QUILLEN: I have to look at 30.59.

23 CHAIRPERSON STITT: -- outlying areas?

24 MR. AYRES: I recently on this dosimetry system
25 and the AAPM certified lab calibration got a question, and my

1 response was on that -- that they -- one manufacturer makes
2 these well chambers as an integral unit. Electronics chamber
3 and everything, it's all one -- like a dose calibrator. It's
4 a black box.

5 And they pointed out that this was extremely
6 difficult and expensive to ship, and so on and so forth,
7 because it was a whole package, and wanted exemption from the
8 calibration every two years. But they had committed already
9 to calibrating their Farmer chamber, which they use for this
10 and other things, every two years. So I said, "No problem.
11 You calibrate your Farmer chamber every two years, and you
12 transfer the calibration to your well chamber."

13 In other words, as soon as you get your
14 calibrated Farmer chamber back, you calibrate your fresh HDR
15 source, and then transfer that calibration to the ion chamber,
16 and you've accomplished the same thing without sending the ion
17 chamber. It's a transfer calibration to the AA -- ADCL is
18 what they're called -- laboratory.

19 MEMBER QUILLEN: I didn't have any more comments
20 on this.

21 CHAIRPERSON STITT: On that section, for
22 calibration? Does that bring us to 5, then, methods used for
23 -- obtain compliance with --

24 MR. AYRES: The requirement in --

25 CHAIRPERSON STITT: All right.

1 MR. AYRES: -- 10 CFR 59.

2 CHAIRPERSON STITT: Trish, anything you have from
3 here backwards?

4 MS. HOLAHAN: Wow, I'm getting a reputation here.

5 CHAIRPERSON STITT: No, you're not. That's why
6 we work together on this.

7 (Laughter.)

8 MR. AYRES: Brake or reverse shift lever.

9 (Laughter.)

10 CHAIRPERSON STITT: That way we know we have
11 truly reviewed. Everybody happy with it at this point, or are
12 we willing to keep moving forward? Because if there are some
13 other things that you are kind of sitting there dwelling on,
14 we ought to review them. Larry?

15 MR. CAMPER: No, I think I'm okay.

16 CHAIRPERSON STITT: Bob Quillen?

17 MEMBER QUILLEN: I'm okay.

18 CHAIRPERSON STITT: All right. That brings us to
19 emergency procedures, which I think I've heard about before.

20 MR. CAMPER: Yes, I think we have.

21 CHAIRPERSON STITT: Do you think we've had enough
22 emergency procedures?

23 MR. CAMPER: I think so.

24 CHAIRPERSON STITT: Okay. Maintenance.

25 Maintenance of remote afterloading.

1 MEMBER QUILLEN: I have a question on
2 maintenance.

3 CHAIRPERSON STITT: Yes, sir.

4 MEMBER QUILLEN: It's more how the NRC does
5 things, which is do you require or expect that a person
6 performing maintenance on these devices do a reciprocity
7 request when they go into another jurisdiction?

8 MR. AYRES: Definitely.

9 MR. CAMPER: Sure.

10 MEMBER QUILLEN: We had to tell Nucletron that
11 they had to do that, because they weren't doing it.

12 MR. AYRES: They got a civil penalty for not
13 doing it in our -- they are now licensed.

14 MR. CAMPER: Be careful to the degree to which we
15 discuss names.

16 MR. AYRES: Oh, okay.

17 MR. CAMPER: Particularly if there is some
18 ongoing action.

19 MR. AYRES: This is not. This is several years
20 old.

21 MR. CAMPER: But even there, I think I would make
22 that point without referencing any --

23 MR. AYRES: Since it was public document I -- but
24 yeah, they now handle -- one way of handling it is to become
25 licensed in the state, or the other way is to do reciprocity.

1 And if a company does a lot of repair work, it's probably to
2 their advantage to get licensed in the location where they do
3 the repair work rather than --

4 MR. CAMPER: But this point, though, that I think
5 that Bob is getting at is the point that I raised yesterday
6 when we were talking about mobile nuclear medicine, and that
7 is yesterday I wanted to have some words put in that reminded
8 people in doing mobile nuclear medicine, if you're crossing
9 out of NRC jurisdiction, going into an agreement state, then
10 there is the question of reciprocity, and do we need to
11 contact the agreement state, because the reciprocity
12 requirements vary from state to state.

13 And imagine a scenario where you have an NRC
14 license, and you're operating from southern Virginia, and you
15 want to go across the border into North Carolina. You can't
16 just do that.

17 Well, similarly, it might be worthy if we could
18 find some words to put in here to point out that reciprocity
19 may be a consideration when using companies for purposes of
20 calibration, and that there is a need to ensure that
21 reciprocity requirements, as they relate specifically to the
22 states involved, are met.

23 MS. HOLAHAN: But is that incumbent on the
24 licensee or the manufacturer?

25 MR. CAMPER: Well, it's incumbent upon the

1 servicer, the company.

2 MR. AYRES: Actually, there is three scenarios --
3 agreement state, one agreement state into another, from an NRC
4 state into agreement state, and from an agreement state into
5 an NRC state. There is all --

6 MR. CAMPER: Well, I -- but, you know, it does --
7 certainly, the responsibility for the reciprocity is with the
8 service organization. I guess the question is, should --

9 MR. AYRES: Should the licensee check --

10 MR. CAMPER: Well, or should the licensee at
11 least be aware --

12 CHAIRPERSON STITT: Should be aware, right.

13 MR. CAMPER: -- that reciprocity, when you're
14 dealing with companies that are calibrating or, excuse me,
15 doing maintenance on your remote afterloading device, you
16 know, you probably would be wanting one that has gone through
17 --

18 MEMBER QUILLEN: Right.

19 MR. CAMPER: -- whatever appropriate reciprocity
20 is.

21 MR. AYRES: Well, I guess the only problem there
22 there isn't an incentive or disincentive, and there is no
23 penalty accrued to the licensee if repair is being done by a
24 maintenance or vendor organization that doesn't have
25 reciprocity. The --

1 MR. CAMPER: Well, no, but wait a second.

2 Actually, no. The licensee shall confirm that only personnel
3 who are licensed by the Commission or an agreement state to
4 perform such services will perform maintenance. You --

5 MR. AYRES: But that doesn't have anything to do
6 with reciprocity.

7 MR. CAMPER: Well, certainly, it does. No,
8 absolutely, it does. I would submit to you that if you're an
9 NRC licensee in an NRC state, and you're using a company
10 that's licensed by an agreement state, and reciprocity has not
11 occurred as required under 150.20, that company is not
12 licensed by the Commission in that case to do it.

13 MR. AYRES: Yeah. You're getting to a point that
14 the --

15 MR. CAMPER: Or an agreement state, and then the
16 process involves reciprocity.

17 MR. AYRES: The way the situation is now you go
18 read any vendor or service organization license, and you'll
19 see that they are licensed to service machine X, Y, A, B, C,
20 or what have you, which as I read this would satisfy that
21 requirement. Now, I admit that the company hasn't satisfied
22 their own requirement if they don't apply for reciprocity.

23 Right now, in any case I'm aware of, the fault is
24 attributed to the service organization, never to the licensee.

25

1 MR. CAMPER: Well, there is no question about
2 that.

3 MR. AYRES: -- require reciprocity.

4 MR. CAMPER: And then, this is --

5 MR. AYRES: I'm just saying --

6 MR. CAMPER: It's an informational point.

7 MR. AYRES: Yeah.

8 MR. CAMPER: The licensee should -- is there any
9 value, or is it appropriate for licensees to be aware that
10 when dealing with organizations that are licensed by the
11 Commission agreement state, and are crossing state lines, that
12 there is a reciprocity process involved? I mean, is there any
13 value in them knowing that?

14 MEMBER QUILLEN: See, here's the problem we face
15 in an agreement state. I have -- company A comes in from
16 another agreement state, or from the NRC, for that matter, and
17 does maintenance. They have not filed a reciprocity with me.
18 They leave. The only person I have jurisdiction over is the
19 licensee.

20 I don't have jurisdiction over that company that
21 came in under reciprocity once they're gone, because I have no
22 jurisdiction outside my state --

23 MR. AYRES: I guess that's where we differ --

24 MEMBER QUILLEN: Yeah.

25 MR. AYRES: -- with you.

1 MEMBER QUILLEN: And I can't do anything about
2 it. The only thing I can do is go hassle my licensee at the
3 -- you used a company that was --

4 MR. AYRES: This sounds like a much broader
5 issue. It sounds like it deals more like a problem with 150
6 part than it does here. What we're trying to do is -- it
7 sounds to me --

8 MR. CAMPER: Well, as you know -- you are
9 correct. I agree. We have a memo with research to do a
10 revision to 150.

11 MR. AYRES: But it -- reminding licensee that
12 their service organization should do something, which if they
13 don't bother to check, isn't going to cost them anything
14 anyway. It probably would not be too --

15 MEMBER QUILLEN: Well, it is going to cost them,
16 because it's going to --

17 MR. AYRES: Okay. I guess in our states, it
18 wouldn't.

19 MEMBER QUILLEN: I mean, it's going to cost them
20 that we're going to hassle them.

21 MR. AYRES: Well, they're the only people we can
22 hassle.

23 MEMBER QUILLEN: Oh, well, we would hassle the
24 vendor or the service organization.

25 MR. AYRES: Well, yes, but the vendor was in an

1 agreement state. How are you going to hassle them then?

2 MEMBER QUILLEN: We have that provision in our --
3 in 150.

4 MS. HOLAHAN: So do we want to put a statement in
5 here just saying that --

6 MR. AYRES: I guess you always do have some
7 authority. You can always bar the vendor from -- an
8 individual agreement state could take some sort of regulatory
9 action to bar the vendor from working in the state, or assess
10 a civil penalty that they can't work in the state again until
11 they pay. I would think you would have some sort of
12 authority.

13 CHAIRPERSON STITT: Is it appropriate to put a
14 helpful tip in this section of an NRC document on a --

15 MS. HOLAHAN: Where we remind the licensee that
16 it's the vendor's responsibility, but the vendor would --

17 MR. CAMPER: Well, if we were going to do
18 something about it, in terms of information, it would be
19 something along the lines of a sentence that said, in essence,
20 the following. If we have a sentence that says, "The licensee
21 should confirm that only persons who are licensed by the
22 Commission or agreement state to perform such services," blah,
23 blah, blah.

24 Please note that a service company licensed by --
25 remember now, we're talking NRC licensees -- licensed by an

1 agreement state will be required to file for reciprocity
2 within -- by -- with the NRC in order to perform this service.

3 CHAIRPERSON STITT: Bob Quillen, is that helpful?

4 MEMBER QUILLEN: Yes.

5 CHAIRPERSON STITT: Let's put that in. It's easy
6 to read, it's a helpful hint, and there is no paper that has
7 to be kept for three years.

8 MEMBER QUILLEN: Right.

9 CHAIRPERSON STITT: We've done them a favor.
10 Okay. Let's keep going with maintenance. Bob, what else do
11 you have?

12 MEMBER QUILLEN: That's all I have.

13 CHAIRPERSON STITT: Are you sure?

14 MEMBER QUILLEN: That was my last item.

15 CHAIRPERSON STITT: Okay. Trisha?

16 MEMBER QUILLEN: I had one grammatical thing.

17 CHAIRPERSON STITT: One grammatical thing. One
18 editorial comment? All right.

19 MEMBER QUILLEN: Under waste management, which is
20 the next page. Go up to 12 -- let me --

21 CHAIRPERSON STITT: No, I'm not going to let you
22 go on to 12, not yet. Save it.

23 Any other issues on maintenance, Section 11?
24 Trisha?

25 MS. HOLAHAN: No.

1 MR. AYRES: Yeah. One of the items is buried in
2 here. I'll just mention it. It also arose out of the mobile
3 unit is -- is the source replacement issue, and in here is a
4 requirement that they -- it either be done by the vendor or
5 somebody certified -- trained and certified by the vendor to
6 do those source exchanges.

7 CHAIRPERSON STITT: Which section is that, or
8 which --

9 MR. AYRES: This is the one we did, 11.22.1.

10 CHAIRPERSON STITT: 1, okay, all right. Anything
11 else?

12 MR. CAMPER: Nothing here.

13 CHAIRPERSON STITT: Okay. 12, radioactive waste
14 management.

15 MEMBER QUILLEN: Okay. My comment on radioactive
16 waste management is that what you're referring to here is not
17 -- it's unclear because you've got two situations. You've got
18 a situation you're talking about where you're returning
19 material to the vendor, which I think is the typical
20 situation.

21 MR. AYRES: The normal, yeah.

22 MEMBER QUILLEN: Okay? Which is not radioactive
23 waste management. The second situation is where the licensee
24 actually does dispose of the sources. So you're mixing two
25 different situations here.

1 Now, in the first situation where you're
2 returning the material to the vendor, it has been my
3 experience the vendor comes in, packages the material in their
4 shipping container, and then does the paperwork while the
5 licensee sort of stands by the sidelines and watches.

6 MR. AYRES: Some do and some provide the
7 container with instructions.

8 MEMBER QUILLEN: Yeah. Well --

9 MR. CAMPER: Is anybody getting rid of the
10 sources, other than that way? And, if so, why would they?

11 CHAIRPERSON STITT: Other than what?

12 MR. CAMPER: Returning it to the vendor.

13 CHAIRPERSON STITT: Iridium-192 we returned, not
14 the high dose rate sources, but LDR sources we returned. How
15 did this --

16 MR. CAMPER: You return them to the vendor,
17 right.

18 CHAIRPERSON STITT: How did this come up in
19 regard to yesterday's discussion? Do they return sources, or
20 do they use them up and just --

21 MR. CAMPER: Yesterday was radiopharmaceutical
22 therapy.

23 MS. HOLAHAN: So we'll be discussing it tomorrow.

24 CHAIRPERSON STITT: Radioactive waste management,
25 then?

1 MR. CAMPER: We'll discuss it tomorrow. But it's
2 all liquid.

3 MR. AYRES: And you can see this is 12.3. 12.1
4 and 2, obviously, deal with the other more normal disposal
5 method.

6 MS. HOLAHAN: In the Reg. Guide -- you see, the
7 item is listed the way the license application is listed.
8 Item 12 is considered waste management, which is, you know --

9 CHAIRPERSON STITT: So do you --

10 MS. HOLAHAN: -- disposal of sources would be
11 more --

12 CHAIRPERSON STITT: Bob Quillen's point about is
13 waste management returning sources to vendors, or is that --

14 MR. AYRES: That's one form of --

15 CHAIRPERSON STITT: Is it?

16 MR. AYRES: -- managing the waste disposal.

17 MEMBER QUILLEN: The transfer is not a waste
18 disposal, because if you do ship it as waste, it becomes
19 waste. But if you ship it back to the manufacturer, it is
20 still material. It's a very crucial point in waste management
21 that --

22 MR. CAMPER: Yeah. And my point was that I would
23 be surprised if anybody is doing anything but that.

24 MR. AYRES: For these type of sources, yeah.

25 MR. CAMPER: What?

1 MR. AYRES: For these type of sources.

2 MR. CAMPER: Exactly.

3 MS. HOLAHAN: Because even the cesium sources
4 found there are returned.

5 CHAIRPERSON STITT: So do we just -- is our
6 problem here the label isn't quite right? Radioactive waste
7 management is not the label we want? Item 12 is returning
8 sources.

9 MR. AYRES: Well, I think this is a broader
10 question for 10.8, because I think that's the number for 10 --

11 MS. HOLAHAN: You see, it's -- the title relates
12 to the Form 313 on your license application. Now, the
13 question is, where else would you address it if it was not
14 waste management, because it is returning sources? And that's
15 why we created a separate category. As Bob mentioned, 12.1 is
16 waste disposal. Yeah, 12.2 is other waste disposal. And
17 then, 12.3 is returning sources.

18 CHAIRPERSON STITT: Okay. So it's just under the
19 Section 12.

20 MR. CAMPER: Well, where are the words for that?

21 MS. HOLAHAN: For what?

22 MR. CAMPER: This is in --

23 MS. HOLAHAN: This is in the body of Reg. Guide
24 10.8.

25 MR. CAMPER: Yeah, 10.8. Okay.

1 MS. HOLAHAN: And that's why -- and then, if you
2 look at the actual Form 313, which you submit with your
3 license, this item is classified as waste management.

4 MR. AYRES: Yeah, it isn't exactly a perfect fit,
5 but it's making --

6 MR. CAMPER: Right.

7 MR. AYRES: -- putting a slightly round peg in a
8 square hole.

9 MS. HOLAHAN: Right.

10 MR. CAMPER: Well, another question on that, what
11 do you mean by the first sentence? "Most RAL brachytherapy
12 sources are reused for therapy," what does that mean?

13 MR. AYRES: Well, there are some that aren't.

14 MR. CAMPER: Well, what do you mean, they are
15 reused for therapy?

16 MR. AYRES: Well, mobile treatments or before the
17 sources --

18 MS. HOLAHAN: More than one --

19 MR. CAMPER: Oh, no, I understand that. But what
20 does that have to do with the returning sources?

21 MR. AYRES: It just says that they aren't --
22 well, okay. It says unlike other -- an example where it isn't
23 would be the Nucletron low dose unit, where they custom cut
24 iridium ribbons and load them into a safe for remote
25 afterloading.

1 MR. CAMPER: Right.

2 MR. AYRES: That's a one-shot deal and then the
3 sources are replaced. They're custom assembled for --

4 MR. CAMPER: No, I understand.

5 MR. AYRES: They're iridium seeds.

6 MR. CAMPER: No, no, I understand that. But the
7 category is returning sources.

8 CHAIRPERSON STITT: Well, you could just say when
9 sources --

10 MR. CAMPER: When the useful source --

11 MR. AYRES: You could delete that sentence. It
12 wouldn't hurt anything.

13 MR. CAMPER: -- is reached, or when the useful
14 life of the source is reached, it will be necessary to replace
15 it, and they should be returned to the vendor or other
16 authorized recipient.

17 MR. AYRES: I guess a source expires for three
18 reasons. It's permanently implanted, which is obvious. It is
19 customized, such as an iridium ribbon that is ordered and cut
20 to length for a particular one-time treatment.

21 MR. CAMPER: Right.

22 MR. AYRES: And/or its half-life.

23 MR. CAMPER: Right.

24 MR. AYRES: I mean, there is three reasons for
25 replacing a brachytherapy source.

1 MR. CAMPER: The third is just -- it has gone
2 through its decay cycle.

3 MR. AYRES: Yeah. That's one of the -- I mean,
4 the first sentence doesn't really add anything.

5 MR. CAMPER: I don't think it does either. I
6 mean, I think it --

7 MR. AYRES: Yeah.

8 MR. CAMPER: -- it isn't wrong, but it isn't --

9 CHAIRPERSON STITT: You could say when remote
10 afterloading brachytherapy sources are replaced, they should
11 be returned to the vendor or other authorized recipient.

12 MS. HOLAHAN: I think, too, is this was -- again,
13 we were trying to keep modules consistent, and the manual
14 brachytherapy may need to be changed when we discuss it
15 tomorrow.

16 MR. AYRES: Yeah.

17 MS. HOLAHAN: It starts off saying --

18 MR. AYRES: About the same thing.

19 MS. HOLAHAN: -- many brachytherapy sources may
20 be reused for therapy. Whenever possible, used sources that
21 will not be reused should be returned to the vendor for
22 disposal. As opposed to indefinite storage at licensee's
23 facility. So that's, you know --

24 MR. AYRES: That's a little bit of trying to keep
25 things in similar --

1 MS. HOLAHAN: Yeah. So -- and, again, why is it
2 in that one?

3 CHAIRPERSON STITT: Items 1, 2, 3, 4, and 5, are
4 those lining up? Is everybody happy with those? Packaging,
5 surveys, labeling, etcetera. Bob Quillen?

6 MEMBER QUILLEN: I'd have to go back to the
7 licensing guide. But, obviously, all of these things that
8 refer back to 49 CFR --

9 MR. AYRES: Yes.

10 MEMBER QUILLEN: -- and so what you're doing is
11 saying, in accordance with 49 CFR, you want to assure that you
12 do these --

13 MR. AYRES: And/or 10 CFR 171 or --

14 MR. CAMPER: No. Isn't it CFR 170? Isn't it?

15 MR. AYRES: Yeah, 170. I should refer to --

16 CHAIRPERSON STITT: Are there any more issues on
17 that section? Item 12? Are you ready to go to the glossary,
18 folks?

19 MR. CAMPER: Yeah, that's all I have.

20 CHAIRPERSON STITT: I looked at the glossary.
21 Who wants to complain about the glossary?

22 (Laughter.)

23 MR. CAMPER: Who wants to complain?

24 CHAIRPERSON STITT: I mean, I've been through it.
25 I think it's helpful. It's fine. It's brief. It's to the

1 point.

2 MR. CAMPER: There's a couple of terms that we've
3 discussed today that should be added, aren't there?

4 CHAIRPERSON STITT: Yes, that's right.

5 MEMBER QUILLEN: Yeah, that's right. That's my
6 only comment.

7 CHAIRPERSON STITT: And what are those terms?

8 MR. AYRES: I had one comment here that -- on
9 interluminal -- maybe suggest an additional definition as with
10 the inner space of a tubular organ. But in lumen, it -- lumen
11 of a tube is sort of a gratuitous definition, I guess.

12 MS. HOLAHAN: I think it came out of Steadman's.

13 MR. CAMPER: Well, were you going to put in
14 medical physicist?

15 MR. AYRES: Yeah, that --

16 MR. CAMPER: Were you going to put in operator?

17 MR. AYRES: Certified or --

18 MS. HOLAHAN: Do we want to have certified --

19 MR. CAMPER: What does "certified" mean?

20 Certified by whom?

21 MR. AYRES: By the definition in this document.

22 CHAIRPERSON STITT: But if we can define it in
23 that document.

24 MS. HOLAHAN: But do we need to put that --

25 MR. AYRES: I look at that issue and --

1 MS. HOLAHAN: Yeah.

2 MR. CAMPER: What does one need to do to become
3 certified? Demonstrate competence, and certification is
4 tested --

5 MR. AYRES: A written and practical test
6 demonstrating competence in the --

7 CHAIRPERSON STITT: Does it say that?

8 MR. AYRES: Yes, it does.

9 MR. CAMPER: Where do you get all of that? Where
10 does it say that?

11 MR. AYRES: It's in the training.

12 MS. HOLAHAN: Bob, for the purposes of --

13 MR. CAMPER: Is that a matter of record, though,
14 in --

15 MR. AYRES: Yeah, they've got to keep records of
16 that.

17 MS. HOLAHAN: For the purpose of the glossary,
18 though, could we define device monitor and device operator?
19 And then, in the training we would say that they would need to
20 be trained and certified, rather than calling them a certified
21 device monitor.

22 MR. AYRES: Yeah. I think the certified may go
23 away, yeah.

24 CHAIRPERSON STITT: It's a catch-phrase that
25 brings up a lot of bells that we'd have to support, and we

1 can't. And I think it would just be easiest to leave it.

2 MS. HOLAHAN: The only term I think might be
3 difficult to define here is medical physicist, because we're
4 going to define medical physicist as it applies to this
5 module.

6 MR. CAMPER: Well, the definitions are always --
7 the definitions here would be germane to this module.

8 MS. HOLAHAN: Right. But then the question is --
9 because the comment is also being raised, do we define a
10 medical physicist when we use the term in --

11 MR. AYRES: Yeah. I don't really use "certified"
12 in the training. I say, "Upon completion of this training,
13 competence should be demonstrated by both practical and
14 written examinations."

15 CHAIRPERSON STITT: But there was a phrase in
16 there that -- at one point, that Quillen found that said
17 certified device operator.

18 MR. AYRES: Well, yeah, I read that.

19 CHAIRPERSON STITT: We need to strike the
20 "certified" in that.

21 MR. AYRES: Yeah. Got to get rid of that. It
22 relates back to this, and I just called it certified
23 competence demonstration. Wrong way to go. Okay.

24 MS. HOLAHAN: Are there any other terms that you
25 think should be included?

1 MEMBER QUILLEN: None that I have.

2 CHAIRPERSON STITT: I don't remember reading
3 through anything that was out of --

4 MR. CAMPER: No. I think those are the ones that
5 we've stirred up along the way.

6 MEMBER QUILLEN: Yeah, I think we should have W-
7 I-R-E, O-R underlined, hyphen E-D.

8 CHAIRPERSON STITT: Where are you, Dr. Quillen?

9 MR. AYRES: Oh, I'm going to readjust that. I --
10 that wired or/wired and.

11 MEMBER QUILLEN: For us non-electrical engineers.

12 MR. AYRES: I will rephrase that, those two.
13 I'll just say logical or/logical and.

14 CHAIRPERSON STITT: Okay. Other comments on the
15 brachytherapy glossary? Yes? No? Everybody happy with that?
16 Okay.

17 Now, the standard license conditions. Is this
18 new? Yeah, I guess it is, isn't it? We put things together
19 -- pulsed, medium, high dose rate -- so we need to review
20 these pages like the others or --

21 MS. HOLAHAN: These were what --

22 MR. AYRES: We bounced into and out of them as we
23 went through the document already.

24 MS. HOLAHAN: Right.

25 MR. AYRES: We certainly discussed this source

1 inventory one, I think, quite a bit.

2 CHAIRPERSON STITT: How about the first sentence?
3 Is this -- can we use the term "always"? Is that all right in
4 this case? We can use that term?

5 MS. HOLAHAN: They don't apply to anything other
6 than remote afterloading devices.

7 MR. AYRES: Right.

8 CHAIRPERSON STITT: Only apply to the use of --
9 are we going to get grief over always, shall, should?

10 MS. HOLAHAN: Yeah, because some of them don't
11 apply to all. Is that --

12 MR. AYRES: Right. I have them generally apply
13 to all, pulsed, and medium, and high.

14 MR. CAMPER: Yeah, he has segregated them by --

15 MS. HOLAHAN: Do we need the word "always"? Can
16 we just say, "The following license conditions apply to use"?

17 MR. AYRES: It's probably a little over it. I
18 wonder if --

19 MR. CAMPER: I'd strike "always."

20 MR. AYRES: Should I get rid of "standard"? I
21 refer to them in the text as sample.

22 MS. HOLAHAN: You've got them both ways in the
23 text.

24 MR. AYRES: Yeah, I --

25 MS. HOLAHAN: Standard and sample.

1 MR. AYRES: Yeah. Need to be consistent. I
2 don't know which way to --

3 MR. CAMPER: Well, standard is our --

4 MR. AYRES: Okay.

5 MR. CAMPER: -- nomenclature.

6 MR. AYRES: That's what I --

7 CHAIRPERSON STITT: Okay.

8 MEMBER QUILLEN: I have a comment on (b) at the
9 bottom of page 39.

10 CHAIRPERSON STITT: Okay.

11 MEMBER QUILLEN: You refer to item 9 sub-items.

12 CHAIRPERSON STITT: You're wondering where that
13 is, huh?

14 MEMBER QUILLEN: Let's see, this says, "The
15 following shall" -- I would opt for putting them in, all of
16 them, so that they can --

17 MS. HOLAHAN: And then we do -- we would be --

18 MR. AYRES: Okay. If that's the case, I'll take
19 care of that. That will come out of -- that one I missed that
20 came out of the old policy and guidance directives. That's
21 item 9.

22 MS. HOLAHAN: Which one was that?

23 CHAIRPERSON STITT: Paragraph (b), page 39,
24 listed in item 9.

25 MR. CAMPER: Let me ask the group a question.

1 Trish and I were having a sideline discussion here. You're
2 saying in this case now, on page 39 and 40, you're saying
3 standard license conditions that are being used for RAL, for
4 brachytherapy, okay?

5 Now, the other modalities, the other issues for
6 which we also developed modules also carry with them certain
7 standard conditions. Those other modules, unlike this one, do
8 not have in them, at the end, those standard conditions. They
9 are in this particular one because, again, this is part of
10 this fallout that I alluded to earlier today, in that we had
11 been doing a lot of the current level of regulation of HDRs
12 through license conditions, just as time as we modernized the
13 regulations, if you will.

14 Now, the question really is, a) what is your
15 impression of having the standard license conditions included
16 in the guidance document? Do you think that is of utility to
17 the licensee, to the applicant? Or could it be jettisoned?
18 Or -- and secondly, if we do keep it in this one, if we think
19 it has value, should we be putting standard license conditions
20 that apply to the other modalities in those guidance documents
21 as well? Do you have any impressions about that?

22 MR. AYRES: One thing I mentioned that -- that
23 part of the reason, too, is we needed a lot of these "in lieu
24 of's" --

25 MR. CAMPER: Right.

1 MR. AYRES: -- type of standard license
2 conditions.

3 CHAIRPERSON STITT: Say that again. What are you
4 referring to?

5 MR. AYRES: Because we had to provide an
6 alternative to the current regulations that didn't -- for
7 manual brachytherapy for -- that just can't be applied to a
8 remote afterloading device.

9 MEMBER QUILLEN: Well, I liked having them in
10 here. The only thing that -- I was confused for a while, and
11 it just dawned on me why I was confused, and that was that
12 page 39 and 41 are in different print than pages 40 and 42.

13 (Laughter.)

14 And part of -- and when you printed it, part of
15 it got carried over to one page, so --

16 CHAIRPERSON STITT: Somebody summarize for me
17 what this is, because all of the points here are in the larger
18 document. So it's a distillation of the essence that the --

19 MS. HOLAHAN: No, these are actually what get put
20 on the license. When you come in and you get an approved
21 license, then attached to your license are all of these
22 conditions that you have committed to. It says, "This is what
23 you're going to do."

24 CHAIRPERSON STITT: And then, the body that we
25 just went through is a discussion in more detail of some of

1 the conditions --

2 MS. HOLAHAN: That's correct.

3 CHAIRPERSON STITT: -- or how you reach --

4 MR. AYRES: Yeah. In the body, sometimes I just
5 referred to these standard licenses.

6 CHAIRPERSON STITT: So in that sense, I think it
7 would be very helpful, because it's a place where you start,
8 and then, like Trisha, work backwards.

9 (Laughter.)

10 MS. HOLAHAN: And I guess, then, the question is,
11 would that then be helpful? Should -- this goes back to your
12 consistency question of modules. If we're going to include it
13 in one, should we include them in --

14 CHAIRPERSON STITT: Well, I think so.

15 MS. HOLAHAN: -- all of them? Now, this list
16 would be expanded, because there would be more conditions that
17 we don't have in here yet.

18 MR. AYRES: It may. Yeah, I think so.

19 MS. HOLAHAN: Again, as I mentioned, the one that
20 comes to mind is the physical presence of the physician and
21 the authorized user. That would become a license condition.

22 MR. CAMPER: The thing I'm struck by when I think
23 about it is if I kind of look at this across the board, I
24 would think that there is value in an applicant seeing in
25 front of them the kinds of conditions that will ultimately be

1 imposed upon them in their license as a result of their
2 application and the commitments they are making, whether it's
3 for, in this case, RALs, for that medical use at large in the
4 medical licensing guide. Is there some value in, again,
5 seeing the conditions that will ultimately be imposed upon
6 your license?

7 MEMBER QUILLEN: I think it would.

8 MR. CAMPER: Would that help you better
9 understand what the licensing process is all about?

10 CHAIRPERSON STITT: Exactly. And how to go
11 through that process.

12 MR. CAMPER: Because, you know, there are those
13 who say the licensees don't do a terrible good job of reading
14 their licenses once they get them. But they, in theory, you
15 would think, would be looking to a guidance document as
16 they're applying to get it and trying to submit the right
17 kinds of things.

18 MS. HOLAHAN: That's one thing that I wanted to
19 add, too, is in developing these modules, previously what had
20 happened is the Reg. Guides that went out to licensees
21 contained certain information. Then, we had what was called a
22 standard review plan for license reviewers that would often
23 include reviewers' notes.

24 Well, as part of this overall module effort, it
25 came to our attention that often those reviewers' notes were

1 also helpful to licensees, and so what we have done now is
2 this would be the document that would be used by both the
3 licensees and the licensing reviewer.

4 So we have included anything that previously
5 might have been considered a reviewer note into the body of
6 the module. And then, the only thing that the reviewers would
7 have additional would be a checklist as they would go down
8 looking at a license application.

9 MR. AYRES: And perhaps related technical
10 assistance requests, the sort of thing that come after the
11 document.

12 MS. HOLAHAN: That's right. But it wouldn't come
13 -- I mean, not as they would use as the body, but that's one
14 of the things we have tried to do is incorporate many of the
15 reviewers' notes in so that everybody is working, knows where
16 everybody is.

17 MR. CAMPER: You know, the idea is that truth-in-
18 lending. You know, if our reviewers need to see that, why
19 shouldn't applicants be aware that the reviewers are seeing
20 that and focusing upon it? And that's a legitimate and
21 reasonable approach.

22 MEMBER QUILLEN: I wasn't here for your
23 discussions yesterday, but I certainly would think that they
24 should have access to that.

25 MR. CAMPER: Yeah, I think that makes sense also.

1 MS. HOLAHAN: Just as a note, we can pull out the
2 old Part 20 references on the --

3 MR. AYRES: Oh, I already noted that. That was
4 in --

5 MS. HOLAHAN: Okay.

6 MR. AYRES: -- importing this stuff over from --
7 (Laughter.)

8 That has already been duly noted in --

9 MS. HOLAHAN: Oh, okay.

10 CHAIRPERSON STITT: What other business do we
11 want to do today?

12 MR. AYRES: That's all for today.

13 MS. TAYLOR: That's all we can do today.

14 MR. CAMPER: That's all we do today, because the
15 schedule for the other topics are in the --

16 CHAIRPERSON STITT: Tomorrow we'll do manual
17 brachytherapy, teletherapy, and gamma -- same fashion that we
18 worked today.

19 MS. HOLAHAN: A lot of the issues that we
20 discussed in remote are also applicable to manual, so
21 hopefully some of those won't take quite as long.

22 MR. AYRES: Actually, we did this review the
23 reverse of the way they were written. Manual was written
24 before --

25 MS. HOLAHAN: That's true. We wrote manual, and

1 then we wrote remote.

2 CHAIRPERSON STITT: Well, the remote is the
3 harder of the whole group, isn't it?

4 MR. CAMPER: I think so.

5 CHAIRPERSON STITT: I would think so.

6 MR. AYRES: It's certainly more complex, I guess,
7 because of the multitude of different types of devices.

8 CHAIRPERSON STITT: So we'll start off with
9 manual first thing in the morning.

10 MR. AYRES: Okay. That will work.

11 MR. CAMPER: Okay. Are we in closure for the
12 day, then? That's it.

13 (Whereupon, at 2:55 p.m., the subcommittee
14 meeting was concluded.)

15

16

17

18

19

20

21

22