

Official Transcript of Proceedings

NUCLEAR REGULATORY COMMISSION

Title: Advisory Committee on the Medical
Uses of Isotopes

Docket Number: (not applicable)

Location: Rockville, Maryland

Date: Wednesday, April 20, 2005

Work Order No.: NRC-340

Pages 1-227

NEAL R. GROSS AND CO., INC.
Court Reporters and Transcribers
1323 Rhode Island Avenue, N.W.
Washington, D.C. 20005
(202) 234-4433

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

UNITED STATES OF AMERICA

NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

(ACMUI)

+ + + + +

MEETING

+ + + + +

WEDNESDAY,

APRIL 20, 2005

+ + + + +

ROCKVILLE, MARYLAND

+ + + + +

The committee met at the Bethesda North
Marriott Hotel and Conference Center, 5701 Marinelli
Road, at 8:00 a.m., Leon S. Malmud, Chairman,
presiding.

COMMITTEE MEMBERS:

LEON S. MALMUD, M.D., Chairman

DAVID A. DIAMOND, M.D., Member

DOUGLAS F. EGGLI, M.D., Member

RALPH P. LIETO, Member

SUBIR NAG, M.D., Member

ALBERT E. RAIZNER, M.D., Member

SALLY WAGNER SCHWARZ, R.Ph., Member

1 COMMITTEE MEMBERS: (cont'd)

2 ORHAN SULEIMAN, Ph.D., Member

3 WILLIAM VAN DECKER, M.D., Member

4 RICHARD J. VETTER, Ph.D., Member

5 JEFFREY F. WILLIAMSON, Ph.D., Member

6

7 NRC STAFF PRESENT:

8 THOMAS H. ESSIG, Designated Federal Official

9 ROGER W. BROSEUS, Ph.D.

10 IVELISSE CABRERA

11 DONNA-BETH HOWE

12 ANGELA McINTOSH

13 CHARLES L. MILLER

14 SAMI SHERBINI, Ph.D.

15 RONALD ZELAC, Ph.D.

16

17 ALSO PRESENT:

18 LYNNE A. FAIROBENT, AAPM

19

20

21

22

23

24

25

I-N-D-E-X

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

<u>AGENDA ITEM</u>	<u>PAGE</u>
Opening Remarks by Mr. Essig	4
Opening Remarks by Dr. Miller	7
Commission Briefing Preparation	14
ACMUI Review of Medical Events Involving	44
I-131	
Case Experience Using I-125 Seeds as	56
Markers	
FDA Radiation Dose Limits for Human	149
Research Subjects Using Certain Radio-	
labeled Drugs: Adults and Children	
Establishing Guidance on Exceeding Dose	165
Limits for Members of the Public	
Status of Rulemaking, Part 35 - Training	182
and Experience	
Public Comment	224
Adjourn	

P-R-O-C-E-E-D-I-N-G-S

(8:13 a.m.)

1
2
3 MR. ESSIG: As the Designated Federal
4 Official for this meeting, I'm pleased to welcome you
5 to Rockville for the public meeting of the Advisory
6 Committee on the Medical Uses of Isotopes.

7 My name is Thomas Essig. I'm Branch Chief
8 of the Material Safety Inspection Branch and have been
9 designated as the federal official for this Advisory
10 Committee in accordance with 10 CFR Part 7.11.

11 Present today as alternate Designated
12 Official is Cynthia Flannery.

13 This is an announced meeting of the
14 committee. It is being held in accordance with the
15 rules and regulations of the Federal Advisory
16 Committee Act and the Nuclear Regulatory Commission.
17 The meeting was announced in the February 28, 2005,
18 edition of the Federal Register.

19 The function of the committee is to advise
20 staff on issues and questions that arise on the
21 medical use of byproduct material. The committee
22 provides counsel to the staff but does not determine
23 or direct the actual decisions of the staff or the
24 Commission. The NRC solicits the views of the
25 committee and values them very much.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I request that whenever possible we try to
2 reach consensus on the various issues that we will
3 discuss today and tomorrow, but I also value minority
4 or dissenting opinions. If you have any such
5 opinions, please allow them to be read in the record.

6 As part of the preparation for this
7 meeting, I have reviewed the agenda for members and
8 employment interests based on the very general nature
9 of the discussion that we're going to have today and
10 tomorrow. I have not identified any items that would
11 pose a conflict. Therefore, I see no need for an
12 individual member to -- of the committee to recuse
13 themselves from the committee's decision-making
14 activities.

15 However, if during the course of our
16 business you determine that you have some conflict,
17 please state it for the record and recuse yourself
18 from that particular aspect of the discussion.

19 At this point, I would like to introduce
20 the members who are here today. Dr. Douglas Eggli,
21 Nuclear Medicine Physician; Dr. David Diamond,
22 Radiation Oncologist; Dr. Subir Nag, Radiation
23 Oncologist. Would you raise your hand, Dr. Nag?

24 (Laughter.)

25 Dr. William Van Deck, Nuclear

1 Cardiologist; Ms. Sally Schwarz, Nuclear Pharmacist;
2 Dr. Richard Vetter, Radiation Safety Officer; Dr.
3 Jeffrey Williamson, Therapy Physicist; Dr. Albert
4 Raizner, who is with us for the first time today, who
5 is an Interventional Cardiologist; and Mr. Ralph
6 Lieto, Nuclear Medicine Physicist; and Dr. Orhan
7 Suleiman from the Center for Devices and Radiological
8 Health from the Food and Drug Administration.

9 MEMBER SULEIMAN: Actually, that's Center
10 for Drug Evaluation and Research.

11 MR. ESSIG: I still didn't get this right.

12 (Laughter.)

13 Okay. We'll fix it for next time. I
14 updated some old notes.

15 Mr. Ed Bailey, who is our State
16 Representative, and Dr. Robert Schenter, Patient
17 Advocate Representative, were unable to attend today's
18 meeting.

19 In accordance with the bylaws of the
20 committee, I will chair the meeting until Dr. Malmud
21 arrives. And then, following the discussion of each
22 agenda item, the chair -- either myself or Dr. Malmud,
23 at our option -- may entertain comments or questions
24 from members of the public who are participating with
25 us today.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Our first agenda item following these
2 opening remarks, we will hear from Dr. Charles Miller,
3 to whom this committee reports, and Charlie will share
4 some -- some views with us.

5 Charlie?

6 DR. MILLER: Thank you, Tom. Good morning
7 and welcome, everyone. It's going to be warm in
8 Washington today. I think it's supposed to get up to
9 88 degrees.

10 Angela, I don't know if we can get someone
11 to see -- is it warm in here? Are people feeling
12 warm? Comfortable? Warm? Maybe we could see if the
13 building could readjust the conditioning. Absent
14 that, I invite anyone, if you want to take your coat
15 off, please do so. We want to be comfortable in this
16 environment.

17 This is the first time we've had the
18 meeting in this facility. It's a new facility, and we
19 strive to have it in the ACRS room, but there was a
20 conflict with the room today. I just want to let you
21 know that I've had some meetings with John Larkins.
22 John is the Staff Manager that really runs the ACRS.
23 And John feels that we can -- we can get that room,
24 but I think what we have to do is the same as the ACRS
25 and ACNW does.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We're going to have to be able to schedule
2 ahead when we want to have the meetings. And if we --
3 if we get dates locked in that don't conflict with the
4 ACRS and ACNW meetings, which are held on the same
5 week every month, I think that we can do a better job
6 of getting that room. But absent that, I think we've
7 got a reasonable facility here today.

8 I just wanted to take a moment to also
9 apologize on behalf of the Commission for having to
10 move the Commission meeting until this afternoon. It
11 was originally scheduled for this morning. That was
12 kind of beyond our control and the Commission's
13 control.

14 Two Commissioners were summoned down to
15 Congress this morning and have to appear down there.
16 And what we thought it would be best to have is that
17 when you meet with the Commission you're able to meet
18 with a full complement with the Commission, especially
19 in light of the fact that the two new Commissioners
20 were the ones that were summoned downtown.

21 So it will give you an opportunity this
22 afternoon to -- to meet with the whole Commission, all
23 five, and it's been a while since we've had five
24 Commissioners. And I'm sure they're very interested
25 in hearing your remarks.

1 I want to -- I just want to give a note of
2 appreciation for the work that we've been doing over
3 the past year. I think we've made some significant
4 accomplishments, and I think you've made some
5 significant accomplishments helping us. We'll have
6 the opportunity to discuss some of those this
7 afternoon with the Commission, so I look forward to
8 that discussion.

9 Given the fact, Tom, that we're running a
10 little bit behind, let's move on with the agenda.

11 Again, welcome.

12 MR. ESSIG: Okay. We have set aside some
13 time this morning to -- to go over the Commission
14 briefing preparation. We have set that -- some time
15 aside until 9:00. The presentations that the three of
16 you will be doing -- Jeff Williamson has two, and Dr.
17 Eggli and Dr. Vetter each -- each have one.

18 And I believe at this point -- I mean, the
19 slides are -- have been given to the Commission, so
20 they're -- we really can't change what -- the content.
21 And so I think it's -- we could probably use our time
22 best by just quickly rolling through the slides.

23 And if anybody has any -- although we
24 can't change the content of the slides, we can
25 certainly, if we need to emphasize some points or --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or deemphasize some points, we can certainly do that.
2 So I think it would be helpful to have the -- any
3 members of the committee who feel that a certain
4 emphasis or deemphasis should be made, that we can do
5 that during the course of the presentation.

6 I would offer that three of the four areas
7 that we'll be talking about represent works that have
8 already been completed by the committee. They are
9 basically in -- in the past, and, of course, that
10 would be the -- the ICRP recommendations, which Dr.
11 Vetter will be presenting, and the St. Joseph Mercy
12 Hospital case that Dr. Williamson will be presenting.
13 And then, the other one that -- the fourth one -- or
14 the third one, I'm sorry, is the training and
15 experience criteria that Dr. Egli will be presenting.

16 All of -- those three are -- as I
17 mentioned, those are completed efforts of the
18 committee, and we thought it would be appropriate that
19 when we were asked for topics this year that we -- we
20 share with the Commission some of the -- or that the
21 committee felt it appropriate, through Dr. Malmud, to
22 share with the Commission efforts that had been
23 completed.

24 And then, one of them, the medical events
25 criteria, is a work in progress. And the only -- the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 only note -- well, on all of these presentations I
2 would emphasize that if there is some -- particularly,
3 I'll just highlight, for example, on the training and
4 experience, the Commission has voted. The rule is
5 final. It's been published, and the committee offered
6 its views to the Commission.

7 The views of the agreement states were
8 also offered on the number of hours of training and
9 experience, and the Commission elected to choose the
10 option for -- that the agreement states offered for
11 the authorized user training.

12 And so as I mentioned to Dr. Egli
13 previously, this is not the time to -- to present to
14 the Commission that -- I mean, you can walk through
15 the process that was used to present -- to formulate
16 the recommendations, and merely note that you had --
17 you had the opportunity to present the recommendations
18 of the Commission, but it won't serve any purpose if
19 you attempt to tell the Commission that -- that
20 they've made an error and it should rethink the issue.
21 I mean, they voted on it knowing full well -- having
22 the benefit of your -- of your views.

23 And, likewise, on the Medical Events
24 Subcommittee, that is a work in progress. We don't
25 have yet agreement amongst the subcommittee or the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 full committee. But we thought enough progress had
2 been made that it would be worth sharing with the
3 Commission. And I notice in Dr. Williamson's slides
4 that there are some recommendations, and we have to be
5 careful because these are not recommendations to the
6 Commission. They are recommendations from the
7 subcommittee to the full committee.

8 So I think, Dr. Williamson, as part of
9 your opening remarks, or when you -- when you come to
10 the point in the slide when you say recommendation,
11 make sure that the Commission understands that it's an
12 internal committee recommendation to itself.

13 MEMBER WILLIAMSON: I will.

14 MR. ESSIG: And so, with that, maybe we
15 should -- we should go ahead and -- what is the first
16 one that you have up there, Ivelisse? The first one
17 would be -- that is training and experience, I
18 believe. No, I'm sorry. That's the --

19 MEMBER WILLIAMSON: Tom, I would recommend
20 that --

21 MR. ESSIG: That's the medical event.

22 MEMBER WILLIAMSON: -- we not review the
23 medical events slides at this time, but use whatever
24 time savings we can to see if we can get our
25 subcommittee consensus reestablished, because the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 presentation I will make to this group is very quick,
2 because it is essentially equivalent the one I had for
3 the Commission staff.

4 MR. ESSIG: Okay.

5 MEMBER WILLIAMSON: Because I think a
6 major issue for that presentation is whether we have
7 even a subcommittee consensus at this time.

8 MR. ESSIG: Okay.

9 MEMBER EGGLI: Mr. Chairman?

10 MR. ESSIG: Yes.

11 MEMBER EGGLI: The iodine incidence will
12 not take its allotted full hour. So if this
13 discussion needs to roll over --

14 MR. ESSIG: Okay.

15 MEMBER EGGLI: -- the iodine incidence
16 could easily be done in 30 minutes.

17 MR. ESSIG: That's good to know. Thank
18 you.

19 Okay. So the first one that we have for
20 the Commission meeting this afternoon would be the
21 Part 35 training and experience rule, and that would
22 be Dr. Eggli. So if we can -- if we can call up that
23 presentation. Oh, the cap is -- oh.

24 (Pause.)

25 All right. I would suggest while we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 trying to -- while we're trying to work out
2 difficulties, we may have a corrupted file.

3 MEMBER EGGLI: Okay.

4 MR. ESSIG: We have hard copy of your
5 slides.

6 MEMBER EGGLI: Actually, they're not in
7 everybody's binder. Apparently, somebody put them in
8 your binder. My binder -- I have my copy, but they're
9 not in the actual binder that was distributed.

10 MR. ESSIG: Okay.

11 MEMBER EGGLI: But I can -- we can go
12 ahead. I mean, they were distributed in advance to
13 all the members.

14 MR. ESSIG: Yes. Why don't we go ahead.

15 MEMBER EGGLI: Okay. The presentation to
16 the Commission was designed to review the deliberation
17 process. And as Tom said, even though the decision is
18 -- has already been, you know, made, it was my
19 intention to review the thinking process that led
20 toward the committee's recommendations to the NRC
21 staff.

22 And as background, as part of the revision
23 of Part 35, ACMUI reviewed the training requirements
24 and experience for authorized users, for authorized
25 nuclear pharmacists, for radiation safety officer, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for authorized medical physicists.

2 The goal of ACMUI's recommendations for
3 training and experience requirement was to make the
4 requirement commensurate with the risk. And ACMUI
5 established a subcommittee to review the training and
6 experience requirements and make recommendations to
7 the entire committee. The goal was to make the
8 regulation risk-informed and performance-based rather
9 than proscriptive.

10 With the formation of the subcommittee,
11 the ACMUI discussion revolved around describing
12 elements of training. Who could provide the training?
13 Who could attest to the adequacy of that training?

14 The initial recommendations were that --
15 of the ACMUI were that the certifying board could
16 remain actively involved in the training and
17 certification process. An alternate pathway was
18 described for those individuals whose training and
19 experience did not lead to board certification.

20 With respect that -- with respect to the
21 training programs, ACMUI recommended that training
22 programs would be responsible for developing a
23 curriculum that would satisfy the broad educational
24 and experience objectives required in the regulation.
25 ACMUI did not recommend a specific time allocation for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 individual curriculum components, but, rather --
2 rather specified that content mastery should be the
3 basis of the performance regulation.

4 In dealing with the question of who can
5 attest to the mastery of a body of knowledge, ACMUI
6 felt that certifying boards would not be able to
7 actually certify competence, but could attest to
8 mastery of a body of knowledge. And this is typical
9 for certifying boards, is that their programs are
10 designed to deliver a body of knowledge and to
11 document mastery of that body of knowledge.

12 Certification has medical/legal
13 ramifications that were unacceptable to most of the
14 certification boards. With respect to that
15 attestation, ACMUI recommended that the attestation be
16 performed by training directors, since it was the
17 training director who was responsible for similar
18 attestations of training to the certifying boards.

19 However, the NRC subsequently determined
20 that the public interest would be better served by
21 requiring an authorized individual, in the case of
22 either the authorized user, the authorized medical
23 physicist, the authorized radiopharmacist, would be
24 the individual who would be in the best position to
25 provide that attestation of mastery of the body of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 knowledge.

2 During the Part 35 rulemaking process,
3 recommendations were offered for training requirements
4 for all of the categories of authorized individual.
5 And the ACMUI's recommendations were largely adopted
6 by the Commission. A proposed rule was published
7 based on ACMUI recommendations for a performance-based
8 regulation.

9 Subsequently, the organization of
10 agreement states expressed concern over authorized
11 user training and experience for requirements of
12 Subpart 200 and Subpart 300 uses. The concern hinged
13 specifically on the didactic requirement and not the
14 overall number of hours of training. The hour
15 recommendation was 700 hours.

16 In the rulemaking process, the total hours
17 required for training were reduced from 1,000 hours to
18 700 hours. The distribution of training hours was a
19 concern for ACMUI, particularly for the Subpart 200
20 and Subpart 300 uses.

21 In clinical practice in the United States,
22 70 percent of clinical and therapeutic nuclear
23 medicine is practiced by diplomats of the American
24 Board of Radiology, and it is their training
25 requirements which most carefully are designed to meet

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the NRC requirements. And this is because of
2 competing training demands for diagnostic radiology
3 residency, which is now currently one of the longest
4 residency programs in the country at five years for
5 baseline certification.

6 And there are 11 content areas that have
7 to be mastered during that training period, so that
8 most radiology residency programs will be tailored to
9 meet the NRC's requirement to develop authorized user
10 status within the training program, but probably not
11 in excess of that requirement.

12 The American Board of Radiology has
13 indicated that it intends to require training programs
14 to train their trainees to the level of certification
15 for Subpart 300, or therapeutic uses. The concern for
16 ACMUI was that because approximately 20 percent of all
17 radiology residents are not board certified
18 immediately on completion of their training program
19 that training directors will have to train radiology
20 residents to the ultimate pathway requirements in
21 Subpart 300, or the Subpart 390 requirements for the
22 alternate pathway.

23 Some of the most talented radiologists I
24 personally know did not make their board certification
25 the first time around, and then there would be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 period of a year or more during which these diplomats
2 would be unable to become authorized users, if they
3 were not trained to the alternate pathway
4 requirements. So that the American Board of Radiology
5 will require its training programs to train its
6 diplomats to the Subpart 390 alternate pathway
7 requirements.

8 In its discussions, ACMUI felt that the
9 200 hours of didactic requirement for Subpart 300 uses
10 was excessive and recommended a didactic component,
11 which now is defined as classroom and laboratory, of
12 closer to 80 hours.

13 ACMUI was concerned about a negative
14 impact of 200 hours of requirement, because, again,
15 that would shorten the clinical time spent to
16 approximately 500 hours. And since nuclear medicine
17 is different than most of diagnostic radiology, where
18 nuclear medicine is physiologic rather than anatomic
19 imaging, and nothing else in the radiology residency
20 reinforces that physiologic process, that the time
21 spent in developing clinical competence would be
22 truncated by the -- by the long didactic requirement.

23 There is also potentially a cost
24 associated with the additional didactic training that
25 will have to be borne by the training programs. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in the current medical environment, those costs are
2 not compensated.

3 The components of didactic and classroom
4 training are not well defined, and that was the
5 initial intent of ACMUI in its recommendation, that
6 when a program was performance based that it is the
7 responsibility of the training programs to define
8 their programs.

9 However, as the requirement becomes more
10 defined and less performance based, it becomes more
11 important to define what didactic or classroom and
12 laboratory training actually is. Dorland's Medical
13 Dictionary defines didactic as conveying instructions
14 by lectures and books rather than by practice.

15 As a result, there will be some potential
16 for misunderstanding of the intent of the requirement,
17 and training directors need to be certain that the
18 programs they design will meet the requirement of the
19 regulation.

20 And as a result of our further discussion
21 with NRC staff, we would ask that -- that these
22 requirements be defined adequately so that training
23 directors do not have uncertainty about what elements
24 of a training program will be accepted to meet the
25 Subpart 200 requirements and which training components

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 will not be.

2 As a person who has to design such
3 training programs, this is of critical importance to
4 me. I do not want to send a preceptor statement
5 forward to later discover that the 200-hour training
6 program that I designed for my trainees was not
7 adequate. This is an area I think that requires
8 further discussion and some degree of resolution with
9 NRC staff.

10 Thank you.

11 MR. ESSIG: Okay. Comments on Dr. Eggli's
12 presentation?

13 DR. MILLER: I'll kick it off.

14 MR. ESSIG: Okay.

15 DR. MILLER: Dr. Eggli, you're making a
16 recommendation that we have further dialogue on
17 basically the guidance that's given. Do you have any
18 -- I would be interested in the committee's thoughts
19 on how we might go about doing it.

20 MEMBER EGGLI: For this committee, and not
21 in front of the Commissioners, essentially what we've
22 done is we've taken a performance-based regulation and
23 made it proscriptive. And I think that if you're
24 going -- if we're going to make the regulation
25 proscriptive, we need to define the components.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I need to know how many hours of lecture
2 I have to provide, and for what is called laboratory
3 experience what elements comprise laboratory
4 experience. You know, is it -- is it participation in
5 surveying? Is it experience in the hot lab? Is it
6 operation of the instrumentation? On a practical
7 basis, what counts?

8 And I think -- truthfully, I think you
9 need a detailed list of what counts, so that I know
10 what I need to include, because truthfully it's going
11 to be extremely difficult for me to get to that 200-
12 hour mark in any kind of meaningful fashion.

13 One of the problems that I have is that
14 radiology residents aren't very interested in nuclear
15 medicine. And the more that I put them out into this
16 practical laboratory experience with stuff that they
17 perceive as busy work, the less likely they are to be
18 fired up by many of the new and interesting things
19 that are happening in the field of nuclear medicine.

20 So I have to try to design a training
21 program that will hold their interest and yet comply
22 with the letter of the regulation, because I think at
23 this point compliance with the spirit of the
24 regulation is inadequate.

25 MR. ESSIG: Mr. Lieto?

1 MEMBER LIETO: I would like to echo Dr.
2 Eggli's comments, and I think one of the things that
3 -- and I don't know if he wants to include this as
4 part of the presentation, if it will have value or
5 not, is the fact, in going from this non-proscriptive
6 performance-based requirement in the regulations that
7 this 200 hours really had never gone out for comment.

8 It was basically a discussion and
9 recommendation from the ACMUI. So you really never
10 had the opportunity for this to go out to the
11 regulated community it's going to effect for comment.
12 So it's something that -- that I think NRC staff and
13 the NRC needs to be aware of.

14 And my second comment was, to follow up
15 how this is going to be documented, that Dr. Eggli
16 just brought up, is will those activities that are not
17 NRC regulated activities -- could they be included?
18 And that's why I think now that you've gone to this
19 very proscriptive requirement, we're going to really
20 need to know, in these training programs, you know,
21 what's going to be acceptable and what's not going to
22 be challenged.

23 MR. ESSIG: Dr. Williamson?

24 MEMBER WILLIAMSON: And I think in the
25 interest of quality medical education and health care,

1 you should strive to allow them to include as many
2 meaningful things in this lecture or laboratory format
3 as they can, and not force them to spend 200 hours on
4 how to survey a box of equivalent things that -- you
5 know, to -- you know, to overemphasize anyway
6 relatively straightforward technical matters and allow
7 them to be able to include other things such as
8 probably case presentations and other areas -- other
9 topics where the technical and clinical kind of blend
10 together.

11 MR. ESSIG: Dr. Eggli?

12 MEMBER EGGLI: And, again, if we look at
13 the -- the requirements for education and training for
14 the more limited uses, which include radioiodine
15 therapy by people who are only doing radioiodine
16 therapy, the requirement for didactic and classroom
17 training is significantly less.

18 So what we are doing, in part, the
19 Part 300 uses, is we are making a different
20 requirement ostensibly to cover the same material that
21 requires a much lower requirement if all I do is that
22 alone. And it seems if all I'm doing is that alone,
23 you know, the risk to the public is no different if I
24 do iodine therapy in isolation or if I do iodine
25 therapy in conjunction with other radionuclide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 therapies and clinical nuclear medicine.

2 So we've got a -- a double standard here
3 in regulation that I think is a real problem.

4 MR. ESSIG: Other comments?

5 MS. SCHWARZ: Sally Schwarz.

6 MR. ESSIG: Sally?

7 MS. SCHWARZ: I would like to make one
8 additional comment. Dr. Siegel is very concerned
9 about the number of hours increasing from 80 to 200.
10 And just specifically, you know, the amount of what
11 exactly is going to be added, just as is being
12 mentioned both by Jeff and by Doug, that it would be
13 helpful to exactly know what can be included to
14 increase that training to 200 hours. And cost
15 effectively it's going to be problematic to be able to
16 come to those hours and not take away from the
17 clinical training, if you're adding that much into the
18 didactic coursework.

19 MR. ESSIG: Okay. Thank you.

20 Dr. Eggli?

21 MEMBER EGGLI: One last comment. My
22 concern is we're going to turn out physicians who are
23 well trained in safety and inadequately trained for
24 clinical practice.

25 MR. ESSIG: Okay. Other comments?

1 All right. We'll move on to the next
2 topic, then. Oops. I'm sorry.

3 DR. MILLER: Before we do, I think that we
4 -- you know, I think we need to establish some kind of
5 path forward. The Commission has decided on the
6 regulation. You're bringing concerns to the table
7 that you've aired before that I assume that you will
8 air with the Commission this afternoon.

9 MEMBER EGGLI: I won't present to the
10 Commission anything more than I did in the formal
11 presentation.

12 DR. MILLER: Okay. But I think, from my
13 perspective, we need to hammer this out, you know, and
14 I just throw this out as a thought process. I think
15 a way to do that would be to have the committee
16 engaged with the staff in trying to determine what
17 regulatory guidance and what it should look like.

18 That said, what I think we also need to
19 do, we need to get the agreement states engaged again,
20 because they were big voices in -- in the
21 determination and the Commission -- weighing in the
22 Commission's decision.

23 While there's representation on the
24 committee from the states, unfortunately Mr. Bailey
25 couldn't be here today. But I'm just interested in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 your thoughts on that. I mean, it would seem to me,
2 you know, it means a spirited dialogue. It means a
3 lot of negotiation, and it means, you know, getting
4 the parties to the table to try to hammer it out if
5 we're going to get there with regard to guidance,
6 because the devil sometimes is in the details.

7 MR. ESSIG: I think Mr. Lieto was first,
8 and then Dr. Eggli.

9 MEMBER LIETO: Well, I agree that I think
10 the guidance is going to be the next battleground, if
11 you will, on implementation of this training and
12 education.

13 One thing that I'm a little bothered by is
14 that when we had the discussion, both in the
15 teleconference and I think in a subsequent meeting, my
16 impression -- and it was, again, my opinion -- is that
17 the 200 hours was not a problem with the agreement --
18 was really an issue with only a couple agreement
19 states that wanted this, and that generally from Mr.
20 Bailey my impression was that the agreement states did
21 not have a problem with our recommendation.

22 So there has been I think some dynamics
23 that have gone on that this committee is not aware of
24 to get an understanding of why we're at this -- you
25 know, this difficulty that we're at right now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So I think I agree that we have to have
2 the agreement states, but I -- involved, but I think
3 there also needs to be some understanding that when
4 the agreement states are having input it needs to be
5 understood that the input that we're getting is going
6 to reflect what the actual overall opinion is of the
7 agreement states, because I don't think that was the
8 case.

9 MR. ESSIG: Well, I would offer that
10 whenever we have an issue that goes to 33 agreement
11 states, we never have unanimity of views. And we try
12 as we can to -- to work through the OAS Executive
13 Committee, Organizational Agreement States Executive
14 Committee, and they present to us a view which is
15 reasonably a consensus view. But I completely agree
16 that there are a number of states that may have not
17 had a problem with the 80 hours.

18 And then, there were a number of rather
19 vocal ones that -- that preferred the 200 hours and
20 had a -- and had a basis -- they articulated a basis
21 for it. So I understand how we got where we are, and
22 we'll just have to work on the guidance. As we've
23 said, the devil is in the details, and we'll have to
24 talk about that.

25 Dr. Eggli, you had comment?

1 MEMBER EGGLI: I was going to comment
2 something similar to what Ralph had just said, but
3 that, again, it was our understanding from Mr. Bailey
4 that it was specifically two of the 33 agreement
5 states who had a serious problem with this, and that's
6 a very small subset of the total. And it's kind of
7 the tail wagging the dog, in a sense.

8 And I don't -- you know, there is a
9 serious economic impact here, and there is a serious
10 medical education impact here. And, again, I think
11 that a lot of this discussion happened almost out of
12 sight, and this committee certainly didn't have an
13 opportunity to discuss the recommendation or have any
14 dialogue with the OAS.

15 And I think maybe a format would be to set
16 up some kind of a -- some kind of an opportunity to
17 have discussion between the ACMUI and the members of
18 the agreement statement organization, so that, one, we
19 can share our concerns with them, they can better
20 understand the impact of the recommendation they have
21 made.

22 And I'm not sure they fully understand the
23 impact of the recommendation they made on a downstream
24 basis, both economically and educationally. And to
25 see if in the regulatory space, in the guidance space,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 then, if we can come up with a reasonable agreement
2 between ACMUI and the agreement states.

3 I'm certainly willing to have that kind of
4 discussion with the agreement states, and have a good
5 give and take as to what we're really trying to
6 accomplish here, because I know that our goals are the
7 same. I know that we and the agreement states want to
8 achieve the same thing.

9 We come at it from very different
10 perspectives, and I think it would be very useful for
11 us to fully understand their perspective. And I think
12 it would be very useful for the organization of
13 agreement states to fully understand our perspective
14 and our perceptions of the impact.

15 MR. ESSIG: Dr. Williamson?

16 MEMBER WILLIAMSON: Well, I'm wondering if
17 perhaps a working group with the -- the three affected
18 stakeholders, if I might call them that -- NRC, the
19 agreement state representatives, and I think some
20 representatives from the nuclear medicine community
21 who are involved in developing educational standards
22 -- and you have the opportunity for more extensive
23 discussions and the opportunity to provide -- develop
24 some sort of a product or draft guidance that could
25 then be reviewed in more detail here. Maybe that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would be a faster, more appropriate vehicle for this
2 process of reeducation rather than a one-hour session
3 before the ACMUI.

4 MR. ESSIG: Okay. Thank you.

5 The record will note that Dr. Malmud has
6 now joined us. I can -- I may relinquish my job as
7 Acting Chair of the ACMUI to him and would ask, Dr.
8 Malmud, that you just reposition the microphone that's
9 in front of Dr. Suleiman, so that you may -- you may
10 use it.

11 Just so that you know where we are on the
12 agenda, we are going through the Commission briefing
13 preparation, and we've heard from only Dr. Eggli at
14 this point. And next on the -- Dr. Williamson has
15 asked that the medical event reporting issues be -- be
16 done last of the -- for the purposes of this dry run,
17 and that next we could go to Dr. Vetter on his ICRP --
18 his review for the ICRP 2005 recommendations.

19 And we have done this at the request of
20 the Advisory Committee on Nuclear Waste. We met,
21 discussed the -- the draft recommendations, and then
22 Dr. Vetter carried the views of this committee forward
23 to a special meeting of the ACMUI.

24 So, Dr. Vetter?

25 MEMBER VETTER: Thank you very much.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We discussed this last fall, and then I --
2 as Tom mentioned, I carried our views forward to a
3 meeting of the ACNW, and what I will be sharing
4 basically is a boiled-down version of that
5 information.

6 So what I'll be sharing with the
7 Commissioners is that our comments will be limited to
8 items of greatest interest to us. The recommendations
9 are quite extensive, and so we'll be -- we simply
10 don't have time to talk about everything. We'll make
11 no comments about environmental recommendations.

12 One of the things that -- one of the
13 issues that ICRP has been emphasizing in its reports
14 is the issue of justification -- relative to medical
15 exposure is justification. ICRP takes the view that
16 justification of practice lies mostly with the
17 profession rather than government, and the
18 justification of the procedure falls on the
19 practitioners. And ACMUI agrees with that position.

20 Restriction -- ICRP spends considerable
21 time talking about the concept of constraints, and in
22 some cases constraints are a fraction of the limit.
23 In other cases, constraints are limited to the dose
24 that's acceptable to an individual person or the most
25 highly exposed person, and that might actually be more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 than a limit.

2 So the discussion of constraints tends to
3 be a little bit confusing. They do, however, state
4 rather clearly that they consider achieving
5 constraints to be an obligation, and that a program
6 that exceeds constraints fails.

7 And it's ACMUI's point of view that
8 failure -- characterizing exceeding constraints as a
9 failure is very negative -- creates a very negative
10 measure. It could actually be counterproductive, and
11 we think that the use of the word "failure" when
12 characterizing a program should be limited to the
13 limits and not to constraints.

14 Just an example of the use of a
15 constraint, ICRP recommends that constraint for the
16 fetus of a declared pregnant worker should be one
17 millisievert. In this country currently, we have a
18 limit. It's a limit; it's not a constraint -- a limit
19 of five millisieverts for the fetus of a pregnant
20 worker. That has been in place for many, many years.
21 ACMUI considers that to be safe. It's a very small
22 fraction of the threshold at which developmental
23 effects occur, and the risk of cancer in childhood as
24 a result of this sort of an exposure is very, very
25 small, perhaps negligible or zero.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So we think one millisievert may be an
2 appropriate ALARA goal for some, but it should not be
3 used as a constraint.

4 Just to try to put this into perspective,
5 typical doses to people working in medicine in a
6 cardiac lab are 10 to 50 millisievert to the badge,
7 but in nuclear medicine it's -- well, it's 10 to 50
8 millisievert to the badge.

9 The -- it's very easy to constrain, if
10 you will, the dose to the abdomen of someone in a
11 cardiac lab, because the energy of the radiation is
12 quite low, and a half-millimeter lead equivalent apron
13 takes out 97 percent of the -- attenuates 97 percent
14 of the scattered radiation.

15 So it's rather easy to keep the doses
16 below five millisievert. In fact, most doses to the
17 abdomen are closer to zero in a cardiac lab.

18 In nuclear medicine, the doses typically
19 do not exceed five millisievert to personnel. So,
20 consequently, keeping the dose to the abdomen is not
21 difficult. However, in the emerging field of PET, we
22 -- first of all, we're dealing with a very energetic
23 radiation of 511 KEV, which is almost an order of
24 magnitude greater in energy than the typical energy in
25 a cardiac lab. So it's very penetrating. There is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 nothing you can do in terms of personal protection --
2 personal protective equipment to try to reduce the
3 dose to the abdomen.

4 It basically would require removing the
5 individual from that area if you wanted to reduce the
6 dose. So with typical procedures of tens of
7 millisievert to the badge of someone working in PET,
8 the dose to the abdomen is going to greatly exceed
9 five millisievert. And medical centers are going to
10 have to work hard even now to keep doses to the
11 abdomen less than five millisievert for pregnant
12 workers.

13 So using a constraint of one would clearly
14 require us to remove people from that working area.
15 There is no accommodation that could be made, and this
16 actually would be very disconcerting for those people
17 who had to be removed, and it would be very difficult
18 for employers.

19 The ICRP also uses the concept of
20 constraint for public dose limits, and they use this
21 in two different ways, which, again, confuses the
22 issue a little bit. For some members of the public,
23 they actually use a constraint that exceeds the limit.
24 In this case, they say that a few millisievert may be
25 reasonable for some of these cases, but that we should

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 -- but that regulators should not be rigid in applying
2 that constraint.

3 So, for example, the NRC limits the
4 radiation exposure to a member of the public to five
5 millisievert when that member of the public could come
6 in contact with a radioactive patient that's been
7 released from a hospital, the most common case being
8 use of radioiodine to treat thyroid cancer.

9 So the limit that the NRC uses is five
10 millisievert. If we review the NCRP recommendations,
11 they also recommend five millisievert to be used in
12 general, but they also say that this in some cases
13 could be -- up to 50 millisieverts could be allowed if
14 those members of the public are instructed and
15 monitored.

16 For example, if you have a child who -- or
17 an elderly member of the family who is treated and
18 needs considerable care at home, that those members of
19 the public should be allowed to receive more than five
20 millisievert -- up to 50 -- if they are instructed on
21 how to minimize the radiation exposure and if they are
22 monitored. And the ACMUI considers that to be good
23 guidance.

24 In another case, the ICRP uses constraints
25 to reduce exposures below the one millisievert limit,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and ACMUI considers this -- the use of the constraint
2 in this case to be very problematic in medicine, and
3 it could result in exorbitant costs -- for example, in
4 the shielding of facilities.

5 NCRP's position is that a -- they don't
6 use the word "constraint." They describe it more or
7 less as a sublimit. They say that, in general, a
8 sublimit of .25 millisievert should be used when
9 making plans that result in exposure of the public,
10 but that in some cases that should be exceeded, and
11 you could design -- for instance, in the design of
12 medical facilities, you could design those facilities
13 to a limit of one millisievert, if you're using -- if
14 you're designing those facilities in accordance with
15 the NCRP recommendations, because there is
16 considerable conservatism built into that formula.

17 ACMUI's position on this is that ALARA
18 still works, and we think that programs that use ALARA
19 seriously will keep exposures way below one
20 millisievert to members of the public, and we do not
21 believe that a fraction of the -- a constraint should
22 be built into the regulations to force medical
23 facilities to reduce exposures to individual members
24 of the public even further.

25 NCRP has recently addressed this issue.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In a position statement that was published in 2004,
2 they reiterate that the limit to members of the public
3 should be one millisievert, that in some cases this
4 could -- this should be increased to five -- and this
5 is to a very small number of people in this country
6 actually, and that would be -- for example, it would
7 be for caregivers of radiation therapy patients,
8 radioiodine patients, for example, and that they also
9 reiterated that the limit could be 50 millisievert in
10 extreme cases, such as a child who had been treated
11 with radioiodine, if the parents or caregivers had
12 been properly trained and monitored.

13 Now, just to summarize some of the issues
14 relative to these limits that ICRP is recommending, we
15 consider that the limit of one millisievert per term
16 for a pregnant worker to be very, very problematic,
17 especially in emerging modalities where radiation
18 exposures could be -- will be -- are considerably
19 higher than that -- for example, in PET.

20 We're talking about a very small number of
21 people. We're not talking about large numbers of
22 people where we're trying to effect a limit. So we
23 consider the risk, number one, to be very low to the
24 individual, and the number of individuals to be very
25 low.

1 ICRP is also recommending a general
2 reduction after they made this recommendation
3 previously, and they are reiterating the
4 recommendation that workers have a limit of 20
5 millisievert. And we consider this to be problematic
6 for certain areas of medicine, PET being the most
7 notable.

8 So ACRP -- ACMUI supports the NCRP
9 recommendation and the current NRC annual limit of 50
10 millisievert.

11 In conclusion, we find that the proposed
12 constraints are very confusing, and in some areas
13 would be particularly problematic. We also consider
14 that the proposed occupational limits are problematic
15 for some modalities.

16 Even though the average exposure to the --
17 or the typical exposure to the average member of the
18 worker population of medicine is a very, very small
19 fraction of the limit, there are a few individuals
20 where we are -- we already crowd that limit, and it's
21 absolutely necessary in order for us to deliver
22 adequate medical care.

23 CHAIRMAN MALMUD: Thank you.

24 Are there any comments for Dr. Vetter?

25 MR. ESSIG: Dr. Malmud, I just had one,

1 and that is the slides that you were using are -- you
2 have some additional slides beyond those that you had
3 given to us earlier that we had sent to the
4 Commission. So we'll have to have copies of those
5 slides made.

6 MEMBER VETTER: I'm sorry, I'm confused.
7 Relative to the Commission? The Commission report?
8 I didn't send any additional --

9 MR. ESSIG: No, I'm sorry. To the
10 presentation for the Commission this afternoon, there
11 were -- you had furnished some slides previously. We
12 had six of them at least that are in the -- that are
13 in the -- the notebook that I have that reflects what
14 -- what went to the Commission. And there are some
15 additional slides, so we'll probably need to get -- we
16 will need to get copies of those -- of those made.

17 MEMBER VETTER: I don't have -- I'm sorry,
18 I'm way off track. I don't even know what you're
19 talking about. I don't recall sending any additional
20 slides for the Commission. They were edited. The
21 ones I originally sent were edited.

22 MR. ESSIG: Okay. Well, I can -- I can
23 show you what --

24 MEMBER VETTER: Yes, okay.

25 CHAIRMAN MALMUD: Tom, are you requesting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a complete set of these slides?

2 MR. ESSIG: We will need to have --
3 because I believe what went to the Commission is what
4 we had been given earlier, which were six slides, and
5 --

6 CHAIRMAN MALMUD: That which would address
7 the need now is a copy of these slides?

8 MR. ESSIG: Yes. So we'll --

9 MEMBER VETTER: My understanding was the
10 slides that I just projected is what was sent to the
11 Commission.

12 MR. ESSIG: Okay. Then --

13 MEMBER VETTER: That's my understanding.
14 I could be in error.

15 MEMBER EGGLI: You're using the set that
16 Angela sent back?

17 MEMBER VETTER: I'm sorry?

18 MEMBER EGGLI: You're using the set that
19 Angela sent back?

20 MEMBER VETTER: I'm using the set that
21 Angela sent to me. There was nothing in my -- our
22 packets on what was --

23 MEMBER EGGLI: I actually printed what
24 Angela sent you, and what you projected matches.

25 MEMBER VETTER: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. ESSIG: Then, maybe what I have in
2 this notebook, then, is -- is not truly reflective of
3 what went to the Commission. It was my understanding,
4 so -- there were six of them in there, so maybe there
5 isn't a problem.

6 CHAIRMAN MALMUD: Who would know?

7 MR. ESSIG: Angela.

8 CHAIRMAN MALMUD: Angela. So we'll wait.

9 MR. ESSIG: She'll be back.

10 CHAIRMAN MALMUD: Thank you.

11 Any other items of discussion with Dr.
12 Vetter?

13 If not, having heard from Dr. Eggli and
14 Dr. Vetter, may we move on to Dr. Williamson.

15 MEMBER WILLIAMSON: Okay. I guess the --
16 what you'd like me to do is just rehearse my talk on
17 dose reconstruction. I, first, have a question of
18 clarification. Who was the chair of the Dose
19 Reconstruction Subcommittee?

20 MR. ESSIG: Dr. Malmud.

21 MEMBER WILLIAMSON: Okay. So this was --
22 is in error, then.

23 MR. ESSIG: Yes. You had asked me, and I
24 had sent an e-mail to you, and I gave you --

25 MEMBER WILLIAMSON: I didn't get that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. ESSIG: Okay.

2 MEMBER WILLIAMSON: I sent two versions.

3 MR. ESSIG: Give me a makeup.

4 MEMBER WILLIAMSON: All right. Okay.

5 MR. ESSIG: Yes. You were the -- you did
6 most of the technical work for the -- for the
7 subcommittee, but Dr. Malmud was the -- was the listed
8 chair.

9 MEMBER WILLIAMSON: All right. Do you
10 wish to correct this slide for them -- for the
11 Commissioners, or what should we do?

12 MR. ESSIG: We can probably --

13 CHAIRMAN MALMUD: I don't believe that the
14 slide needs correctly. Dr. Williamson did the vast
15 majority of the work, and I'm more than happy for his
16 name to appear there.

17 MR. ESSIG: Okay. Fine.

18 MEMBER WILLIAMSON: Okay. All right.
19 Well, in this presentation, I will give a brief
20 overview of the recommendations in ACMUI's report on
21 dose reconstruction.

22 Contrary to the first slide, Dr. Leon
23 Malmud was actually chairman of our group.

24 Our charges were to independently review
25 Region III's dose evaluation for an incident that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 occurred at St. Joseph's Hospital in Ann Arbor,
2 Michigan. In addition, we were to review the
3 alternate dose reconstruction methodology published in
4 a letter to the editor by Drs. Marcus and Siegel, and,
5 finally, we also made some general recommendations
6 regarding dose reconstruction for our incidents.

7 Our full membership is listed here. And,
8 again, I emphasize that Dr. Malmud was the chair.

9 To briefly review the incident under
10 consideration, nearly 300 millicuries of I-131 was
11 orally administered to a patient who subsequently
12 developed impaired kidney function. The patient's
13 daughter allegedly spent six to 21 hours per day in
14 very close proximity to the patient over a time period
15 of six days.

16 Region III's estimate of the dose received
17 by the daughter was 15 rem. The Society of Nuclear
18 Medicine report by Drs. Siegel and Marcus basically
19 claimed that this assessment was too conservative by
20 factors of 1.6, 7.1, or 17, depending upon which
21 features of their arguments were invoked.

22 The next slide -- let's see here, catch
23 up. Can you move it to -- okay. This slide
24 illustrates our methodology. We carefully reviewed
25 the Region III calculations, along with the article

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 published by Drs. Marcus and Siegel.

2 You know, in addition, we performed some
3 of our own calculations, including limited Monte Carlo
4 simulations. We interviewed the current St. Joseph's
5 Hospital radiation safety officer, and the Region III
6 inspectors who wrote the report. And, in addition, we
7 reviewed additional documents provided to us by St.
8 Joseph's Hospital.

9 This slide summarizes our findings.
10 Basically, we felt that the 15 rem dose -- the amount
11 calculated by Region III -- was the most conservative
12 estimate possible that is not totally implausible. We
13 did feel that some more sophisticated techniques,
14 including distance reconstruction, were useful and
15 helped us come to a more realistic interpretation of
16 the measurements.

17 So the bottom line is is that given the
18 dwell-time scenario -- that is, the amount of time
19 Region III believed the daughter was in close
20 proximity to the mother -- our estimate was nine rem.

21 I think one of the more interesting
22 features of the cases is that St. Joseph's Hospital
23 disputes Region III's dwell times scenario, basically
24 claiming that portable lead shields were used by the
25 daughter 50 percent of the time. If so, according to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 our calculations, this would reduce the deep dose
2 equivalent, or DDE, to four to six rem.

3 One of our recommendations is -- or
4 conclusions is -- that the inspection report should
5 have acknowledged and justified rejection of the St.
6 Joseph's Hospital scenario.

7 I need to pay attention to what slide I'm
8 on here. Okay.

9 The critique by Drs. Siegel and Marcus
10 contains several points, many of which we agree with
11 in general terms. One of their recommendations is is
12 that more sophisticated dose reconstruction tools
13 should be used, such as dose reconstruction.

14 They also recommend that effective dose
15 equivalent, not deep dose equivalent, should be used
16 as the regulation endpoint. The practical difference
17 between these two measures is is that EDE represents
18 dose average over the body core, whereas deep dose
19 equivalent is approximated by maximum dose to the body
20 core.

21 However, we felt that the methodologies
22 used in the Siegel/Marcus critique were overly
23 simplistic, so we do not accept their particular
24 factors of 1.7 to 17. The next slide, which you can
25 see in the notes, we list the differences between the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Society of Nuclear Medicine document and our factors.
2 Rather than 4.3 for distance reconstruction, our
3 estimate is 1.5; EDE versus DDE factors, 4 versus 6.8;
4 various other factors, they claim 50 percent we didn't
5 think were correct.

6 So our general recommendations are is that
7 we agree with the general point of the Siegel/Marcus
8 critique that more sophisticated dose reconstruction
9 tools are indicated when doses are near their
10 regulatory limit, when the licensee disputes the NRC
11 dose reconstruction methodology or scenario, when the
12 plausibility of the dose reconstruction assumptions,
13 using more standard and simple techniques, are
14 suspect, or data are not available to justify them.

15 Then, you know, I think more sophisticated
16 tools to attempt to reconstruct some of the data are
17 useful. Also, when the usual approximations, such as
18 inverse square law, are suspect, more sophisticated
19 tools are indicated.

20 Continuing with our recommendations, per
21 document RIS 0304, we agree with Siegel and Marcus
22 that EDE should be used as the dose reconstruction
23 regulatory endpoint for Part 20 compliance in
24 scenarios such as the St. Joseph's Hospital.

25 For disputed dose reconstructions, EDE or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DDE ranges should be used, and acknowledgement and --
2 of alternative reconstruction scenarios proposed by
3 the licensee should at least be mentioned and
4 justification contained in the report for dismissing
5 them.

6 Finally, ACMUI believes it is very
7 important that NRC devise some sort of practical
8 system for exempting caregivers from the 500 millirem
9 limited when -- limited when warranted by humanistic
10 or medical consideration.

11 Thank you.

12 CHAIRMAN MALMUD: Thank you, Dr.
13 Williamson.

14 Are there any comments for Dr. Williamson?
15 May I make one? As I recall, having read all of the
16 documents associated with the incident, the caregiver
17 had been warned or admonished by the then current
18 radiation safety officer at St. Joseph's that she was
19 exposing herself to an excessive burden of radiation,
20 and the caregiver said that that was a risk she was
21 willing to take because it was her mother, and she
22 wanted to be close to her. Do I recall correctly?

23 MEMBER WILLIAMSON: I believe that is
24 correct, yes.

25 CHAIRMAN MALMUD: May I, therefore,

1 suggest that in your very introductory slide that you
2 discuss the issue that you comment that despite
3 warnings and admonitions from the radiation safety
4 officer, the caregiver decided to do that, because
5 that's a critical issue that I believe the committee
6 chair should be aware of, because this is an incident
7 in which a radiation safety officer gave adequate
8 information to the caregiver, and the caregiver made
9 a conscious decision not to adhere to the regulations.

10 And also, we are told not to use the lead
11 shielding that was provided for her, thereby creating
12 a real management problem for the hospital -- namely,
13 how does one deny a daughter access to a dying mother
14 when the daughter says, "I don't care what the rules
15 are. I'm going to do it anyway"?

16 MEMBER WILLIAMSON: Yes.

17 CHAIRMAN MALMUD: So it just might be
18 worthwhile inserting "despite" at --

19 MEMBER WILLIAMSON: So I will say,
20 "Despite admonitions from the RSO regarding radiation
21 burden and the need to use shields, the daughter
22 consciously rejected these instructions." And I'm on
23 firm ground saying that, Ralph? Okay.

24 CHAIRMAN MALMUD: Does the rest of the
25 committee agree with the insertion of that comment?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER WILLIAMSON: I think that that's a
2 very good idea.

3 CHAIRMAN MALMUD: Thank you.

4 Any other comments for Dr. Williamson?

5 MEMBER NAG: I think we should make a
6 comment that the -- we should give a dose guideline.
7 However, is there real harm done to a person if you
8 are exceeding the guideline? Like, for example, when
9 you have a chest X-ray or a barium enema, you are
10 getting a larger exposure than recommended for the
11 general public.

12 So I think we may want to make that clear
13 -- that if they make this decision, and it is -- a
14 barium enema for health reasons, and here it's for the
15 humanistic reason, we should make that apparent.

16 CHAIRMAN MALMUD: Yes, Dr. Suleiman?

17 MEMBER SULEIMAN: I've expressed my
18 opinion I think previously, and I'll reiterate it
19 here. Medical patients are exempt, because the
20 benefit -- and if you go through the drill -- always
21 exceed the nominal radiation risk. Occupational
22 workers, the general public, clearly outside the
23 direct -- they're not direct beneficiaries.

24 I believe that a caregiver is a member of
25 the family or a very close individual. It really is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a unique category. They shouldn't be lumped together
2 with one group nor the other, and I think the NCRP has
3 guidance that addresses this, the current ICRP has
4 guidance, and so my professional opinion is that doses
5 can be kept reasonable, but you have to be
6 compassionate and make a decision.

7 So I would be careful about using the term
8 "exempt caregivers." Exempt them from what?
9 Unlimited dose? I think a facility could be negligent
10 if they allowed somebody to receive an extraordinarily
11 high radiation dose, but I think the way the practice
12 is it's not a case, should there be a limit, the
13 question is what should the limit be.

14 MEMBER WILLIAMSON: Well, I will make a
15 reference to Dr. Vetter's presentation which will tie
16 this recommendation to his presentation. I don't
17 think we should, you know, expend huge time on this
18 presentation, which is past business and now I hope
19 relatively uncontroversial, because we have more
20 controversial matters to discuss. I think we should
21 -- well, I'll put in my last slide and make a
22 reference to Dick's position.

23 CHAIRMAN MALMUD: Any other comments with
24 reference to this presentation? Then, we'll move on
25 to the next one. Dr. Williamson?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER WILLIAMSON: The next one. Well,
2 what I would recommend, rather than rehearsing my
3 mission talk, is that we basically proceed to discuss
4 the medical event issue in general. And I can give
5 the presentation I have designed for this group, which
6 is very similar, to -- to start with.

7 The reason for suggesting that, I think
8 that, you know, it has turned out in recent days what
9 we thought was a subcommittee consensus appears no
10 longer to be a subcommittee consensus. So, you know,
11 I think it just would be more productive for us to
12 spend time figuring out to what extent we do have a
13 consensus, so that I know how to temporize my
14 presentation to the Commission this afternoon.

15 CHAIRMAN MALMUD: Please present it as you
16 will.

17 MEMBER WILLIAMSON: Okay. So we want to
18 now go to the slides that I had designed for this
19 group -- not that one, no. The original set of slides
20 that I prepared for the ACMUI. Is that a problem?

21 MR. ESSIG: They should be on another --
22 because he submitted them previously.

23 MEMBER WILLIAMSON: I have them here on
24 this flash drive.

25 MR. ESSIG: Okay. We have copies of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 those, do we not?

2 CHAIRMAN MALMUD: Dr. Nag?

3 MEMBER NAG: I have a feeling we are not
4 going to solve the issue in the next 20 minutes or so
5 that we have. It might be better if we go over the
6 slides that will be presented to the Commission, so we
7 can say no, this is not something we should represent,
8 or we should. Otherwise, if we rehash, in 20 minutes
9 we are not going to have any consensus.

10 CHAIRMAN MALMUD: How many minutes do you
11 think it would take to present this first group of
12 slides that you wish to show, Jeff?

13 MEMBER WILLIAMSON: Probably about 10 or
14 15 minutes.

15 CHAIRMAN MALMUD: Well, perhaps we can
16 compromise and allow Dr. Williamson to present this
17 with a discussion not to exceed 15 minutes of the
18 first set, so that we can move directly into the
19 second set, which will be that which we expect to be
20 presented to the Commission. How does that sound to
21 you? Ralph?

22 MEMBER LIETO: I would -- I would agree
23 with that. And I was just thinking that maybe, if
24 Jeff is in agreement, that as he goes through the
25 slides just point out this -- which slides would not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be in the Commissioners' presentation. It will give
2 us an idea of what would be expected to be in there.

3 CHAIRMAN MALMUD: Dr. Diamond?

4 MEMBER DIAMOND: I was just saying that's
5 an official way to address the issue. If there are
6 certain slides being included or excluded, point those
7 out. It will speed up the process.

8 CHAIRMAN MALMUD: Dr. Williamson is
9 currently occupied trying to get that presented. So
10 I'll ask him the question as soon as he's free.

11 MEMBER DIAMOND: Jeff, the suggestion was,
12 as you're going through these -- these slides, just
13 point out to the committee which ones are being
14 included and which ones are not being included.

15 MEMBER WILLIAMSON: I'll be happy to do
16 that.

17 CHAIRMAN MALMUD: All right. Dr.
18 Williamson, it was suggested while you were occupied
19 that it might be most efficient for you to present the
20 longer set of slides, just indicating which ones would
21 and would not be presented to the Commission.

22 MEMBER WILLIAMSON: Okay. I will be very
23 pleased to do that.

24 CHAIRMAN MALMUD: That would save us the
25 time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER WILLIAMSON: Yes.

2 CHAIRMAN MALMUD: Thank you.

3 MEMBER WILLIAMSON: Okay. All right.

4 Well, this summarizes our charge, as I understand it.
5 I think this is straightforward. We were to evaluate
6 the appropriateness and justification of the 20
7 percent threshold in the medical event rule, how best
8 to communicate risk, and per this group we were to
9 focus on the permanent interstitial brachytherapy
10 modality primarily, and identify problems in the
11 current ME rule and some proposed solutions.

12 So here is the history of our
13 deliberations. We have two closed subcommittee
14 conference calls and two noticed public calls with the
15 entire ACMUI. At the last -- second-to-the-last of
16 these we had a consultant, Dr. Louis Potter, who was
17 very helpful in bringing the group to some consensus
18 at that time, and we developed a set of
19 recommendations to be presented at the ACMUI.

20 In the last week, Dr. Subir Nag, in
21 response to my request that he develop a draft report,
22 has now indicated he has significant reservations with
23 a few -- with some of the recommendations. So it's
24 not clear what the status of our consensus is anymore.

25 Okay. So what I was going to do is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 basically three things -- review some of the -- some
2 background information in permanent seed brachytherapy
3 for the benefit of the whole group, review the
4 consensus we had achieved to date, and review the
5 issues still under discussion or to be discussed.
6 These slides were, of course, made before receiving
7 Dr. Nag's communication late last week.

8 Okay. So this illustrates what the
9 procedure looks like for prostate brachytherapy. We
10 are talking about prostate brachytherapy because it is
11 by far and away the most commonly practiced form of
12 permanent seed implant. Indeed, with approximately
13 40- to 50,000 procedures a year, it now appears to be
14 the most frequently practiced indication for all forms
15 of brachytherapy.

16 So the basic approach is a trans-rectal
17 ultrasound device is used to dynamically image the
18 prostate, as you can see here in the cross-section of
19 the patient. Rigidly attached to this rectal
20 ultrasound probe is a big template, which is hard to
21 see with the lights on here, has a series of holes
22 that direct needles containing the seeds in a
23 direction that is parallel to the probe.

24 The probe can take either transfer images
25 as illustrated here, or in some cases longitudinal and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 possibly three-dimensional reconstructions. So this
2 illustrates more graphically -- no pun intended -- how
3 the procedure looks. Here is the probe, here is the
4 thick plate. There is a series -- matrix of holes
5 corresponding to these dots, which, when the operator
6 looks at the ultrasound image, illustrate the
7 different positions in which needles can be inserted.

8 For those of you who have not seen seeds,
9 this is what they look like, approximately a quarter
10 of an inch long.

11 Okay. With that introduction, I thought
12 it would be helpful to understand the procedure flow,
13 at least the most common form of procedure used. So
14 it's divided into three parts -- preplanning, source
15 placement, and host procedure dose evaluation, which
16 occur at different times.

17 The preplanning occurs generally one to
18 two weeks or so before the actual procedure, and it
19 consists of basically setting up the patient and
20 performing what is called a TRUS -- trans-rectal
21 ultrasound volume study. So the delivery device is
22 used to obtain images with the grid points shown on
23 them, but no seeds are placed at this time.

24 The prostate volume and critical anatomy
25 is contoured by the physician. Dosimetry data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 prescribed dose are input into a program. Preplanning
2 occurs, dose distributions are reviewed, and the
3 outcome of this procedure is basically the source
4 strength, the number of seeds, the source arrangement
5 -- all the things you need as the basis of a written
6 directive.

7 So this illustrates what the output of a
8 preplan would look like. You can see that the sources
9 are arranged in a very idealized matrix that can never
10 be realized exactly in practice, and then there is a
11 list of instructions indicating what the sequence of
12 seeds and spacers are to be loaded in each of the
13 needles.

14 Okay. So continuing on, then, with the
15 chronology of the procedure, the patient comes to
16 treatment. Every effort is made to reproduce the
17 ultrasound probe in the same orientation. Imaging --
18 under image guidance, then the needles are inserted
19 one by one and retracted, depositing the seeds. And
20 this is kind of an iterative process.

21 So -- let's back up three slides. There.
22 Thank you.

23 Okay. This is followed, then, by post-
24 procedure dose evaluation, usually performed by X-ray
25 CT imaging. This can occur zero to five weeks after

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the implant, depending upon the practice, patterns,
2 and logistic constraints of the individual
3 practitioner.

4 Its purpose -- imaging is done, prostate
5 is contoured, and then the dose, as actually
6 delivered, is estimated. And, you know, at this
7 point, then, in the conventional practice the written
8 directive could be completed.

9 So the seed insertion procedure -- a
10 number of things can happen. It's very difficult to
11 reproduce the anatomy of the patient. The prostate
12 may be deformed and displaced. It may be smaller, for
13 example. Seed needle insertion causes prostate
14 swelling. There may be needle insertion constraints
15 which were not appreciated during the preplan.

16 The bottom line is is that the authorized
17 user must be forced -- must be free to adapt the
18 preplan to the anatomy as actually imaged during the
19 procedure, which can differ significantly from the
20 preplanned anatomy, upon which the original written
21 directive was based.

22 This illustrates what a post-procedure
23 dose evaluation looks like on CT. You can see the
24 seeds are much more irregularly placed, indicating,
25 you know, the difficulties in literally executing the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 preplan. And this is probably a reasonably well-done
2 implant.

3 I'll say this -- the post-implant doses --
4 for example, the dose covering 90 percent of the
5 target volume -- are viewed by the community as the
6 most definitive estimate of delivered dose, and this
7 is the endpoint that would be entered into at multi-
8 institutional clinical trials, for example.

9 So moving on to the medical event
10 definition, the current medical event definition
11 states, "A medical event equals an administration in
12 which the delivered versus the prescribed dose differ
13 by 50 rem and 20 percent, or dose to an extra target
14 site that wasn't planned, exceeds the planned dose by
15 50 rem and 50 percent." These are the two rules, and
16 this is the -- where we started our critique.

17 So the first question is: is the 20
18 percent level justifiable? For temporary implants,
19 the -- let me emphasize, these are recommendations of
20 the subcommittee to the full ACMUI. They have not yet
21 been acted upon by the ACMUI, or transmitted to the
22 staff in the form of a formal report. So this
23 represents an update.

24 For temporary implants, the group felt
25 that 20 percent is a reasonable regulatory action

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 level, only if it is understood as a QA performance
2 indicator, not as a patient harm index. For permanent
3 implants, the belief is is, no, this is not
4 appropriate. In many situations, the 20 percent
5 threshold is comparable to the variations encountered
6 in routine clinical practice.

7 For this reason, in general, we feel that
8 the dose-based medical event definition really is not
9 workable for prostate implants, and I'll go into the
10 reasons a little more.

11 The rationale is basically that the
12 variability in post-implant CT versus written
13 directive dose comparisons encounter several
14 difficulties. It's based upon different imaging
15 modalities -- preplanning and interoperative placement
16 is based on ultrasound, whereas post-planning is based
17 on X-ray CT.

18 The literature documents that there can be
19 up to 50 percent differences in the volume of the
20 structures on these two imaging modalities due to the
21 limited soft tissue contrast of X-ray CT. There are
22 large operator-to-operator CT contouring variations as
23 a result of not being able to clearly see the boundary
24 of the prostate on X-ray CT.

25 There is a long and variable interval from

1 the time the implant is made to the time a dose is
2 calculated based on post-planning. Then, of course,
3 there are the legitimate preplan modifications that I
4 mentioned. So all of these add up to a significant
5 likelihood of there being a discrepancy close to 20
6 percent on post-planning versus the written directive,
7 which is based upon the preplan.

8 Other permanent implant issues is the
9 written directive definition for all other
10 brachytherapy is -- currently allows the authorized
11 user to specify the number of sources and dose, or,
12 equivalently, total source strength, at any time post-
13 implant, and this is because the rule basically
14 defines the -- requires the authorized user to
15 complete the written directive only after the dose is
16 delivered, which in the case of a permanent implant is
17 essentially forever.

18 Another problem is the wrong site medical
19 event, which the subcommittee believes is
20 unenforceable. The problem is is that small errors in
21 seed position can introduce big dose changes to dose
22 volumes. So there are always -- probably in any
23 implant there is at least some small bit of tissue
24 where the 50 percent and 50 rem threshold is exceeded,
25 if you compare the preplan to the post-procedure plan.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, finally, to cover the target, it is
2 necessary to implant, on occasion, seeds in normal
3 periprostatic tissue, which may not be reflected in
4 the preplan. And this is not a mistake. This is a
5 legitimate adaptation to the situation that the
6 radiation oncologist finds at the time.

7 MEMBER NAG: And while you have that
8 slide, I think I need to make a comment. The previous
9 slide. yes.

10 The Rule 35.40(b)(6) -- actually, it does
11 not allow an authorized user to make a decision. The
12 decision is to be made before -- before the implant,
13 and you can make an oral directive. And I think I
14 need to make a presentation of my own on this.
15 Otherwise, people have doubt and confusion.

16 MEMBER WILLIAMSON: Well, I think that --
17 why don't I finish this, and then we discuss I think
18 the remaining issues. That's the point.

19 Okay. Moving on, so the proposal, at
20 least as of a week ago, which the subcommittee more or
21 less unanimously agreed upon at that time, was that we
22 would define "medical event" in terms of where the
23 sources are implanted, rather than the dose delivered.

24 So recommendation 1 was, for permanent
25 implants, require that the written directive specify

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the total source strength and number of seeds, in
2 contrast to the current definition, which -- and
3 interpretation which allows either absorbed dose or
4 total source strength to be the specifier.

5 The second recommendation was to replace
6 both the wrong site and target volume medical event
7 definitions -- this is now only for permanent implants
8 with the following -- medical event occurs if: a) the
9 total source strength implanted exceeds the written
10 directive by 20 percent, or the total source strength
11 implanted in the target volume specifically as opposed
12 to the surrounding tissue deviates by the written
13 directive by more than 20 percent.

14 So this was intended to cover both wrong
15 site and primary dose delivery error pathways in the
16 current rule. And it allows, essentially, 20 percent
17 wiggle room on placing sources outside the specified
18 target volume, in order to achieve a reasonable dose
19 distribution.

20 Third recommendation was to amend 35.40(c)
21 and (b)(6) -- I believe that should be (ii) -- to
22 require completion and any revision of the written
23 directive within one working day of source insertion.

24 What is the rationale for these? The
25 major rationale is is determining the fraction of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 seeds in the target is much less variable than
2 comparing doses. This is something that we believe
3 can be done interoperatively with prostate implants
4 using ultrasound visualization that is available at
5 the time, thereby obviating the need to compare two
6 plans based on different imaging modalities that may
7 be separated from one another by many weeks.

8 The third reason is is that limiting --
9 the final rationale is is that limiting written
10 directive revisions to a time point of 24 hours
11 reduces the opportunity for abuses -- that is,
12 egregious revisions of the written directive made many
13 months later, whose sole purpose is to avoid reporting
14 the event as a medical event.

15 The fourth recommendation is is that
16 medical events should be treated strictly as QA
17 performance surrogates and divorced from patient harm.

18 So the two consequences of this, we
19 believe -- one is is that limit the patient and
20 relatives' reporting requirement to those MEs that
21 involve harm or potential harm to the patient, and
22 simply are not technical errors. Second major point
23 is is to model NRC medical event performance on
24 industry quality assurance practices.

25 So what is the rationale for this?

1 Medical event reporting is perceived as an invitation
2 for regulatory burden, negative public exposure, and
3 increased liability. And the current reporting rule
4 places the authorized user in a dilemma when he or she
5 believes that reporting to the patient may be
6 medically contraindicated. Then, the physician is
7 faced by a dilemma of medical need of the patient
8 versus preserving confidentiality of the patient's
9 medical information.

10 The industry practice is well codified in
11 AAPM and ACR recommendations, but it is based on three
12 rod principles. Errors alone are not grounds for
13 punishment. We want people to report them, so that
14 they can come to light in the system improve.

15 Error reports are used to improve the
16 overall process. And, thirdly, QA deliberations are
17 not discoverable for the purposes of any form of civil
18 litigation.

19 Unresolved issues are: should dose
20 calculation errors affecting the source strength
21 written directive be exempt from regulatory review?
22 This is something that is currently covered by the
23 medical event reporting rule and the misadministration
24 rule before it, that essentially whatever technical
25 activities are interposed between the physician's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 clinical intent and the final realization or delivery
2 of the treatment are fair game for these regulations.

3 So a proposal that has yet to be discussed
4 is the following -- is to add to the above
5 recommendations a new medical event pathway that would
6 cover errors made in dose calculation that are limited
7 to preplanning. So, therefore, a medical event could
8 be any calculation error leading to an error in source
9 strength specification ultimately written in the
10 written directive that is greater than 20 percent.

11 This has the advantage of decoupling it
12 from all of the difficulties of post-implant planning
13 by focusing only on the intellectual process that
14 occurs prior to source delivery.

15 So other medical event issues include: is
16 the current wrong site medical event criterion
17 workable and justifiable for other types of
18 brachytherapy and external beam treatments? This
19 issue whether it should be dealt with is -- is yet to
20 be discussed.

21 That concludes my presentation.

22 MEMBER DIAMOND: So, Jeff, perhaps it
23 would be helpful now to highlight the one or two key
24 issues of potential difference for the committee as a
25 whole?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER WILLIAMSON: Yes. I -- I can try
2 to summarize. I think that the two main ones are --
3 is if we were to return to my slide where I had the A
4 and B part of the proposed medical event definition.
5 Dr. Nag rejects having the Part B. He would like
6 medical event to read basically, "A medical event
7 occurs if, and only if, the source strength implanted
8 in the target deviates from the written directive by
9 more than 20 percent."

10 MEMBER NAG: I propose that we postpone
11 any discussion. I think I need to present before we
12 comment.

13 Before I start, I would like to, you know,
14 state that we had a subcommittee. The people who were
15 in the subcommittee -- the only one who was working
16 with prostate implant and permanent implant on a day-
17 to-day basis was myself as a physician, and Dr.
18 Williamson as a physicist. The other subcommittee
19 members have not been doing permanent implant.

20 I felt that I needed to get opinion of
21 practicing radiation oncologists, so I took a copy of
22 the American Board -- I mean, American Brachytherapy
23 Society board meeting to present it at the board to
24 get feedback from 12 people who are doing permanent
25 implant every day.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The other thing I would like to mention is
2 that the American Brachytherapy Society has set up
3 standards for permanent prostate brachytherapy and
4 permanent prostate brachytherapy dosimetry, and I am
5 the chair of both of those committees. I'm also on
6 the committee under ACR that sets up the performance
7 on permanent brachytherapy now. I think -- your
8 comments I think would be in place.

9 A few things -- although Dr. Williamson
10 said that permanent prostate brachytherapy --
11 permanent implant is mainly for prostate. Whatever
12 recommendations we make should be applicable to all
13 permanent brachytherapy, because if you make the rule
14 for permanent brachytherapy only because of prostate,
15 and it may not apply to others, then you'll have a
16 major problem for people who are doing implants in
17 other parts other than the prostate.

18 The second thing is that although Dr.
19 Williamson mentioned only about the preplanned method,
20 there are many methods of doing prostate implant. The
21 majority are slowly shifting from a preplan to an
22 interoperative planning system where all the planning
23 is done in the operating room in real time.

24 The other significant difference I have is
25 that I went back in the Federal Register to see the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 actual wordings of similar things, and I'm going to
2 put those wordings in here, because I think it depends
3 partially on how the wordings are interpreted.

4 Can we have the next slide?

5 By the way, Dr. Williamson recommends you
6 are also a member of the subcommittee.

7 I am going to -- now, in addition to the
8 meeting that we had, Dr. Louis Potter came as an
9 expert consultant, and he was present only for part of
10 the meeting. So really the whole discussion was not
11 held with him being there.

12 We had the input of expert radiation
13 oncologists on March 24th, which is why many of these
14 things are coming after the report and meeting of the
15 13th. And I'd like to summarize combined opinion
16 expressed in the subcommittee as well as in the expert
17 radiation oncologist meeting.

18 Now, right now the written directive, as
19 it states, is that before implantation at the site,
20 the radionuclide and dose, and before completion of
21 the procedure, the nuclei equipment site, number of
22 sources, total source strength, exposure time, or
23 total dose.

24 Now, this requirement I think appropriate
25 for temporary and removable implants, so I think we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are all in agreement with that. The subcommittee
2 members all agreed with that. The only extra comment
3 that the practicing radiation oncologists want to make
4 that -- is that even in temporary implants there are
5 many places that are doing a source strength base that
6 is milligram radium -- are in written directive.
7 Therefore, we should not exclude a source strength
8 based written directive.

9 Right now, the way the new rule is made,
10 for all implants it has to be dose based. So this is
11 an extra suggestion that was made by the practicing
12 radiation oncologist.

13 Next, again, majority of the people felt
14 that the dose-based written directive had some
15 problems in permanent implant, because, number one,
16 theoretically, the implant continued to radiate
17 indefinitely. And, therefore, you cannot define when
18 the procedure needed to be completed.

19 And the other thing that we will show you,
20 and as Dr. Williamson had mentioned, depends on a lot
21 of factors including the volume, the demand, and so
22 on, and, therefore, the authorized user had less
23 control on the final dose.

24 You have me as a practicing radiation
25 oncologist -- not how much we are putting in, but what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 -- but not what the resultant dose will be. And let's
2 see what happens.

3 That represents where the prostate volume
4 is on CAT scan. Now, in a normal prostate, even
5 before you do an implant, is A the prostate or B the
6 prostate? We think we know, but we don't, because, as
7 I will show you, in brachytherapy, the top
8 brachytherapists in the country would not agree.

9 What we had in a meeting about three years
10 ago was to ask the top 10 brachytherapists in the
11 country to draw out the prostate, and we had
12 significant difference. And that difference was
13 increased when you are doing it in a post-implant CT,
14 because in addition to the differences in prostate
15 volume, you have edema and hemorrhage and seed
16 artifact.

17 So, therefore, any of these circles could
18 be the prostate according to some people.

19 We had the panel meeting in New York, and
20 what we did was we superimposed the prostate on top of
21 each other. There were, I think, 10 or 12 of us
22 there. And we were all told to draw the prostate.
23 Number one, these are the 10 different circles that
24 were drawn by different radiation oncologists and
25 physicists to indicate the prostate at the base, to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 indicate the prostate at the apex, look at the
2 difference.

3 In the mid-plan that was the least
4 different. In the mid-plan, we somewhat agreed on
5 where the prostate is. And what -- how did that
6 matter? Well, depending on which circle you are
7 drawing, you are going to have variation in the
8 prostate volume for each patient. Number one, let's
9 see the numbers.

10 Case number one, one patient, the range of
11 volume varied from 41 to 63; number two, from 27 to
12 39. So even though on the same patient different
13 radiation oncologists are saying that the volume is
14 different, so what? If the volume is different,
15 depending on which contour you are taking, you are
16 going to say that the patient got a different dose.

17 The isotopes -- the second one is 200
18 percent. This is 150 percent, and the most outside
19 one is 70 percent. So, therefore, if you do a volume
20 that was smaller, and you will see that the patient --
21 that same patient got 150 percent dose, where if you
22 had gone a slightly bigger circle you would have had
23 -- it only got less than 80 percent. So, therefore,
24 on the same patient you would have misadministration.

25 With that, the variation in the D-90 dose,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the target dose normally is 125. Therefore, with the
2 20 percent deviation, if it's less than 116 or more
3 than 174, you will consider that a medical implant.
4 And here, on that same patient, just see the dose. So
5 it depends on whose volume you are looking at. You
6 are going to call many of these patients medical
7 implant when they are not.

8 This one entered the group, and basically
9 it said the same thing, that you are going to have
10 variation, that they are going to be called medical
11 implant. And why are these? Because it -- in a
12 normal prostate, it's difficult to say what is
13 prostate, what is the muscle, what is the venous
14 plexus, neurovascular bundle, part of the bladder, and
15 the urogenital diaphragm, how much is due to edema,
16 seed artifact, and volume gain with time, because once
17 you have edema the edema will resolve over the next
18 one month. And depending on when you are drawing the
19 volume, you are going to have a different result.

20 Therefore, I think we all agreed that we
21 should specify for permanent implant the treatment
22 site, the radionuclide, and the total source strength
23 rather than the dose.

24 Now, in the Federal Register, 10 CFR 35,
25 it states, "Verbal order can be used to modify written

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 directive if significant change from preplanning
2 occurs during the brachytherapy procedure."

3 So, therefore, I think that the
4 misunderstanding that you are allowed to change any
5 time you would like, no, you are only allowed to make
6 the change while you are doing the procedure. It can
7 be a verbal order, and you have up to 48 hours to put
8 that verbal order into writing.

9 Why? Because when we are doing this, we
10 are scrubbed, we are in the OR, we cannot just sign
11 during our implantation procedure. So the law allows
12 us to revise that procedure verbally while we are
13 doing it, but then to put it in writing within 48
14 hours.

15 So I do not know where this 24-hour rule
16 came from, and I do not know where the thing came from
17 that you can revise any time you'd like. If you don't
18 like your implant, a month later you can revise it.
19 I don't see anywhere in the 10 CFR 35 that allows you
20 to do that.

21 And, therefore, according to 35.40(c), the
22 revised written directive should be signed within 48
23 hours of the verbal order.

24 CHAIRMAN MALMUD: Excuse me, Dr. Nag. May
25 I just ask you a question?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER NAG: Yes, sir.

2 CHAIRMAN MALMUD: In the treatment of the
3 prostate with brachytherapy, there are three possible
4 dose estimates. One is pre-treatment, one is during
5 treatment, and one is after treatment.

6 MEMBER NAG: Yes.

7 CHAIRMAN MALMUD: How is the pre-treatment
8 dose calculated? What's it based upon? A CT?
9 Ultrasound?

10 MEMBER NAG: In most cases, it is based on
11 the ultrasound. However, some people do it based on
12 CT.

13 CHAIRMAN MALMUD: Does anyone use any
14 other imaging modality?

15 MEMBER NAG: MRI.

16 CHAIRMAN MALMUD: MR. So that the pre-
17 treatment dose may be based upon ultrasound, CT, or
18 MR.

19 MEMBER NAG: Yes.

20 CHAIRMAN MALMUD: Of those three, in your
21 opinion, which is the most specific? Accurate?

22 MEMBER NAG: The most accurate -- if you
23 want, we can show you -- is the MRI. However, the MRI
24 is not widely available. In fact, I know it's
25 available in only one or two centers in the country

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that are doing an MRI-based.

2 CHAIRMAN MALMUD: So if we were presenting
3 this to a group of individuals, educated but not
4 familiar with this subject, we should probably inform
5 them that the -- there are three times at which the
6 dose is estimated. The pre-treatment dose, which is
7 based upon either ultrasound or CT, and in some cases
8 MR, depending upon the imaging modalities available to
9 the radiotherapists at the institution in which the
10 patient is being treated.

11 Then, during -- the second set of dose
12 estimates is during treatment, and that is measured
13 with ultrasound, with a trans-rectal ultrasound.

14 MEMBER NAG: In most places, except some
15 places do it with MRI, and a few places do it with CT.

16 CHAIRMAN MALMUD: During treatment?

17 MEMBER NAG: During, yes.

18 CHAIRMAN MALMUD: Let me --

19 MEMBER NAG: And the other thing is, many
20 places, including myself, do not do a preplan, because
21 we do everything in the OR. You know, there is now a
22 change in implanting which obviates the preplan.

23 CHAIRMAN MALMUD: And if I may just finish
24 my series of questions. And the third dose estimation
25 is post-treatment, and that's done with what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 modalities? Ultrasound again, CT, and MR, or just CT?

2 MEMBER NAG: In most places it is CT-
3 based. But, again, in some places, they are doing it
4 real-time immediately after on ultrasound or on MRI.
5 So, again, it could be either, but most places CT.

6 CHAIRMAN MALMUD: So if I -- so informing
7 a well-educated group who is not familiar with
8 prostate brachytherapy, we could say very concisely
9 that, apparently, in most institutions, estimates of
10 the dose are made at three times -- prior to
11 treatment, during treatment, and after treatment.

12 There are three modalities that can be
13 used at any one of these three times. Most often, the
14 techniques are CT and ultrasound, though MR is
15 becoming used more frequently.

16 The resolution of MR is superior to that
17 of CT and ultrasound in differentiating the prostate
18 from the adjacent tissues.

19 MEMBER NAG: Yes.

20 CHAIRMAN MALMUD: Fair statement?

21 MEMBER NAG: Yes. Except one other thing
22 is that in many places instead of doing it three
23 different times they're compressing all of the three
24 into one session interoperatively, so that you are
25 doing it before the implant but only a few minutes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 before the implant. And in post-plant, instead of
2 doing it hours, you are doing it a few minutes after
3 the implant.

4 CHAIRMAN MALMUD: So that the current
5 state of the art in the United States is for three
6 measurements -- pre, during, after -- in some
7 institutions all of these are compressed to the
8 treatment time itself. And that there are three
9 different modalities used -- ultrasound, CT, MR -- and
10 these have varying degrees of resolution.

11 And, therefore, depending upon which
12 modality is used, and which technique is used, there
13 may be significant variations in the dose estimates.

14 MEMBER NAG: Yes.

15 CHAIRMAN MALMUD: From institution to
16 institution. And also, within the institution, if the
17 dose estimates are based upon different imaging
18 modalities at different times, not to mention the fact
19 that during the procedure and after the procedure
20 there is some anatomic distortion due to swelling and
21 due to the implants themselves.

22 MEMBER NAG: Right.

23 CHAIRMAN MALMUD: Now, if I were sitting
24 there as a novice listening to what I just said, I
25 would say to myself, "Are we really ready to establish

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 criteria for what is or is not an inappropriate dose?"
2 I mean, we have such variation in practice among
3 outstanding practitioners at leading medical
4 institutions in the United States. Are we ready to
5 establish strict criteria? That is a question which
6 I didn't mean to answer, but --

7 (Laughter.)

8 MEMBER DIAMOND: Thank you for that non-
9 rhetorical question. I think the bottom line is that
10 the current definition is not workable. Therefore, if
11 the current definition is not workable, can we go and
12 strive to find a better set of guidance and
13 definitions, realizing how imperfect it may be?

14 With response to one of the other comments
15 you made, Subir, your comment that we should try and
16 strive for a set of guidelines that encompassed the
17 entire realm of permanent implants, I would say that
18 would be a nice goal but is not necessary in that 99
19 point something percent of the total permanent
20 interstitial implants performed in the United States
21 are directed towards the prostate.

22 I think if we could go and find something
23 workable for the prostate, I think that would be very
24 helpful.

25 MEMBER NAG: I agree with you, except that

1 if you make a set of guidelines that is only
2 applicable to the prostate, then you exclude people
3 from doing implant in other sites. And what I'm
4 saying is we can very easily make our guidelines such
5 it is applicable to the prostate and for any other
6 permanent implants.

7 CHAIRMAN MALMUD: Dr. Williamson?

8 MEMBER WILLIAMSON: Yes, I'd like to make
9 a comment. The intent was, of the current proposal
10 summarized in my slides, for it to be applicable to a
11 broad range of permanent implant sites.

12 You know, I think all of us on the
13 subcommittee recognize that the prostate is kind of an
14 exception, both by virtue of its frequency, but also
15 the fact that it is the procedure where physicians
16 have the most experience integrating image guidance
17 into the process.

18 And there are other procedures where this
19 cannot happen, and what constitutes a target volume is
20 much more fuzzy. And, therefore, you know, the
21 enforcement criteria and review criteria have to be
22 commensurate with the level of uncertainty in routine
23 clinical practice and basically adjudicating these
24 regulations.

25 I wish to make one technical correction to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 your summary of Dr. Nag's presentation.

2 CHAIRMAN MALMUD: Yes.

3 MEMBER WILLIAMSON: He is right,
4 certainly, that when there is interoperative planning
5 everything is compressed into a short time period.
6 But in the conventional paradigm, there is only two
7 dose calculations usually. There is preplanned dose
8 calculation and a post-planned dose calculation.

9 Generally speaking, unless you're doing
10 the full-blown interoperative planning, there isn't
11 dynamically updated dose calculation during the
12 procedures. Certainly, one -- some can do that, but
13 it's not part of the minimum standard of practice.

14 CHAIRMAN MALMUD: So, then, it would be
15 more accurate to say that currently, in the United
16 States, dose estimates are obtained at one of the
17 three times -- pre-treatment, during treatment, or
18 after treatment -- during any one to three of those
19 periods of time. And the modalities used are CT,
20 ultrasound, MR, all of which have different
21 resolutions and different qualities and advantages and
22 disadvantages.

23 MEMBER WILLIAMSON: Yes.

24 CHAIRMAN MALMUD: All right. Now, having
25 said that, I have two questions, one coming from Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Diamond's comment, one from Dr. Nag. What's the
2 objection to trying to develop a standard for prostate
3 that may eventually, not immediately, be applicable to
4 other organs? Why must we do it for all rather than
5 just one?

6 MEMBER NAG: If we cannot -- we are not --
7 it doesn't apply for permanent prostate -- for
8 permanent implants. If you do your guideline for
9 permanent implant that is applicable only in the
10 prostate, you will then exclude people who are trying
11 to do implant at other sites.

12 The major difference being that in the
13 prostate you have a specified volume, whereas if you
14 -- if you make your guideline only targeted to the
15 prostate you are going to exclude people who do
16 implants on tumor bed after reception. So the tumor
17 is gone, and you are now trying to implant the tumor
18 bed, and you are going to exclude those. So --

19 CHAIRMAN MALMUD: Perhaps I didn't express
20 myself well. What I meant to ask is: why couldn't a
21 set of guidelines be established for the prostate with
22 the existing guidelines still applicable to other
23 organs until such time as we first resolve whether or
24 not we can deal with the prostate issue.

25 It's almost like, well -- excuse me. Mr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Lieto?

2 MEMBER LIETO: As a member of the
3 subcommittee, I totally disagree with Dr. Nag and the
4 point he just made, because what we're talking about
5 are reporting requirements. There is nothing that
6 this subcommittee is doing is going to affect the
7 practice of putting implants into other areas.

8 What we're talking about is simply: when
9 does this need to be reported to the NRC? In other
10 words, so that -- how do we set these guidelines or
11 these levels such that they are not -- such that they
12 can't be enforced, which is the current problem -- one
13 of the current problems that we're facing as a
14 subcommittee right now and trying to be addressed.

15 So, again, I think we're talking apples
16 and oranges here. There is nothing in this discussion
17 or in the presentation that Jeff made that would
18 affect putting implants into lung tumors or brain
19 tumors or anything else with the --

20 MEMBER WILLIAMSON: Or tumor beds.

21 MEMBER NAG: And I would like -- I have a
22 few more slides, and then we can continue with that.

23 Now, what I'd like -- a written directive
24 for permanent implant would be based on prescribed
25 dose. However, if you do that, then, in the example

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we showed you, a dose of less than 116 or more than
2 174 will be considered a medical implant, whereas it's
3 just a normal variation of satisfactory implants.

4 There was also a suggestion made to place
5 a single prescribed dose with the dose range for
6 permanent brachytherapy procedure, that instead of
7 saying, you know, 140, it goes from 100 to 150. That
8 was unanimously rejected, so that's not a problem.

9 Now, appropriateness of the 20 percent
10 criteria -- medical implant results, if the total dose
11 deferred from prescribed dose by 20 percent or more,
12 this 20 percent figure, where did it come from? It
13 came from -- ordinarily from the external beam and the
14 Cobalt-60 administration data.

15 There was really no evidence-based
16 criteria for returning the 20 percent. It was
17 retained because that is what it was in the prior
18 versions. We really don't know whether the variation
19 of more than 20 percent will cause harm to the
20 patient, because it depends on what site, what
21 modality, what volume was radiated, and what was the
22 dose given to the normal tissue rather than the dose
23 given to the tumor.

24 For example, you can give double the dose
25 to the tumor. So long as the dose to normal tissue is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not exceeded, you are not going to cause any harm.

2 The 20 percent criteria, the subcommittee
3 opinion was that 20 percent dose was reasonable,
4 action level for reporting QA significance, for
5 temporary implants, for external beam, and unsealed
6 pharmaceutical administration, so long that the
7 medical implant reporting is not automatically treated
8 as an indicator of potential medical harm, which is
9 what we all agreed upon.

10 Now, for permanent implant at 20 percent,
11 it is not justifiable, and Dr. Williamson, the way it
12 was stated by the subcommittee, was that to define ME
13 excluding seed migration and patient intervention if
14 total source strength implanted anywhere in the
15 patient exceeds written directive by more than 20
16 percent, or total source strength implanted in the
17 planned target volume deviates from the written
18 directive by more than 20 percent.

19 When I presented this to the radiation
20 oncologists, there were significant problems. And
21 what -- the overall feeling is that we can still use
22 the wording that is very similar to that written in
23 the 35 -- 10 CFR 35, and just change a couple of
24 words, so we can say something like this. "The
25 medical implant result, if the total source strength

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 intent of the dose -- if the total source strength
2 implanted into the treatment site -- we felt just keep
3 the word "at the treatment site" rather than talking
4 about planned target volume, because that can differ
5 between different radiation oncologists.

6 It deferred from the prescribed source
7 strength by 20 percent or more. And it will not be
8 considered to be a medical implant if the deviation
9 resulted from patient intervention or due to seeds
10 that were implanted in the treatment site but
11 subsequently migrated outside the treatment site.

12 All locations already in the 10 CFR 35
13 show instead of trying to make -- by trying to make
14 major changes you make things worse. We said that if
15 you just change those wording to the total source
16 strength, it will apply for permanent implant, and we
17 felt this would be a better way to go than trying to
18 coordinate planned target volume and make the 20
19 percent or more, because once you say that the dose
20 strength implanted into the treatment site deferred
21 from the prescribed source strength by 20 percent or
22 more, it will include someone who is trying to add
23 more seeds, because you are now adding or you are
24 giving a prescribed -- you are giving a dose that is
25 already 20 percent more. So we felt this would cover

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 both the implants and make it a lot simpler.

2 CHAIRMAN MALMUD: Thank you, Dr. Nag.

3 May I ask the committee a question?
4 Having heard professional disagreement regarding the
5 rewriting or making a recommendation to the NRC, how
6 many of you feel that we are currently prepared to
7 present this to the NRC as a completed document of the
8 ACMUI?

9 MEMBER NAG: I don't.

10 MEMBER WILLIAMSON: Who believes that we
11 are ready to make that presentation? Do you, Ralph?

12 MEMBER LIETO: Well, I -- I want to kind
13 of -- do you want a yes/no?

14 CHAIRMAN MALMUD: Yes, because we have a
15 meeting this afternoon with the Commission, and
16 they're expecting to hear a report.

17 MR. ESSIG: But not a completed report.
18 This is one of the four items that was listed as a
19 work in progress. And it seems to me what we're
20 trying to do here is to -- we have an hour and 45
21 minutes on the agenda tomorrow to discuss this topic.

22 And we're trying to squeeze everything
23 into this, which I wanted to make the point while I
24 have the microphone, I had a phone call during the
25 presentation that reminded me that the chairman has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 instituted a new procedure for presentations. There
2 will be a green, yellow, and red light on the table,
3 and what you want to avoid, of course, is the red
4 light. And you do that by -- we have an hour and a
5 half total

6 The Commission reserves half of that time
7 -- namely, 45 minutes -- for questions and answers.
8 That leaves, for four presentations, 45 minutes. Dr.
9 Malmud will make some opening remarks, which will
10 maybe be a minute or so. So let's take 44 minutes,
11 divided by four, do the math, you're talking about 10
12 or 11 minutes.

13 I believe the only presentation that was
14 close to that was, Dr. Williamson, your dose
15 reconstruction ran about 10 minutes. And, Dr. Vetter,
16 yours took about 15, and, Dr. Eggli, yours took about
17 15. So we'll have to look at compressing those to --
18 so we can remain within the chairman's guidelines.

19 This particular issue, it seems to me,
20 we're going to have to -- the Medical Events
21 Subcommittee, we can acknowledge that there are
22 several issues that are currently still under
23 discussion and don't present them as a -- you know, as
24 a completed activity.

25 MEMBER WILLIAMSON: I think we have no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 choice but to make a presentation.

2 MR. ESSIG: Yes, we do. We have to
3 present.

4 MEMBER WILLIAMSON: And I will simply
5 indicate -- make my -- my spoken remarks more general
6 and indicate, you know, areas of general consensus,
7 but that there are many disagreements over details.

8 MEMBER NAG: My suggestion is that there
9 are a few places where I think everyone agrees. We
10 present those, that these have been agreed by the
11 subcommittee. And then, where there are significant
12 differences, we say, "These areas are under
13 discussion, and a detailed or final presentation will
14 be made later." That's the only way we can do it.
15 Otherwise, we cannot -- in 10 minutes we cannot, you
16 know, discuss all of the objections and disagreement,
17 and so forth.

18 CHAIRMAN MALMUD: Thank you.

19 Mr. Lieto?

20 MEMBER LIETO: Mr. Chairman, I think the
21 presentation that Jeff has accurately reflected, at
22 the time that it was submitted, the subcommittee
23 consensus. And I think it being presented in a
24 context this is a works in progress as stated, and at
25 that time that it was presented to the Commission,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what is the consensus of the committee --
2 subcommittee?

3 I think the meeting that Dr. Nag had after
4 this document was submitted, and so forth, with the
5 other agencies or societies may provide some valued
6 input, and so forth, to the subcommittee. But we
7 weren't privy to that. So I -- I would say that --
8 and maybe the timing I'll leave up to the staff and
9 Jeff to decide, but I think that the presentation, as
10 -- as submitted in our packets, does reflect
11 accurately -- and I'd like to hear from David if he
12 agrees.

13 MEMBER DIAMOND: What you're saying is
14 correct, and I think Jeff has done a fantastic job on
15 this.

16 And I congratulate you, Jeff, and I think
17 the way that you outlined your discussion is perfectly
18 appropriate. You will go through the slides as
19 previously submitted, and areas where there needs to
20 be a verbal notation as to some areas of disagreement,
21 I think that's perfectly reasonable.

22 CHAIRMAN MALMUD: Yes, Dr. Miller?

23 DR. MILLER: If I could just augment what
24 Tom said, so that you're not surprised when you get
25 there. This new protocol that the chairman has put in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is an attempt to try to continue to put more
2 discipline into the Commission rules, and to allow all
3 parties an equal opportunity.

4 So you'll see not only the lights on the
5 table; you'll see a clock that's counting down. So
6 you won't be surprised that suddenly a light will turn
7 yellow or red. You'll see the clock winding down, and
8 what will happen will be, hopefully, what the chairman
9 has challenged the Commissioners to do is to be
10 disciplined in letting you do your presentations
11 during your time, and then the Commission is given --
12 each of the Commissioners are given a certain allotted
13 time to ask questions.

14 And you'll see the chairman pretty much
15 control that. They'll ask a few questions. They'll
16 go on to the next Commissioner. If time permits,
17 they'll come around and ask more questions.

18 So with the clock there, it gives you the
19 visual effect of doing that. This is something that
20 the staff has been challenged to do in our
21 presentations with them. And the EDO has challenged
22 us to make sure you stay in the green.

23 MEMBER WILLIAMSON: So we're each going to
24 be given 10 minutes. Is that the --

25 DR. MILLER: Well, the total presentation

1 I assume from what Tom tells me is SECY is given 45
2 minutes. So the total presentation of all four topics
3 --

4 MEMBER WILLIAMSON: Okay. So it's going
5 to count from 45 to zero.

6 DR. MILLER: Yes. So it's -- whether you
7 equally apportion it to 10 minutes or somebody takes
8 12 and somebody takes 8 --

9 CHAIRMAN MALMUD: Given the effort that
10 has gone into each of the four presentations, there
11 should be 10 minutes allowed for each presentation.
12 That would be the fairest thing to do.

13 MEMBER WILLIAMSON: Well, I think it would
14 be helpful, then, if someone from the staff gave us a
15 warning when we're at 10 minutes, then, because it
16 would be very difficult to subtract 37 minutes from 45
17 to figure out what the clock reads.

18 MEMBER LIETO: Can you electrify the
19 seats?

20 (Laughter.)

21 CHAIRMAN MALMUD: It's kind of like
22 testifying before Congress. It's --

23 DR. MILLER: I think that this is where
24 this came from. I think the chairman took this from
25 what he saw before Congress, and it's to keep the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Commission meetings in the time limit that was
2 allotted and keep the presentations at a certain
3 level.

4 CHAIRMAN MALMUD: And those of us who have
5 done that have lived through it. It's not difficult.

6 DR. MILLER: Yes.

7 CHAIRMAN MALMUD: What do you think of the
8 critical elements, Jeff, that you'd like to point out
9 to the -- to the committee? Because there's so much
10 material that was covered.

11 MEMBER WILLIAMSON: The critical elements?
12 What do you mean the "critical elements"?

13 CHAIRMAN MALMUD: Well, the critical
14 elements of your testimony with regard to the 20
15 percent reporting threshold.

16 MEMBER WILLIAMSON: I think, you know,
17 just to reiterate, that's a point of general consensus
18 that it's reasonable. I certainly don't disagree with
19 any of the details Dr. Nag has added. I think what I
20 said in one slide was adequate.

21 CHAIRMAN MALMUD: Okay. Yes?

22 MEMBER LIETO: I was just going to make
23 one recommendation for the slides. I think the last
24 two slides are added since the committee/
25 subcommittees met. I think you had two what I'll call

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Dr. Williamson slides. Maybe you might want to not
2 present those, since we have not discussed it with the
3 full committee, or whatever, or those two that are in
4 --

5 MEMBER WILLIAMSON: Those are two that
6 were made specifically for this group --

7 MEMBER LIETO: Okay.

8 MEMBER WILLIAMSON: -- because the intent
9 at the time was to -- this would be a lead into our
10 discussion and to frame issues that we should be
11 discussing. Instead, you know, we're returning to
12 older issues that we thought we had consensus on.

13 You know, I actually think with a little
14 time at least some of these issues that Dr. Nag has
15 brought up could be dispensed with. Whether it's --
16 everyone agrees that, you know, the written directive
17 definition and associated regulations should not be so
18 elastic that months and months later an authorized
19 user can revise the written directive.

20 There is, I don't think, anyone on the
21 subcommittee that disagrees with that. I think we
22 could dispense with the issue of what the words mean
23 by hearing from the appropriate member of the staff or
24 Office of General Counsel to determine whether Dr.
25 Nag's interpretation is correct or not. And then,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that would be a major point that would disappear then.

2 So I would say, you know, we could use the
3 time we have -- if there is time before the, you know,
4 Commission meeting to continue deliberating these
5 issues, we could probably resolve of them.

6 CHAIRMAN MALMUD: Thank you.

7 MR. ESSIG: And, of course, I would add it
8 doesn't have to be resolved before the Commission
9 meeting. Are you talking about -- if you focus on the
10 points where you do have subcommittee consensus, and
11 merely indicate that in some areas there are --
12 because of some recently introduced information from
13 various sources, the subcommittee hasn't had a chance
14 to consider it yet, and that will be done in future
15 deliberations of the subcommittee.

16 CHAIRMAN MALMUD: Thank you. Does that
17 complete this discussion?

18 MR. ESSIG: Yes, it does. And I would
19 just observe, maybe stating the obvious, but we're
20 horribly behind schedule. We had, by previous
21 agreement -- Dr. Eggli had indicated that his
22 presentation, rather than the allotted 60 minutes,
23 would only require 30.

24 However, we had scheduled a break for
25 around 10:00, and, Mr. Chairman, it's your -- it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 your option. We could take the break now, and then
2 continue with Dr. Eggli after the break.

3 CHAIRMAN MALMUD: That is an excellent
4 idea, since I think people will work more efficiently
5 if they have a break first. So we'll break now for 15
6 minutes.

7 (Whereupon, the proceedings in the
8 foregoing matter went off the record at
9 10:24 a.m. and went back on the record at
10 10:44 a.m.)

11 CHAIRMAN MALMUD: Ladies and gentlemen, if
12 I may, I call you back to the committee table.

13 We will resume with Dr. Eggli's
14 presentation.

15 MEMBER EGGLI: Thank you. At the last
16 meeting of the ACMUI, the ACMUI was asked by NRC staff
17 to review the I-131 therapy incidents. ACMUI
18 established a subcommittee which included Ralph Lieto,
19 Sally Schwarz, Richard Vetter, and myself to look at
20 the incidents that were described in our binder at the
21 last meeting.

22 Next slide please. The charge of the
23 subcommittee was to review the I-131 therapy incidents
24 looking for common themes or systematic problems and
25 to make recommendations to the full ACMUI of any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 measures which might further reduce administration
2 incidents.

3 The materials that we reviewed were the
4 NMED summaries that were available. These were
5 summary descriptions of the events, and details were
6 limited. And the assumption that we made as a
7 subcommittee was that all positive observations were
8 included in the summary, and so that absence of a
9 specific observation indicated that there wasn't a
10 problem or it would have been described.

11 In reviewing the incidents it became
12 readily clear that the number of therapeutic incidents
13 in the United States every year is small compared to
14 the total amount of radioactive iodine administered
15 for therapeutic purposes. There were fewer than 10
16 incidents per year, and no institution had more than
17 a single administration error.

18 And in the positive comments in the
19 description there was no evidence that policies or
20 procedures were inadequate in any of those
21 administrative incidents. As a result it was our
22 conclusion that most of the errors were in fact human
23 errors. They could be categorized as failure to pay
24 attention to details, failure to follow established
25 policies and procedures, and missed communications.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And most of those missed communications
2 were verbal. And in reviewing the incidents the
3 question was raised, did the culture in the
4 institutions where the events occurred permit free
5 communication? And did that allow the staff to
6 question the authorized user?

7 So that our recommendations reflect an
8 effort to further reduce the human error. And again,
9 it's our impression that these were individual human
10 errors.

11 And so our recommendations deal with
12 verification procedures. And one of our
13 recommendations is that what could be considered is a
14 patient identification verification procedure and
15 administration procedure similar to the rules required
16 in blood banking which in general requires two people
17 to positively identify the patient and two people to
18 review the dose to be administered, or in the case of
19 blood banking, the unit of blood to be administered,
20 to verify that it's right patient, right dose.

21 Another recommendation is that verbal
22 orders should probably not be permitted at any step of
23 the process of therapeutic dosage administration. In
24 some of the incidents reported there were verbal
25 orders issued for the ordering of the dose. And once

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the dosage appeared on site, the chain that verified
2 those verbal orders was weak.

3 Additional recommendation to the whole
4 ACMUI is that the dosage should be verified against
5 the written directive prior to administration.
6 Essentially that the individual administering the dose
7 ought to have the written directive in their hand.
8 They ought to verify that the dosage to be
9 administered does match the dosage that was actually
10 ordered.

11 It would be useful for the therapeutic
12 dosage to be re-verified in a dose calibrator on site.
13 We realize that that's not required by the current
14 rule. But again, if therapeutic administration is
15 considered higher risk, I personally cannot imagine
16 re-verifying the dosage received from a central
17 pharmacy on site, and one of the errors was created by
18 a central pharmacy sending an incorrectly labeled
19 dosage that the site did not re-verify in a dose
20 calibrator.

21 Another problem is two dosages available
22 on site at the same time. And again, the ability to
23 put the iodine into a dose calibrator to measure the
24 activity to be administered prior to administrator
25 would have prevented that particular error as well.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Another key is communication between the
2 authorized user and the individual administering the
3 doses. And those communication chains need to be
4 strengthened. The administering technologist should
5 review the treatment plan with the authorized user
6 prior to administration.

7 The combination of those sorts of steps,
8 and the subcommittee's feeling was those steps would
9 strengthen the administration process and reduce the
10 likelihood of errors, because the source of error
11 would be reduced by strengthening communication,
12 strengthening the process, strengthening patient
13 identification.

14 We would also like to see, when incidents
15 are reported, some more detailed information
16 available. We would like to know what were the causes
17 and contributing factors in not just a description of
18 the incident, because it was hard for us to go
19 backwards and try to put together an analysis of
20 causes and contributing factors.

21 We would like to know, was the authorized
22 user present at the site? Were multiple dosages
23 available on site that might have led to confusion?
24 Was the dose assayed? What role did verbal orders
25 play in the process?

1 So a more detailed description of the
2 incidents would be helpful in retrospectively
3 analyzing.

4 But nonetheless, again, it is the opinion
5 of the subcommittee that human errors were largely
6 responsible. And I think we have a number of simple
7 steps that do not have a dramatic burden on the
8 ability to deliver care that might reduce these
9 incidents.

10 MEMBER NAG: When you are talking about
11 the treatment plan and written directive, are they not
12 the same thing? In one place you mentioned the
13 treatment plan has to be checked?

14 MEMBER EGGLI: Right. It's essentially
15 the written directive, yes.

16 CHAIRMAN MALMUD: Any other questions?

17 The one point that you make - it relates
18 to the dose calibrator. Every nuclear medicine
19 section has a dose calibrator. There may be some
20 practicing medical specialists who do radioiodine
21 therapy who do not have dose calibrators. I
22 personally can't imagine giving a therapeutic dose of
23 I-131 without checking it personally in a dose
24 calibrator, which is our routine, and your routine as
25 well.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 However, we should note that this would
2 create a bit of a program for non-nuclear physicians,
3 non-radiologists who are administering I-131 who may
4 not have dose calibrators currently.

5 MEMBER EGGLI: I think the feeling of the
6 subcommittee was that the value added by a dose
7 calibrator, and an inexpensive dose calibrator is
8 under \$10,000, is easily to justify, given the
9 potential risk to the patient of an incorrectly
10 administered dose.

11 CHAIRMAN MALMUD: I give it a hearty amen.
12 I agree fully. I think Dr. Williamson had a comment.

13 MEMBER WILLIAMSON: Yeah, I think just to
14 comment, this is also for brachytherapy, other than
15 high dose brachytherapy and gamma stereotactic, the
16 current regulations for brachytherapy and for nuclear
17 medicine no longer require the users to verify any
18 measurement technique at all, the source strengths, so
19 long as it is a unit dosage.

20 And you can make a case that the vendor
21 has followed industry standards. So anything that
22 would be a recommendation regarding, on this point,
23 which I have great sympathy for, would require a
24 little change.

25 CHAIRMAN MALMUD: Dr. Williamson's point

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of course is correct, and we're aware of that. We
2 nevertheless, as practicing nuclear physicians, I saw
3 Dr. Schwarz also nodding her head, concerned about
4 giving a therapeutic dose without having checked it
5 personally in a dose calibrator.

6 I'm sorry, Sally, I spoke for you.

7 MS. SCHWARZ: That's fine. I certainly
8 agree that the presence of a dose calibrator,
9 certainly in therapy doses makes tremendous sense, and
10 I realize they are not now required. So even in terms
11 of the mistaken - dispensing from a nuclear pharmacy
12 when the dose dispensed was incorrect, there is no way
13 to verify that. And it obviously does occur.

14 CHAIRMAN MALMUD: Dr. Eggli.

15 MEMBER EGGLI: Just as an experience
16 statement, in my own practice I require that the dose
17 be measured in a dose calibrator - be less than 10
18 percent off from the dose that I ordered.

19 Routinely, doses come from our central
20 radio-pharmacy that do not meet that criteria, and if
21 I did not have a dose calibrator on site I would not
22 be able to know that.

23 CHAIRMAN MALMUD: Thank you. Any other
24 comments for Dr. Eggli?

25 If not, we'll move on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER LIETO: Where do we go from here?
2 We've got a subcommittee report with recommendations.
3 Does, I mean is this something that should be going on
4 to the Commission? Where do we go with these
5 recommendations?

6 Because as Sally has pointed out, we may
7 potentially be looking at an issue of rulemaking that
8 we may be suggesting to staff.

9 CHAIRMAN MALMUD: Does a member of the
10 committee wish to discuss this further? Or do you
11 wish to make this as a motion, Dr. Eggli, from the
12 subcommittee to the committee?

13 MEMBER EGGLI: This is the subcommittee's
14 recommendation to the whole ACMUI. I think it is up
15 to the group as a whole to determine whether or not to
16 endorse this subcommittee report and send it to NRC
17 staff.

18 I think that would be the appropriate next
19 step would be for the whole ACMUI to determine whether
20 or not it wants to endorse this subcommittee report
21 and send it to staff.

22 CHAIRMAN MALMUD: If we accept your report
23 as a motion, is there a second to your motion?

24 MEMBER LIETO: Second.

25 CHAIRMAN MALMUD: It's been seconded by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Mr. Lieto.

2 Is there any further discussion of this
3 motion, which you realize will have some implications,
4 particularly if we are recommending, as most of us do,
5 the use of dose calibrators for all therapeutic doses
6 of I-131?

7 Dr. Vetter.

8 MEMBER VETTER: Let me just point out
9 that's just one of the recommendations. One of the
10 major problems the committee had was trying to
11 determine what the real root cause was for these
12 medical events.

13 And so I think in the spirit of the
14 committee's report we hope that the NRC staff would
15 take a look at NMED and see what can be done to
16 provide more complete information. I think that's one
17 of the major findings of the subcommittee.

18 CHAIRMAN MALMUD: Thank you for clarifying
19 that and reiterating it.

20 Dr. Miller.

21 DR. MILLER: Yes. Dr. Vetter, could I
22 pursue in a little bit? Would the report be specific
23 enough as to what changes in NMED would need to take
24 place? And to get that information, would that
25 require a regulatory change or rulemaking?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER EGGLI: I think this is information
2 that the staff probably has, and it was reported
3 probably by the state. It just wasn't included in the
4 summary. And the comments that we listed, like, was
5 the AU present? Were multiple dosages present on
6 site? Was the dose assayed on site? Were there
7 verbal orders that confused the issue as opposed to
8 written directives?

9 Again, I know that - when at least
10 internally when we describe what we call a recordable
11 event, whether it's reportable or not, we maintain
12 that kind of detail. And I know that when we forward
13 any such event to our regional office, that that
14 detail is contained within the report.

15 So I suspect you have all of the material
16 it takes to do root cause analysis, but that NMED is
17 more of a summary, and it is a subset of the
18 information that the NRC maintains at some level.

19 So I doubt that you have to do any
20 additional information collecting than you already
21 have. It's just how you save it in your summary.

22 DR. MILLER: I guess what I'm searching
23 for and following up on that is, when you say you
24 supply that, the question becomes - Tom, I don't know
25 if you know the answer to this - are we getting that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 information routinely in the reports that are coming
2 in, based upon the reporting requirements.

3 CHAIRMAN MALMUD: Dr. Miller, is your
4 question, does it relate to the fact that Dr. Eggli
5 pointed out that there were 10 errors? In no
6 institution did more than one arise. And that each of
7 the 10 can be traced back to human error rather than
8 other elements.

9 And should there be a form on which these
10 data are reported so that they could be tracked, is
11 that your question?

12 DR. MILLER: Yeah, I think my question
13 is, Dr. Eggli is recommending that documentation needs
14 to be improved at NMED. But to be able to improve
15 that documentation, we have to have that information
16 reported in all cases.

17 And I guess what I was searching for is,
18 is that in fact happening? That might be a question
19 that I have to my staff.

20 CHAIRMAN MALMUD: I think Dr. Vetter might
21 be able to address that.

22 MEMBER VETTER: I think our answer is, we
23 don't know.

24 The user is expected to provide that
25 information to the NRC, including root cause. NMED is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 such a boiled-down summary that very often we couldn't
2 figure out what the root cause was except that it
3 attributed it to human error. So somewhere in the
4 middle there, the answer should be there, but we
5 really don't know if it is. Because we didn't see the
6 original reports to the NRC.

7 CHAIRMAN MALMUD: Sally Schwarz.

8 MS. SCHWARZ: Could I make a suggestion
9 that possibly before we would make the recommendation
10 for a rule change that we could actually have someone
11 from staff if they could gather that information,
12 potentially the forms that were submitted from these
13 institutions, and actually analyze if that information
14 was available before we decide that we need a rule
15 change to require a dose calibrator?

16 It may be that each of these doses was
17 assayed and for some reason still given incorrectly.
18 We don't really know that there was no dose calibrator
19 on site.

20 CHAIRMAN MALMUD: Dr. Williamson.

21 MEMBER WILLIAMSON: I think maybe the
22 issue could be simplified in general along the lines
23 of what Sally has suggested.

24 Perhaps reconstructing your NMED database
25 might be a rather daunting technical project. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 real problem is that if you expect ACMUI to
2 meaningfully review medical events you're going to
3 have to provide more than human error as the root
4 cause. You're going to have to supply more complete
5 descriptions of the events if you want meaningful
6 feedback as to what should be done.

7 So really, an alternative. So I think
8 that's really the way the motion should read is that
9 to the various medical event subcommittee NRC should
10 endeavor to supply as complete information as possible
11 regarding these events. And since there are very few,
12 this should not be a major burden to gather that
13 material or provide a list of addresses and a database
14 that people could access themselves. For those of us
15 who don't know how to use Adams and so forth, some
16 effort would have to be made.

17 CHAIRMAN MALMUD: Dr. Eggli, you were able
18 to determine, your committee was able to determine
19 that these were 10 human errors, each occurring at a
20 different institution.

21 What was the basis for determining that
22 they were human errors?

23 MEMBER EGGLI: By the summary descriptions
24 in NMED. Again, the assumption that the subcommittee
25 made was that all pertinent positives were provided in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the NMED summary. And that if specific information
2 was not provided, it probably was not an issue.

3 To make any analysis that kind of
4 assumption had to be made, was that all pertinent
5 positives were provided in the NMED summary. And in
6 the description of the actual event, the summary
7 descriptions were in fact human error type
8 descriptions.

9 And with the majority of the subcommittee
10 recommendations, this recommends a process that
11 tightens up the communication failures that may have
12 partially led to the human errors and the patient
13 identification failures that may have led to human
14 errors.

15 And independent of the data available in
16 NMED, those are probably recommendations that stand as
17 reasonable in any case. The recommendation for a dose
18 calibrator I think stands as a recommendation
19 regardless of any more information that may be in
20 NMED.

21 The question that Dr. Miller asks is, does
22 NRC in fact have the information that we are asking
23 for? The answer to that is, the only area of
24 uncertainty I think in the subcommittee's report, and
25 I guess what the subcommittee is asking is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 necessarily that the NRC acquire any more information,
2 but to provide, when we're analyzing events, to
3 provide all of the information that the NRC possesses
4 to help in the analysis of the problem.

5 CHAIRMAN MALMUD: Thank you. Does that
6 address your question, Dr. Miller?

7 DR. MILLER: Yes.

8 MR. ESSIG: Dr. Zelac has a clarification.

9 DR. ZELAC: If I could ask Dr. Eggli, do
10 you happen to recall or know how many of the 10 events
11 occurred in NRC jurisdiction states as compared to
12 agreement states?

13 MEMBER EGGLI: That information was not
14 provided in NMED as to whether it was an agreement
15 state or an NRC state.

16 Did the numbering help us on that, Ralph?

17 MEMBER LIETO: It did reference the state,
18 so, it didn't say it was an agreement state or NRC
19 regulated. But it did indicate the state that the
20 event occurred in.

21 So my recollection - again, this is just
22 - I don't have the data with me - but I think it was
23 about evenly split in terms of where the reported
24 occurrences were.

25 DR. ZELAC: The reason I ask is that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 current medical event reporting criteria have a
2 compatibility C level with respect to what is expected
3 from the agreement states in terms of comparison and
4 agreement with ours. But the event itself in terms of
5 what the root cause was, it's clearly an element which
6 is necessary regardless of who is responsible for
7 completing the report.

8 CHAIRMAN MALMUD: Thank you, Dr. Zelac.
9 Another comment?

10 DR. HOWE: Yes, this is Dr. Donna-Beth
11 Howe with the NRC.

12 I just have two quick questions. If I
13 remember the database correctly, we had a number of
14 medical events that were supposed to be I-131
15 administrations that did not require a directive, but
16 material was given that did require a directive.

17 Did your subcommittee look into or talk
18 about the issue of how to capture those things where
19 there is no written directive because it wasn't
20 supposed to be, but the material itself would trigger
21 one?

22 MEMBER EGGLI: I think in most of those
23 cases, essentially, a therapeutic dose was given in
24 lieu of a diagnostic dose. And that is part of where
25 our strong feeling that a dose calibrator needs to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on site came from because had those doses been put
2 into a dose calibrator, it would have been - it should
3 have triggered somebody that the amount of activity
4 being administered required a written directive.

5 DR. HOWE: My only other comment is that
6 the NMED database at the bottom has a list of
7 references. And many of those references are
8 inspection reports or letters back and forth to the
9 licensees. So those, I think, are available, although
10 the agreement state data is generally pretty limited.

11 So I think the access to the data is there
12 in NMED, we just have to pull it out.

13 MEMBER EGGLI: Probably, and some of this
14 has to do with the limited ability of some of the
15 subcommittee members, myself specifically, to navigate
16 the NRC's website.

17 CHAIRMAN MALMUD: Are the incidents to
18 which Dr. Howe is referring incidents in which perhaps
19 a dose of I-123 without a written directive was
20 ordered but instead I-131 was given, which does
21 require a written directive and given incorrectly
22 because there was no dose calibrated or checked that
23 it was I-131 rather than I-123?

24 MEMBER EGGLI: I believe that most of the
25 incidents were the intention to deliver less than 30

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 microcuries of I-131 rather than an I-123.

2 CHAIRMAN MALMUD: Thank you.

3 MEMBER EGGLI: And again, what that had to
4 do with the fact, though, is that a higher dose of
5 iodine was physically present on the site and
6 available to be confused with the lower dose.

7 CHAIRMAN MALMUD: That clearly would be an
8 instance in which a written directive was not required
9 but a dose of I-131 was given in error. And that
10 would be an incident in which the use of a dose
11 calibrator with documentation of the dose immediately
12 before administration would have detected the problem.

13 Thank you. Yes?

14 MEMBER RAIZNER: Really just a question.
15 Does anybody have an idea of what the denominator
16 would be of these 10 events? In other words, it's 10
17 of what number and what percent?

18 MEMBER EGGLI: The bottom number is huge,
19 probably well in excess of 10,000.

20 MEMBER RAIZNER: So 10 in 10,000 --

21 MEMBER EGGLI: It is small.

22 MEMBER RAIZNER: Would we be improving -
23 that seems like a very good outcome, rather than a
24 very bad outcome. Not that we shouldn't strive to
25 reduce it. But would requiring calibration, do you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 believe we would ever eliminate human error entirely?

2 CHAIRMAN MALMUD: We have a comment from
3 Dr. Suleiman.

4 MEMBER SULEIMAN: My experience, and I
5 know FDA's experience, is that medical events are
6 grossly underreported, so when it even surfaces, you
7 can assume that it's probably greater than it is.

8 We see problems all the time with drugs
9 that have similar sounding names, and they're
10 prescribed just because their names are similar. And
11 they're prescribed incorrectly.

12 So I think if this sounds logically
13 correct, you know, we shouldn't - I always ask that
14 question, what's the denominator. That came up with
15 the recent Vioxx thing. I said, how many people
16 received this drug? And so you were projecting these
17 deaths.

18 The point is, they probably happen more
19 frequently than you'd care to admit. So I'm impressed
20 with the committee conclusions.

21 But I think generally the whole medical
22 event reporting science is extremely soft. The
23 databases are frustratingly not complete, at least
24 that's been my experience.

25 And so the fact that you've been able to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 get some information out of this in a credible
2 consistent way I think is commendable.

3 CHAIRMAN MALMUD: I think the statistical
4 argument in medicine doesn't really carry much weight.
5 Our real goal is zero tolerance for errors. We
6 recognize we'll never achieve it, but it still is the
7 goal.

8 The issue of a dose calibrator, for
9 example, is just a one-time capital expenditure. It's
10 not an ongoing investment in personnel, because it's
11 the same personnel just taking one more step. So it's
12 not an extraordinary expense.

13 And considering the damage that could be
14 done from a large dose of a beta emitter as opposed to
15 a small dose of a gamma emitter or even a trivial dose
16 of a beta emitter, it's a worthwhile expense. It only
17 would affect very few departments that currently don't
18 have such a device on hand.

19 But I think the basic issue is that we try
20 to achieve zero tolerance for medical errors,
21 recognizing that we're all human and errors will
22 occur.

23 Thank you, Dr. Eggli.

24 MEMBER EGGLE: Actually, there is a
25 motion.

1 CHAIRMAN MALMUD: Your motion, which was
2 seconded by Mr. Lieto.

3 Any further discussion of the motion? All
4 in favor?

5 MEMBER WILLIAMSON: I have a question.

6 CHAIRMAN MALMUD: Oh, you do?

7 MEMBER WILLIAMSON: It's a very broad
8 amorphous motion with about six motions all wrapped up
9 in one. I mean does everybody feel comfortable voting
10 en bloc?

11 CHAIRMAN MALMUD: Well, I think the motion
12 includes - if I may dare to summarize for you, the
13 motion includes the fact that the 10 errors found all
14 seem to have been human; that one of the
15 recommendations for correction of these is better
16 communication systems and better documentation; two
17 witnesses to administer doses; and the recommendation
18 that departments that are dispensing I-131 in
19 therapeutic doses have a dose calibrator on site.

20 Is that a good summary?

21 MEMBER EGGLI: Yes, the specific
22 recommendations are contained on slides 7, 8, 9 and
23 10. It's a limited number of recommendations.

24 With the exception of the dose calibrator,
25 we did not think that any of the recommendations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 imposed a significant personnel or economic burden on
2 any department, and had a good chance of reducing
3 incidents further.

4 So since the impact was small, it seemed
5 that these were reasonable steps to take. Admittedly
6 the dose calibrator has an economic impact less than
7 \$10,000. I can buy a lot of dose calibrators for one
8 malpractice settlement.

9 CHAIRMAN MALMUD: Dr. Williamson?

10 MEMBER WILLIAMSON: Well, perhaps my
11 juridical instincts have been sharpened too much by
12 thinking so much about medical events lately. But I
13 frankly feel uncomfortable voting for this and saying
14 all these things should be made in regulations.

15 I think to make patient verification
16 procedures similar to blood administrations, that's a
17 recommendation for a rule change. It's both too
18 imprecise and too prescriptive in my mind.

19 So I actually think, rather than take
20 thoughtless action on this package which isn't well
21 specified enough and implies all sorts of potentially
22 complicated rule changes, I think it needs to be split
23 out in little bits or perhaps rescheduled for more
24 extensive discussion and a more detailed proposal made
25 before I'd feel comfortable supporting all of these en

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bloc.

2 Not that I don't have sympathy, or believe
3 there is not value to the recommendations. But
4 essentially what the meaning of making a
5 recommendation is needs to be spelled out, I think, on
6 a bit by bit basis, and we have to determine what
7 recommendations are supported by existing regulations,
8 which would be best handled by guidance, and so forth.

9 There are just many practical issues that
10 need to be considered before I think this would be
11 meaningful to the staff.

12 CHAIRMAN MALMUD: I think you've clearly
13 stated your position.

14 Dr. Eggli?

15 MEMBER EGGLI: I would like to agree with
16 the concept of Dr. Williamson, with the exception that
17 this is simply a recommendation for possible action,
18 and that everything that Dr. Williamson describes
19 would be part of the process going forward.

20 We're making a recommendation that this be
21 considered. And again, part of that process would be
22 determining whether this could be done as guidance, as
23 part of existing regulation, whether new regulation is
24 required.

25 That's downstream. I think the first step

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in this process is this series of recommendations to
2 be considered by staff. We're not recommending
3 regulation. We're recommending that a process be
4 considered.

5 And I think then that everything that Dr.
6 Williamson correctly states will be part of the
7 downstream effort, once the process starts.

8 MEMBER WILLIAMSON: Well, I think if you
9 could amend your recommendation to more precisely say
10 we should engage in a future process of considering
11 this in more detail, I could support it.

12 CHAIRMAN MALMUD: I believe Dr. Van Decker
13 wanted to say something.

14 MEMBER VAN DECKER: I would agree with Dr.
15 Williamson's last statement.

16 I guess the point I was going to make is,
17 I don't think that anyone wants to jump the gun by
18 saying we want to reopen rulemaking again, even in
19 pieces, after the experiences we've had going through
20 this, and the whole goal of doing the rulemaking
21 process was to put us in a position where we were
22 flexible enough to do other things, and guidance in
23 other ways, so that that becomes a living document.

24 I think it's very reasonable to say we've
25 had a thoughtful subcommittee that's thought about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this, has a few recommendations, and we can put this
2 on the table for some further discussions as to how
3 this can happen down the line and leave it at that for
4 now.

5 CHAIRMAN MALMUD: Thank you.

6 Dr. Lieto, you had another comment.

7 MEMBER LIETO: Well, I think actually I'm
8 just going to paraphrase what Dr. Eggli and Dr. Van
9 Decker have said, is that I thought the motion was for
10 the committee to accept the recommendations and
11 proceed further. It's not to recommend regulatory
12 changes as part of the motion.

13 CHAIRMAN MALMUD: Is that your motion, Dr.
14 Eggli, that the committee accept the report and then
15 take the next step within the committee?

16 MEMBER EGGLI: It is.

17 CHAIRMAN MALMUD: With that caveat, will
18 you support the motion as amended, Mr. Lieto?

19 MEMBER LIETO: So seconded.

20 CHAIRMAN MALMUD: You second it, and does
21 it now gain your approval, Dr. Williamson?

22 MEMBER WILLIAMSON: Yes.

23 CHAIRMAN MALMUD: Good. Dr. Miller.

24 DR. MILLER: At the risk of negating the
25 approvals here, one of the things that the staff needs

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from the committee is the committee's advice. And a
2 recommendation of a subcommittee and a report endorsed
3 by the full committee is certainly something of a step
4 in the right direction.

5 But ultimately what the staff needs is
6 advice from the committee as to what should be done as
7 a regulator. And I think that's what we're struggling
8 with.

9 So in framing the motion, in framing what
10 the committee decides from the motion, I think we need
11 to think about that aspect of it crisply.

12 CHAIRMAN MALMUD: Thank you. I believe
13 that those of us who practice nuclear medicine, Dr.
14 Eggli, Dr. Van Decker, myself, could supply the forms
15 that we're currently using as a working document to
16 see how we actually engage in each of these activities
17 that the committee has recommended.

18 Because actually we do those things as
19 does Dr. Eggli, as does Dr. Van Decker in the practice
20 of cardiology. So we could supply the actual form.

21 But I don't believe that it's our
22 responsibility to actually draft the final
23 documentation. So we could prepare that, and I think
24 that the motion on the table as amended by Dr. Eggli
25 in support of Dr. Williamson will bring us to the next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 step, which is to prepare such a form, which would
2 certainly take care of the issues of misadministration
3 at the time that the patient receives the dose.

4 Each of the elements that was presented to
5 us would be covered in this form.

6 That which would not be covered would be
7 if the I-131 did not come as sodium iodide. If it
8 came as I-131 labeled something else, it would still
9 be a mistake in the central pharmacy, which we
10 wouldn't detect in the dose calibrator, because the
11 dose calibrator is testing the activity not the
12 pharmaceutical.

13 But the point is that the errors that have
14 been described could be largely dealt with with the
15 forms that are currently on hand.

16 We'd be happy to engage in that process as
17 a committee, I assume, Dr. Eggli?

18 MEMBER EGGLI: My other comment is, I
19 don't think anything other than the dose calibrator
20 recommendation requires anything other than guidance
21 for what makes a good safety program to implement.
22 Because I think the rest of it is covered broadly in
23 existing regulation, and guidance helps the end user
24 understand how the Agency will interpret the existing
25 regulation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And again, with the exception of the dose
2 calibrator, I don't think there is anything in the
3 recommendations that requires new regulation. It may
4 require clearer guidance, but I don't believe that
5 anything other than the dose calibrator would require
6 regulations.

7 DR. MILLER: I agree with Dr. Malmud's
8 statement that it's not the role of the committee to
9 have to craft regulatory tools, whether it's a
10 regulation, guidance, or some other action.

11 But I think what the staff needs is the
12 conclusions from the committee with regard to your
13 findings. And I think you're close.

14 I'm thinking of a lot of things, and I
15 don't know how it would play out, so I'm talking off
16 the top of my head here.

17 With regard to the dose calibrator, I
18 think the staff would have - if the committee feels
19 strongly about that as a body, then the staff has to
20 take that on and say, well, what form do we do this
21 in?

22 In other words, you don't necessarily go
23 off and write a regulation to address that. It may be
24 that we provide guidance to the industry through some
25 kind of generic communication or something to say,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this is a good practice. Is that enough?

2 Doing this will help prevent human error
3 and help prevent you getting in a situation where
4 you're in violation of the regulations.

5 That's kind of where I'm coming from, and
6 just saying, conclusions of the committee, making a
7 recommendation to the staff. If as a result of
8 endorsing the report from the subcommittee, it means
9 that the committee needs to do a little bit of further
10 work to do that, whether that's to supply forms or
11 whatever to staff, that's fine.

12 CHAIRMAN MALMUD: The skills and talents
13 of the members of this committee can prepare such a
14 document. And I think we could volunteer to do that,
15 from which the Agency could then decide what it wants
16 to do.

17 DR. MILLER: What would be the appropriate
18 action, and then having the staff frame what that
19 appropriate action is, it seems to me that at that
20 time we could come back to the committee for a
21 discussion and endorsement or committee views on what
22 the proposal is as we go from there.

23 CHAIRMAN MALMUD: Mr. Essig.

24 MR. ESSIG: I would ask one question.

25 That is, is there additional documentation, to which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think Dr. Eggli alluded to, that was not in the NMED
2 summary, that the committee or the subcommittee could
3 use in formulating its recommendation to the staff?

4 And if so we could certainly furnish that
5 if it's available.

6 CHAIRMAN MALMUD: Dr. Eggli?

7 MEMBER EGGLI: If there is more detailed
8 information, it would be useful to look at that to
9 make sure that our assumptions were not in error. If
10 our assumptions were not in error, then our
11 recommendations, I think, as a subcommittee stand.

12 So I would, I guess, as a personal note,
13 I think that the recommendations of the subcommittee
14 for me, as a practicing nuclear medicine physician,
15 who dispenses literally thousands of doses of
16 treatment doses a year - well, maybe not thousands,
17 hundreds of treatment doses a year - I think these are
18 good practice regardless of what other data turns up
19 in NMED.

20 But I think it would be useful to know,
21 nonetheless, that the assumptions that we based our
22 recommendation on were valid, and that we did not miss
23 some root cause information where we might have made
24 a better recommendation.

25 CHAIRMAN MALMUD: Thank you. So the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 motion has been moved, seconded, and discussed.

2 Is there any further discussion? If not,
3 I wish to call the motion. All in favor?

4 Any opposed?

5 Any abstentions?

6 Let the record indicate it carries
7 unanimously. Thank you.

8 We'll move on to the next agenda item.

9 Dr. Vetter. We're up to the case experience using I-
10 125 seeds as markers.

11 MR. ESSIG: If I could offer one --

12 CHAIRMAN MALMUD: Please, Mr. Essig.

13 MR. ESSIG: -- sort of a preliminary
14 thought before Dr. Vetter starts.

15 This item, as the committee may recall, we
16 had a presentation during the last meeting of the
17 committee by Mr. Gallagher from Massachusetts, who is
18 the chair of a workgroup that is implementing pilot
19 project number four from the National Materials
20 Program, which the focus of that pilot four group is
21 to develop guidance for us by NRC and agreement state
22 licensees for using these seeds as markers.

23 And the purpose of today's briefing, I
24 believe, is for Dr. Vetter to share the experience at
25 the Mayo Clinic. But the one thing I would caution

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 us to do is to not get out ahead - that this committee
2 should not be getting out ahead of the working of this
3 pilot group number four.

4 This would be certainly useful information
5 for that committee, and I believe that the next
6 meeting of this committee we will have Mr. Gallagher
7 back. Unfortunately he couldn't be here at this
8 meeting. But we'll have him back either during the
9 next intervening noticed - publicly noticed conference
10 call or at the next face-to-face meeting of the
11 committee, where we'll dialogue further on this issue,
12 using Dr. Vetter's material as input to that
13 committee.

14 CHAIRMAN MALMUD: Thank you for bringing
15 that fact forward. We were prepared to move the next
16 step except for the absence of Mr. Gallagher, and
17 therefore, Dr. Vetter's presentation will be the
18 discussion today, and the next meeting that we have
19 Mr. Gallagher will be able to make his presentation.
20 And then we'll take it the next step along.

21 I know that there is external interest in
22 this issue. And we do not wish to be a party to
23 delaying it. However, we must give it a fair hearing.

24 MEMBER VETTER: Thank you. And thank you,
25 Mr. Essig, for that introduction. After Mr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Gallagher's report at our last meeting I volunteered
2 to provide some case experience simply because we
3 didn't have much knowledge of this practice.

4 And so the only purpose of this
5 presentation is to provide some case experience. It's
6 not to make any recommendations.

7 First of all I'd like to acknowledge a
8 number of colleagues who actually did all this work.
9 This includes physicists, surgeons, radiologists, and
10 technicians. I won't go through who each of them is.

11 Now the current standard of practice uses
12 a wire to localize the tumor in breast tissue. The
13 radiologist places that wire in the tumor. And one of
14 the disadvantages of that wire approach is that the
15 radiologist's approach to the tumor, implanting the
16 wire, may be different than the surgeon's preference
17 because the surgeon has to basically follow that wire.
18 And it may not necessarily be the best pathway to
19 conserve breast tissue. So there is that disadvantage.

20 Another is scheduling conflicts. With the
21 wire localization procedure, the surgery generally has
22 to occur the same day because of the risk of the wire
23 being dislocated. Wire does provide some limits for
24 post-localization mammograms. That is, the wire can
25 sort of get in the way.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There is this worry about wire migration.
2 It's not a huge problem, but it is a risk.

3 And then there is the risk of infection,
4 although that's pretty low. So the alternative that's
5 being explored by a number of medical centers
6 including Mayo being done in research protocols
7 because it's an off-label use of the I-125 seed, is
8 this use of radioactive seeds, that is Iodine-125
9 seeds, placing them in the tumor in the place of the
10 wire.

11 The seed that's used is the standard
12 Iodine-125 seed that's used in therapy, although the
13 amount of activity is very, very low compared to
14 what's implanted in a tumor.

15 Some advantages are that the radioactive
16 seed localization technique can allow surgery to take
17 place up to five days later, and this minimizes
18 scheduling conflicts between the radiologist and the
19 surgeon.

20 The radiologist can approach the tumor
21 from any direction, because when he or she finishes,
22 they will simply leave the seed in the tumor, as
23 opposed to a wire, which might be sticking out from
24 any particular direction.

25 It also facilitates bracketing of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 lesions if you need to use more than one seed, and
2 that does not interfere with any of the post-
3 localization mammograms.

4 Some other advantages. Cost is a wash,
5 and in surgery to remove - for lumpectomies, they
6 commonly will inject some technetium near the tumor
7 and allow that to be drained by the lymph node so that
8 the surgeon then during surgery can find the first
9 lymph node that's draining the breast and remove that
10 lymph node and determine whether or not the tumor is
11 spreading.

12 The same equipment can be used to do the
13 sentinel lymph node biopsy as is used for the
14 radioactive seed localization procedure.

15 This shows that the antoges (phonetic) are
16 very similar, but they are distinct enough that simply
17 changing the discriminators on the instrument allows
18 you to usually detect the seed as opposed to the
19 technetium which, there still would be some residual
20 technetium in the breast.

21 In this particular case experience, some
22 colleagues studied 200 consecutive patients, they did
23 wire localization on half of them, they did that the
24 same day as surgery, and for the radioactive seed
25 localization technique, 68 percent of them were done

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at least one day prior to surgery. So in other words
2 this allowed them to delay surgery at least until the
3 next day.

4 Then the radiologists were asked to rate
5 the preference of using the radioactive seed
6 localization technique versus the wire localization.
7 Patients were asked to rank comfort and convenience.

8 This shows the box. You can't see the
9 liner very well, but there is a little red liner in
10 there to shield the seed.

11 Angela, could I get you to click on that
12 box? There is supposed to be a video here. It's not
13 working. We're going to miss the video.

14 The video is a short video to show how the
15 needle is actually loaded with the seed. A little bit
16 of bone wax is used to seal the end of the needle.
17 The seed is then emplaced - no, that's all right - the
18 seed is then placed inside the needle. It's followed
19 by the stylat (phonetic), which will later be used to
20 push the seed into the tumor tissue. And this is all
21 done under sterile technique. So we're going to miss
22 that.

23 This shows an ultrasound of the needle and
24 the seed right on the end of it. Here the seed has
25 been pushed out. And in the next view the needle has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been withdrawn and the seed remains in the tissue.

2 This shows some radiographs of the same
3 sort of thing.

4 Post-localization mammogram, a little bit
5 hard to see here - if you look real closely you can
6 see the seed right there in that tumor.

7 So when the patient gets to surgery, the
8 surgeon uses the probe to locate the seed, and then
9 the tumor is dissected and the specimen is - oops, I'm
10 sorry. Here the surgeon is using the probe to confirm
11 that the seed is in the specimen, so that's done
12 immediately after surgery, right there on the drape.
13 Here is a radiograph of the specimen showing the seed
14 in place, and if you look really carefully here, you
15 can see the seed in this specimen. It's located right
16 there.

17 So it's a fairly straightforward
18 technique.

19 So the results of this particular study,
20 there were six radiologists who conducted this study.
21 All six preferred the radioactive seed localization
22 technique. Five of them thought the technique was
23 actually technically easier than placing the wire.

24 When patients were asked to rank comfort
25 and convenience of the seed they considered the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discomfort to be about the same between the two.
2 After all you're sticking a foreign object into the
3 breast. It can't be very comfortable.

4 Patients rated the convenience, however,
5 of the radioactive seed localization technique
6 considerably more convenient than the wire
7 localization because they don't have to necessarily
8 come when both the radiologist and the surgeon are
9 available on the same day, and they can allow some
10 flexibility both in their schedule, and in the
11 schedule of the radiologist and the surgeon.

12 During this study one seed migrated from
13 the site due to a hematoma. It actually migrated into
14 the hematoma. But there was no spontaneous migration
15 of the seeds outside the tumor except in that one
16 case. There were no infections reported.

17 I'll kind of skip over that. The main
18 thing on the results is, other than convenience and so
19 forth, is looking at the actual results, what
20 advantages does that do for the patient?

21 Relative to margins being negative on the
22 surgery, with the radioactive seed localization
23 technique, 74 percent of the margins were negative,
24 compared to wire localization where 54 percent were
25 negative. And margins that required re-operation, 90

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percent of the seed technique did not require re-
2 operation, 90 of them - whereas 76 on the wire
3 localization. So there were more re-operations for
4 wire localization than there were for the radioactive
5 seed, which is obviously an advantage for everyone.

6 And I don't know what I did here, but my
7 numbers are missing. But basically, if you take the
8 worst case, which is about 300 microcuries of iodine
9 in a seed and leave that in the breast tumor for five
10 days, you'll deliver a dose to the one centimeter
11 margin of about 20 rads, and of course that decreases
12 as you go out.

13 Typically they're going to take two or
14 three centimeters, so the dose to the breast is in the
15 neighborhood of a few rads.

16 With 100 microcuries leaving it for one
17 day, this is 1.2 rads. And this is about .3 rads.

18 That is in the neighborhood of a
19 mammogram. So if you use a low activity seed, and you
20 do surgery within 24 hours, the dose to the breast
21 tissue is about the same as a mammogram. So we're not
22 talking very large doses here, even though that seed
23 is used normally for therapeutic purposes.

24 So the conclusions were that the
25 technique, the radioactive seed localization technique

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was considered to be easy, it's accurate, it's
2 preferred by radiologists. The seeds can be deployed
3 up to five days prior to surgery, and it's
4 significantly more convenient for the patients.

5 The technique increased the frequency of
6 negative margins in the first specimen, and decreased
7 the frequency of re-operation - a very significant
8 advantage.

9 Now we're only talking - this is 200
10 patients. Mayo has done the seed technique on several
11 hundred patients by now, and nothing has changed that
12 conclusion. But still, several hundred patients is
13 not a large number.

14 But so far that technique is working out
15 very well for us.

16 Now a question came up at our meeting last
17 time about the integrity of the seed relative to
18 surgeons and their cutting around the seed. What
19 could happen if they struck that seed with the
20 scalpel?

21 So I asked one of my assistants, Kelly
22 Classic (phonetic), to do a little experiment and see
23 how difficult it would be to compromise the integrity
24 of one of these seeds if we were cutting in some
25 tissue.

1 So the objective of the study was to
2 determine the vulnerability of the seed by both
3 scalpel and cautery.

4 So a little experiment was done studying
5 seeds in various configurations. One was a control.
6 One was an attempt to cut the seed with a scalpel.
7 Another one was to rupture the seed with cautery. And
8 they used typical surgery technique of 15 kilowatts,
9 if that's important to this discussion.

10 So they did that in pig tissue, and then
11 another experiment they actually put the seeds on the
12 stainless steel plate of the electrocautery, so that
13 if someone was trying to cut the specimen on a hard
14 object, what would that do to the seed?

15 Now they don't do that, but this was sort
16 of what's the extreme of what might be contemplated,
17 that would be it.

18 So again, a control, attempt to cut the
19 seed with a scalpel, and attempt to rupture it with
20 cautery.

21 This shows a dummy seed on a stainless
22 steel plate and cutting it with a scalpel. In
23 addition , live seeds, we took some very old seeds
24 that had been in storage for decay, at a fraction of
25 a microcurie, put them on a stainless steel plate, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 attempted to rupture the seed with electrocautery, and
2 then did leak tests on that.

3 Results. The scalpel did cut through a
4 dummy seed on stainless steel grounding plate, but it
5 required significant pressure. The technologist who
6 was doing this said he had to push down real hard in
7 order to cut that seed with the scalpel.

8 With cautery he pushed with similar force.
9 And this is a scanning electron micrograph of that
10 seed. And you can see a little bit of a dent there.
11 Cautery was not able to break the seed, and this was
12 pushing down on a hard surface.

13 MEMBER WILLIAMSON: Which model seed was
14 it?

15 MEMBER VETTER: This is the ampo
16 (phonetic) seed.

17 MEMBER WILLIAMSON: 67 11?

18 MEMBER VETTER: Or 13? Let's see. 67 11,
19 is that it?

20 MEMBER NAG: Ampo seed, is that the lymph
21 node one, lymph node seed?

22 MEMBER VETTER: 67 11. So let's see, so
23 yes, in this case we saw the cautery dented the seed.

24 And in pig tissue neither the scalpel nor the cautery
25 was able to damage the seed. The seed simply moved

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 around. When you tried to push against it with
2 cautery or push against it with the scalpel, it just
3 moved. And this shows no damage to those seeds.

4 And then the one study using
5 electrocautery to try to break the seed on a stainless
6 steel plate - this was with a live seed, low activity
7 live seed. First they did a wipe test on it to try to
8 detect any radioactivity on the outside of the seed
9 before and after that study.

10 And then when they finished they soaked
11 the seed in betadine to try to determine if any
12 activity was leaching out of the surface of that seed.
13 And that also was some background radiation. So there
14 was no activity on the outside of that seed.

15 So basically the purpose of the
16 presentation was to simply give us some case
17 experience and to address that issue of concern, if a
18 surgeon is cutting and strikes that seed, what does
19 that do to the integrity of the seed? And our
20 conclusions were it did nothing, it did not damage the
21 seed at all.

22 CHAIRMAN MALMUD: Thank you, Dr. Vetter.

23 Dr. Nag.

24 MEMBER NAG: One question and some
25 comments.

1 How many seeds do you typically put in,
2 one or more than one?

3 MEMBER VETTER: Typically one,
4 occasionally two, to define the margins. Sometimes if
5 you want to define the margins they'll use two.

6 MEMBER NAG: If you use more than one,
7 then using the gamma probe would not be particularly
8 helpful unless you are using a gamma probe both on the
9 specimen and on the breast because you may have taken
10 one seed out and not the other.

11 MEMBER VETTER: Oh, true, they do it in
12 both. They use them both.

13 MEMBER NAG: Now the comments, we have
14 used radioimmuno-guided brachytherapy techniques,
15 where we used to inject radioactive material -
16 radioactive I-125 before the procedure, and in the OR
17 used the gamma probe to define the margins for
18 implants. This is something I see very useful, that
19 can be very useful.

20 But you made the comment that the wire
21 localization you can have migration but not with the
22 seed. I'm sorry, I think you are going to have equal
23 migration problems. If you had equal sized wire and
24 equal size seed, both of them can migrate.

25 So I don't think using the seed can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 obviate or can improve on the migration problem. It
2 will improve on the reaction problem, because it's
3 radioactive. You can find out where it is.

4 MEMBER VETTER: The experience of the
5 radiologists of this study showed that there was no
6 migration of the seed.

7 MEMBER NAG: Right. But what I'm saying
8 is, if there is no migration of the seed, there should
9 be no migration of the wire. They are both equal in
10 size.

11 MEMBER VETTER: Let's ask a radiologist.

12 MEMBER EGGLI: Actually they're not. The
13 wire is a very tiny thin wire. It sticks out of the
14 skin, and most wire migration problems come from
15 inadvertent external manipulation of the wire. And
16 where the seed is completely internalized and the wire
17 is a very fine gauge wire. It is like a 23-gauge
18 wire, so that the size of the seed and the size of the
19 wire are in no way, shape or form comparable.

20 MEMBER NAG: Okay, then in that case I
21 take it back. Because the way I do my localization in
22 other tumors is to use inactive seed, which is about
23 the same size as the I-125 seed. So the migration
24 problem is the same.

25 We have done a lot of implants using I-125

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 active seeds, full activity seeds, in the liver and
2 other organs where we are doing surgery at the same
3 time. And so far we have not noticed any rupture of
4 the seeds. And we have used cautery nearby, although
5 I have told the surgeons not to cauterize directly on
6 the seeds. We haven't noticed any loss of integrity
7 on actual patients with full strength iodine seeds.

8 CHAIRMAN MALMUD: Dr. Williamson.

9 MEMBER WILLIAMSON: I have a comment,
10 question, comment. I think as a general comment, it
11 seems like a very intriguing and useful application of
12 the product.

13 The question is, are these seeds freshly
14 manufactured to have this activity, or are they seeds
15 that the vendor has had for nine months and have
16 decayed in storage?

17 MEMBER VETTER: They are seeds that are
18 ordered from the manufacturer specifically for this
19 purpose and approved for one-time use. How the
20 manufacturer manufactured them, I don't know. Whether
21 he stored them --

22 MEMBER WILLIAMSON: Well, I think one
23 issue to think about a little bit, I suspect it might
24 not be a problem with I-125, is that - my guess is the
25 manufacturers are taking all their leftover seeds that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they haven't sold, that have decayed away. And so
2 they're very old from the time of reactor activation.

3 And I think some thought should be given
4 kind of model by model to the presence of high energy
5 contaminant lines in the spectrum.

6 I think if the iodine is manufactured in
7 the reactor driven way, probably the primary
8 contaminant is I-126, which would decay away quickly.
9 But palladium seeds, if you were to ever contemplate
10 using those, there is a variety of manufacturing
11 techniques, including both accelerator and reactor
12 produced palladium-103, so there is the potential of
13 higher energy lines.

14 And this of course would not be a problem
15 for seeds which are relatively quickly used after
16 activation, because overwhelmingly the short-lived
17 palladium would outweigh those.

18 But when you keep a seed for nine months,
19 what started out as .1 percent contamination level
20 would grow proportionately to the low energy. So I
21 think it's one manufacturing issue that should at
22 least be looked at.

23 CHAIRMAN MALMUD: Dr. Nag.

24 MEMBER NAG: Maybe I can address that.

25 The manufacturer of the iodine seed had approached me

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about five or six years ago to ask me whether seeds
2 that were made for prostate implant were used for
3 permanent implant only, we use usually slightly higher
4 for external. Breast implant, we use slightly lower
5 activity.

6 But after that those seeds were being
7 thrown away, and they were asking us whether we could
8 use those seeds for any other activity, like using
9 them as a detector.

10 And so as far as I know, all of these
11 seeds are seeds that were manufactured for prostate
12 implant, permanent implants.

13 CHAIRMAN MALMUD: Thank you. May I just
14 ask a question? What's the fate of the seeds, Dr.
15 Vetter, after they are removed? I understand the
16 implantation and the surgical removal. Now the
17 specimen goes to pathology. Do the pathologists
18 dissect out the seed, and is there some tracking of
19 the radioactive seed so that they are disposed of in
20 a fashion which is satisfactory to you?

21 MEMBER VETTER: Well, recognizing that
22 this is all being done on protocols at this point in
23 time, it's not a standard practice yet.

24 What we require is that a nuclear medicine
25 technologist deliver the seed to the radiologist, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that a nuclear medicine technologist be called to
2 surgery to collect the seed. It is actually removed
3 in surgery by the surgeon. So it doesn't go to
4 pathology.

5 We have, however, educated our pathology
6 lab in the event -- they actually have a detector and
7 they check the specimen as well, in the event somehow
8 it got there.

9 But for the purposes of this protocol, we
10 do track that seed very carefully. It gets delivered
11 directly to the radiologist. It's picked up from
12 surgery by the nuclear medicine technologist. It's
13 then delivered to radiation safety for storage and
14 decay.

15 It could be - if it becomes a matter of
16 standard practice it could be delivered back to the
17 manufacturer.

18 CHAIRMAN MALMUD: The loop is closed. The
19 seed is not lost.

20 MEMBER DIAMOND: Richard, what is the
21 protocol if the patient for some reason cannot proceed
22 with the planned surgery?

23 MEMBER VETTER: You would ask that.

24 No, that's a very good question. And the
25 patients are instructed to stay locally, if they are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from a long distance away. They are instructed to
2 stay in a hotel locally until the day of surgery, and
3 to report on that day.

4 They are called 24 hours in advance to
5 remind them that they have to come to surgery on the
6 next day. So if a patient decided to leave town, so
7 they would leave town with one seed in their breast.
8 It would be a permanent implant at that point, and I'm
9 not sure what the final dose would be.

10 We've never had that problem.

11 MEMBER DIAMOND: My comment was really not
12 towards the patient that absconds, but is really
13 towards the patient that has some inter-current
14 illness and is not medically fit to proceed with
15 surgery. The person has some bleeding disorder, has
16 a cardiac issue, so forth.

17 MEMBER VETTER: Just to respond quickly,
18 I didn't review the exclusion criteria for these
19 patients on the protocol, but I'm sure they screen
20 them very carefully to be sure they're healthy
21 otherwise.

22 MEMBER NAG: I think very relevant to this
23 would be permanent implants in the prostate, where the
24 seeds migrate to the lungs, we have done sufficient
25 study. We have published our data, which shows that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 one or two seeds, and those are full activity seeds,
2 have not had any detrimental effect on the lung or any
3 other organ they may have migrated to.

4 So my suspicion is that if it is one seed
5 with such a low activity it would not produce any
6 detrimental effect on the tissue.

7 CHAIRMAN MALMUD: Thank you, in the
8 interests of time, if there are no more questions
9 we'll move on to Dr. Suleiman's presentation. Is that
10 agreeable?

11 Mr. Essig.

12 MR. ESSIG: I would just offer if - we had
13 an hour scheduled for Dr. Suleiman's presentation. We
14 need to allow the committee to have lunch as well. So
15 if we want to go ahead with that, is it possible to
16 condense Dr. Suleiman's presentation?

17 CHAIRMAN MALMUD: Dr. Suleiman
18 spontaneously offered to reduce his presentation to 30
19 minutes earlier this morning. So he's ahead of us on
20 that subject.

21 But I will ask him whether he'd prefer to
22 give his presentation before or after lunch?

23 MEMBER SULEIMAN: Either way. It doesn't
24 bother me at all.

25 CHAIRMAN MALMUD: All those in favor of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hearing it now, raise your hand.

2 All those in favor of having lunch first,
3 raise your hand?

4 Lunch wins.

5 (Laughter.)

6 MEMBER NAG: By one vote.

7 CHAIRMAN MALMUD: We are adjourned for
8 lunch. Can we reduce it to 45 minutes? Would that be
9 acceptable to everyone? Thank you.

10 So we will re-congregate here at 12:45.

11 (Whereupon, the above-entitled matter went
12 off the record.)

13 CHAIRMAN MALMUD: Good afternoon,
14 everybody. We'll get started with the afternoon
15 session. And it will begin with Dr. Suleiman, whose
16 introductory slide is up on the screen right now.

17 MEMBER SULEIMAN: Thank you, Dr. Malmud.

18 FDA had a public meeting on November 16,
19 2004 to discuss some issues associated with human use
20 using certain types of radiolabeled drugs. And I gave
21 a presentation there regarding the radiation dose
22 issues. And so I thought in the spirit of better
23 communication, I'd give that same presentation here.

24 I'll discuss it later, but I might as well
25 mention it now. The comment period for the public

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 meeting ended in January, but we are going to extend
2 it to sometime in July. Because at the same time
3 there was another guidance that was being proposed by
4 FDA that was raised at the advisory committee called
5 an Exploratory IND. And that FAR notice hit the
6 streets either late last week or early this week. So
7 their formal closing period is July 13th. So since
8 the Exploratory IND will have some impact on the
9 Radioactive Drug Research Committee program, we
10 decided to keep the comment period open. So if you
11 have any comments, the comment period is in fact open.

12 FDA allows research without an
13 investigation on a new drug uncertain situations. Most
14 human research in the United States involving drugs
15 requires application of investigation of a new drug,
16 unless the drug's already been improved. And if there
17 are certain criteria that are met, FDA allows human
18 research to be done to be performed with unapproved
19 drugs, again if certain criteria are met, under this
20 Radioactive Drug Research Committee. And I'll review
21 that briefly. So I'd better get going.

22 In 1975 when the Nuclear Regulatory was
23 established from the old Atomic Energy Commission, FDA
24 promulgated 21 CFR 361.1, which basically authorized
25 such research. These regulations have been on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 books for 30 years. And the November 16th meeting sort
2 of addressed -- actually, it was called Radioactive
3 Drugs for Certain Research Uses. And so we were sort
4 of looking at all the issues associated with that
5 Committee.

6 Transcripts of the meeting, all of the
7 presentations are all available on the FDA website. So
8 if you want to see what else was discussed, I would
9 direct you there.

10 As a brief review without going into
11 detail, provisions of 21 CFR 361.1 allowed research to
12 be done without an IND for research drugs if there are
13 certain pharmacological dose limits met. Specifically
14 we say there shall be do clinically detectable
15 pharmacological effect. There are certain radiation
16 dose limits that have to be met.

17 The qualifications of the investigator,
18 proper licensing and NRC agreement states to your
19 license, informed consent for subjects, the quality of
20 the drug, protocol, reporting of adverse events and
21 separate approval of the institutional review board
22 associated with the institute.

23 The only hook here is that the committee
24 has to be approved by FDA and consist of at least five
25 members, one of whom is a nuclear medicine physician,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 an expert on drug formulation and a radiation
2 dosimetry expert.

3 So that's sort of the RDRC program in 30
4 seconds or less. What I'm going to be discussing
5 right now really are the radiation dose limits.

6 Why do we need to revisit the dose limits?
7 First off in 1975 when we adopted these, we basically
8 used the NRC's occupational dose limits. Since that
9 period of time there have been constantly changing
10 radiation metrics that are more current. A new
11 concept effective dose has been introduced in the
12 scientific community. There's more scientific data
13 regarding radiation risk. And there are also new human
14 research regulations for institutional review boards,
15 which also have some impact on such research.

16 Does that bother anybody it's off the
17 screen? But anyway, these are the current dose
18 limits. And these were the then occupational dose
19 limits used by the Nuclear Regulatory Commission

20 If you look at the slide, you can see that
21 in fact it's a two-tier set of standards. We have a
22 whole body limit and we also have organ specific
23 limits. At the time the feeling was that leukemia or
24 active blood forming organs were a major risk. So we
25 had limits for that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Lens of the eye basically was a derivative
2 of the occupational dose concept. It was felt that if
3 a worker received the maximum dose on a yearly basis,
4 they'd eventually get a deterministic cataract.

5 At that time also there was quite a bit of
6 concern regarding hereditary effects with the gonads.
7 We've seen since then that the hereditary issues are
8 much, much less than was felt at that time. And then
9 the other organs were sort of thrown in under a catch-
10 all category.

11 We also made a differentiation between
12 adults and pediatric research where we said subjects
13 under 18 would receive 10 percent of the adult dose.

14 Also, since the body doesn't differentiate
15 between the source of radiation, we required that the
16 radiation dose that the human research subject
17 received from associated x-ray procedures associated
18 with the research study would also be included in this
19 dose calculation.

20 As I said, the rationale for adopting the
21 occupational limits were that an adult is able to make
22 a decision, and we assumed that a risk also applies
23 the same way for an informed subject.

24 And the other critical thing that
25 sometimes seems to be overlooked but it's clearly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there is that the concept of ALARA -- as low as
2 reasonably achievable -- is specified in the
3 regulation. And that even though some of the people
4 felt that the dose limits were too high at the time,
5 the dose limits were intended as that, as a maximum.
6 But it was felt that medical doses could be kept lower
7 to be consistent with the study.

8 A review of our files basically showed
9 that organ doses are the limiting constraint, not
10 whole body limits. And in general, though the
11 committees must report to FDA on an annual basis so
12 you would expect that when you self-report and list
13 all your doses, we require that all the doses be
14 calculated, you'd expect general compliance. And I
15 use the word "general," because we still do get some
16 examples of doses that have exceeded the organ limits
17 and they're reported to us. But the Committee didn't
18 apparently review all the doses that were there.

19 Another reason for the change, and I
20 initially wanted to label this slide as just why
21 there's so much confusion, but this is an extremely
22 brief synopsis of what's transpired over the last 30
23 years. But when the dose limits for the Radioactive
24 Dose Committee were promulgated, the biological
25 absorbed dose equivalent was rem. In '77 the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 International Commission on Radiological Protection
2 promulgated effective dose equivalent. And during
3 this period of time until now we still have the
4 international system of units, SI, sort of looming
5 like an 800 pound gorilla and people still use the old
6 units. We're all guilty of it. But the rads to gray,
7 the rems to sieverts, the curies to becquerels.

8 In 1991 the NRC to their credit got around
9 to adopting the effective dose equivalent about the
10 same time that the ICRP replaced effective dose
11 equivalent with effective dose. Conceptually these
12 are two very similar concepts. There's less
13 difference between them than there was between the
14 introduction of effective dose equivalent. Effective
15 dose equivalent was based more so on mortality risk,
16 whereas effective dose included more morbidity. But
17 probably when you consider the uncertainty associated
18 with the risk estimates, they're scientifically
19 statistically probably very equivalent.

20 In '93 the U.S. National Council on
21 Radiologic Protection adopted an effective dose. And
22 last year in 2004 ICRP proposed some modification of
23 effective dose. And here's FDA sitting there with a
24 30 year old set of doses.

25 Brief review for effective dose. It's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 basically what I call a homogenized metric for
2 radiation risk. And it allows, the real value of it,
3 partial body irradiations like a chest x-ray to be
4 equated to a uniform whole body irradiation. So it
5 allows you to compare doses from a variety of sources.

6 A caveat is that this was designed as a
7 unit of radiation protection and it really was not
8 intended for scientific studies or epidemiological
9 studies where the specific organ doses really need to
10 be known along with the age and the sex of the
11 individuals. But in order to derive effective dose you
12 really need to know the organ doses. And for research
13 you should know the age and the sex.

14 To calculate effective dose each
15 individual dose is essentially multiplied by its
16 respective tissue-weighting factor. And the sum of
17 all these is the equivalent to effective dose.

18 Here, just to show you one of the problems
19 with guidances or regulations, is things change over
20 time and sometimes it takes as long to change the regs
21 to keep up with the science. But you can see back in
22 1977 the tissue-weighting factors have changed
23 somewhat for the gonads. They've been downgraded.
24 The breast has undergone a dramatic change. And that's
25 because like congressional redistricting, the tissue-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 weighting factors have to add to 1.0. So if you give,
2 you have to take away from somebody else. So it's a
3 quasi-political, you know, scientific set of numbers.
4 So that's why you've had some anomalous changes there.

5 And, in fact, at the public meeting Eric
6 Hall from Columbia actually proposed why doesn't FDA
7 just go ahead with a single, assign a tissue weighting
8 factor of .1. He says these aren't too significant
9 figures anyway, so why not just simplify. So we're
10 going to note that comment.

11 I also went to an awful lot of effort
12 because the value, the value of effective dose is that
13 you can compare doses from a variety of sources.
14 Using effective, though, for standardize from the
15 second column you can compare the dose in
16 millisieverts for relative risk with other metrics for
17 relative risk with other metrics, such as the standard
18 chest x-ray. I spent most of my career doing studies
19 where we measured the dose patients received from
20 chest x-rays. So anytime somebody compares the
21 standard chest x-ray it would always bother me because
22 I knew they didn't understand what the standard chest
23 examine was. But, in discussing this with individuals
24 and with lay people and lay professionals I said which
25 relative metric do you feel more comfortable with. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was surprised that the chest x-ray seemed to be more--
2 even though they didn't know what the dose was from a
3 x-ray, they knew that better than background, which
4 somehow confused people which is what I call
5 equivalent time. And I thought as scientists the fifth
6 column was really my piece of cake. I said here,
7 here's the actual risk. Cancer mortality using the
8 ICRP dose coefficients, you know. One in 10,000, one
9 in a 100,000 or so on. And that seemed to be looked at
10 that least. I mean, people were more concerned about
11 the relative issues.

12 And I do want to make a point here that
13 these are average doses. Inherent in these numbers is
14 a certain amount of very real variability. Background
15 environmental levels may vary by a factor of two,
16 depending on whether you live in Denver or sea level
17 or whatever. Radiopharmaceutical doses may vary by
18 several factors depending on how much activity is
19 delivered to image the patient faster or
20 inefficiencies in the imaging system.

21 X-ray doses can also vary as much as an
22 order of magnitude. And some exams, like fluoroscopy
23 can vary by as much as two orders of magnitude, a
24 factor of a 100. But these are relatively credible
25 numbers and gives you a feel here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The bottom two lines, which was really my
2 bottom line was well where do the RDRC dose limits fit
3 in in this. And here you have the whole body dose
4 limit of 5 rem or 50 millisieverts. And also, as I
5 said, the organ doses are constraining. And so here's
6 the red bone marrow dose as an example. And that was
7 much, much less of a dose.

8 MEMBER VETTER: Excuse me, Orhan, what was
9 your equivalent time again? What is that?

10 MEMBER SULEIMAN: Oh, equivalent time is
11 just natural background environmental radiation. So
12 three millisieverts which is 300 millirem from the
13 U.S.. And so I've seen slightly different numbers
14 depending on which report people talk about. But the
15 variability is greater than the reported numbers.

16 So we formally asked at the meeting are
17 current dose limits for adults for research conducted
18 under 361.1. And if not, what should we use? And
19 should there be different dose limits for different
20 adult age groups?

21 We then continued the discussion to
22 pediatrics, because there has been some recent
23 legislation encouraging pediatric research. There have
24 been recent regulations addressing pediatric research.
25 So we wanted to address this. And we generated a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 similar table here.

2 And I point out here, because we had some
3 nice examples of the dose of 5, a 10 year old and an
4 adult would receive. Because patient size also has a
5 significant impact on how much dose an individual may
6 receive.

7 The pediatric issue was multifaceted,
8 because I think it was in 2001 there were new
9 regulations by HHS regarding protection of human
10 subjects and Subpart D for additional safeguards for
11 children in clinical investigations. I will not go
12 into detail here, but there has been quite a bit
13 controversy. Part of it is because these regulations
14 define minimal risk, define greater than minimal risk,
15 define indirect benefit to the subject, but they don't
16 give any numbers. So a minimal risk is defined as the
17 risk associated with daily living. And so what does
18 that mean? And so until -- I understand there's some
19 guidance that may come out, but until they actually
20 come up with some guidance, that's really left up to
21 the interpretation of different people.

22 Also basically from the life span study
23 we're seeing -- back that up. Can you back up the
24 slide?

25 From the life span study we also see --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we're validating what we suspected that the atomic
2 bomb survivors, they're living longer. Just like the
3 healthy worker syndrome, it's now called the healthy
4 survivor syndrome. They are living longer, but they
5 do have higher levels of cancer, albeit very low
6 levels. You know, they are showing up with that.
7 There's also a non-cancer risk. And this is still a
8 work in progress. Most of the survivors will probably
9 die in the next 10, 20 years in which we will get more
10 of this information. And so we'll have some science.
11 So it's not zero risk, but it's extremely low risk.

12 And here I want to thank Dale Preston for
13 sharing, allowing me to use this slide. But you can
14 see, this red line here, the zero to 9 at time of
15 exposure survivors. And they have about two and a
16 half relative risk. And if you come down here to the
17 much older population, it's like one fourth. So
18 you've got about ten to 12 fold difference in
19 sensitivity, you know, for these different age groups.

20 So if you're doing research and you want
21 to keep the risks the same, should we make an effort
22 to adjust for age. So we asked the same questions for
23 pediatric. It's consistent with the human research
24 regulations; do current dose limits appropriate for
25 pediatrics studies, if not what do you think would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 appropriate? And should we have different pediatric
2 age groups?

3 So this concludes my formal presentation,
4 which I gave at our November 16th meeting. But during
5 that meeting the public was also made aware that FDA
6 was preparing a parallel guidance called Exploratory
7 IND, which would allow microdose quantities of a drug
8 to be tested first in humans and would potentially
9 eliminate the prohibition of first in humans research
10 under RDRC. We do not allow first in humans to be
11 conducted under this research program.

12 And so there was concern to extend the
13 comment period for the RDRC public meeting to coincide
14 with the Exploratory IND guidance. So that FR notice
15 which was had published it in January, just got
16 published either early this week or very late last
17 week. And the closing date on that is July 13th. And
18 yesterday I found out our closing date is going to be
19 very close to July 13th, but we don't know what date
20 the lawyers are going to put in. But it's going to be
21 sometime in mid-July, so that people will have the
22 opportunity to read both sets, both the public meeting
23 and the Exploratory IND comment.

24 And, again, if you go to our FDA website,
25 or an easier way is just to go fda.gov and search

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 rather than try to the long URL link.

2 Thank you

3 CHAIRMAN MALMUD: Thank you. Thank you
4 for the update and the presentation.

5 Any questions for Dr. Suleiman? Dr.
6 Vetter?

7 MEMBER VETTER: Correct me if I'm wrong,
8 but I think the RDRC regs already take into account
9 pediatrics. Isn't the limit 500 millirem.

10 MEMBER SULEIMAN: Yes.

11 MEMBER VETTER: Okay. So it's 5 rem for
12 adults, 500 for --

13 MEMBER SULEIMAN: It's ten percent of the
14 adult limit.

15 MEMBER VETTER: Right.

16 MEMBER SULEIMAN: Correct.

17 MEMBER VETTER: Now that actually turns
18 out to be consistent with some very recent guidance
19 from EPA which has stated that they believe that the
20 risk to children is anywhere from three to ten times
21 that of an adult, depending on age category. The risk
22 is higher.

23 MEMBER SULEIMAN: Yes.

24 MEMBER VETTER: So in fact it's consistent
25 with EPA's recent findings?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER SULEIMAN: Well, if we're looking
2 at the same science data, we should be drawing the
3 same conclusions.

4 MEMBER VETTER: Right. Exactly. Yes. So
5 then the question that comes to my mind is why would
6 we want to change that?

7 MEMBER SULEIMAN: My concern
8 professionally is that there's no differentiation
9 right now between a neonate and a 17 year old. And
10 the difference between a 17 and 18 year old is tenfold
11 in terms of how much they're allowed to receive.

12 MEMBER VETTER: Okay. Now you look at the
13 EPA guidance, I think it's from puberty up to 18 it's
14 a factor of three. And below that it's a factor ten.
15 So you actually more conservative in protecting the 17
16 year old than what the data would suggest you need to
17 be?

18 MEMBER SULEIMAN: Okay.

19 MEMBER VETTER: So consequently then, I
20 mean my own personal reaction to that would be that,
21 again, we have adequate protection for the entire
22 pediatric range by being a factor ten lower in the
23 limit.

24 MEMBER SULEIMAN: I mean, I don't want to
25 comment too much, because we're in an open comment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 period. But the pediatric issue, as we debated within
2 FDA, was everybody was lumped together whether they're
3 neonate or 17 year old. And even with adults you have
4 a drop off as people get older

5 CHAIRMAN MALMUD: So you are suggesting
6 that we may wish to consider a weight-based or age-
7 based sliding scale?

8 MEMBER SULEIMAN: We wouldn't have asked
9 the question if we weren't considering it. And I think
10 we want to hear what the community has to say and then
11 we'll take those comments into consideration and make
12 a decision

13 CHAIRMAN MALMUD: Thank you again, Dr.
14 Suleiman.

15 If we may, we'll move on to the next item
16 on the agenda, which is Dr. Sherbini's presentation on
17 establishing guidance on exceeding dose limits for
18 members of the public.

19 Dr. Sherbini.

20 DR. SHERBINI: Thank you. Good afternoon.

21 This subject came up in last year's
22 meeting. And the discussion was we need to do
23 something to allow some people, members of the public
24 who are taking care of patients in the hospital, to
25 exceed the currently allowable dose limits. And we've

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 done some work on this, and this is what we have come
2 up with.

3 Okay. The issue is that the dose limit is
4 100 millirem under normal conditions. And this can be
5 raised to 500 under certain specified conditions. They
6 can be raised by the authorized user, basically. And
7 on some occasions this limit, even the 500 millirem,
8 for caregivers situation.

9 Where are the high limits needed?
10 Obviously in hospital settings where radioactive
11 materials are being used and where a member of the
12 public is taking care of a patient or participating in
13 patient care, and the dose required for such care is
14 estimated to be much higher than the allowable dose.

15 We looked at several options, and one of
16 the options which is the one also recommended by NCRP,
17 is to go up to 5 rem. We didn't like this option
18 partly because the underlying considerations for
19 arriving at the 50 millisievert. does not really
20 conform to the caregiver situation in the hospital.

21 First of all, the annual dose limit of 5
22 rem represents an apportioned risk, which is the
23 underlying risk is a lifetime risk and were just
24 simply divided over 50 years. And that represents one
25 of the 50 years. So even that doesn't really represent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a meaningful risk level for a caregiver situation.
2 And also, we felt that the 5 rem would not be needed
3 in a lot of situations, in fact in most situations it
4 would not be needed. The needed dose would probably
5 be less than 5 rem, and we felt that allowing a limit
6 that is much higher than is needed may encourage
7 people to use what is allowed, basically, and there is
8 less care in minimizing the dose.

9 So for all these reasons we felt this was
10 not a viable option.

11 We then looked at the guides and also the
12 emergency dose situation limits. And these
13 philosophically correspond much more closely to the
14 caregiver situation. But the down side that the dose
15 is way too high. It's inconceivable or very unlikely
16 that anyone would need 25 rem for a caregiver
17 situation. So we felt this was not an option.

18 Having eliminated these two options, all
19 that we were left with was to basically let the
20 licensee determine what dose is need, and then tell
21 the NRC is what they need. And the NRC would basically
22 approve it. And that is the option we like best, and
23 that is the option we're recommending to the
24 Commission.

25 Yes, sir?

1 MEMBER DIAMOND: Just for clarification,
2 could I ask you to define what a patient caregiver is?
3 Are you talking about a family member taking care of
4 an ill relative? Are you talking about a nurse who is
5 providing specific comfort to a patient? I'm just
6 curious about your definition.

7 DR. SHERBINI: No. This is basically a
8 special case of a member of the public. This is not an
9 occupational situation. So the --

10 MEMBER DIAMOND: So a family member, for
11 example?

12 DR. SHERBINI: Yes, a family member,
13 somebody, a friend; somebody like this who would
14 normally under normal circumstances be considered a
15 member of the public.

16 MEMBER DIAMOND: And therefore by that
17 definition be considered a one time exposure as
18 opposed to an ongoing thing?

19 DR. SHERBINI: Yes. Absolutely.

20 So that's what we're recommending to the
21 Commission.

22 How would this system work? Somebody at
23 the licensee's facility or some authorized person
24 would decide that they have what we might call a
25 caregiver situation. In other words, they have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 family member who needs to take care of a patient. So
2 this is the condition would be recognized and
3 acknowledged.

4 The user then would estimate how much dose
5 is needed and the regional office would be contacted
6 to obtain a license amendment for that case.

7 These things might change a little. For
8 example, the authorization from the regional office
9 may not be for a specific patient or a case-by-case
10 basis, it could be for a license which has been done
11 before. So these things still need to be worked out.

12 MEMBER DIAMOND: Could you move your
13 microphone just a little?

14 DR. SHERBINI: Pardon?

15 MEMBER DIAMOND: Can you move your
16 microphone a little?

17 DR. SHERBINI: Oh, okay. I'm sorry.

18 All right. Basically there will be
19 certain, you know, procedures that has to be followed
20 to ensure that the approach is not misused or
21 mishandled. And so the caregiver would be provided
22 instructions, they would sign a consent acknowledging
23 the risk that they are undertaking. They would provide
24 it to dosimetry to measure the dose more accurately
25 than just estimating it from survey data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The dose, the running dose would be
2 tabulated and the radiation protection staff would
3 keep track of it. If the dose is going to be exceeded
4 from what is authorized, then actually it would have
5 to be taken to raise the limit and the new limit would
6 be established.

7 What we plan to do is if the Commission
8 approves this approach, we would plan to issue
9 guidance. And the purpose of the guidance would be to
10 make implementing this program, more or less, uniform
11 across regions and also by the agreements.

12 Yes, sir?

13 MEMBER DIAMOND: If I may, the way I think
14 of this is sort of analogous to what Dr. Williamson
15 was talking about earlier today where this is a very,
16 very rare situation where for humanistic reasons
17 exemptions are granted to current guidelines. So by
18 definition, to go and ask a licensee to request a
19 specific amendment or to go through the amendment
20 process for an eventuality that may never occur to me
21 is not useful. Instead what I would say is probably
22 within the guidance space would be a discussion that
23 in extraordinary circumstances provided certain key
24 step are met such as the clear cut informed consent
25 documentation by the authorized user, use of formal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dosimetry, attempts to minimize the radiation exposure
2 as much as possible. I think that probably would be
3 sufficient. In my career, I've never had one of these
4 instances, for example, and except for the example
5 that we heard earlier I really can't think of an
6 example of this happening.

7 DR. SHERBINI: Yes. In answer to your
8 question, first of all, the licensee would not request
9 such an amendment unless they feel they need it. So
10 most licensees would not request such an amendment.

11 And the other thing is that because it is
12 done outside of the regulations, the amendment is
13 necessary otherwise the licensee would be in
14 violation. Because the regulations still apply. I
15 mean, the limit is still 100 millirem or 500 millirem
16 per year. Even if the circumstances are extraordinary,
17 if the licensee allows a member of the public to
18 exceed that, they're in violation and they would have
19 to be cited. And that's what an amendment is supposed
20 to take care of; to put in the license the fact that
21 the licensee is allowed to do this.

22 We explored the possibility of changing
23 the regulations so that they would do exactly what you
24 just said. But the people who reviewed this proposal
25 almost unanimously agreed that rulemaking is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 warranted. It's very expensive and the number of
2 cases is very small, and therefore it is not
3 warranted, at least at this time.

4 Yes, sir?

5 MEMBER EGGLI: I can foresee this
6 happening in my pediatric thyroid cancer population.

7 DR. SHERBINI: Yes.

8 MEMBER EGGLI: Where a parent needs to
9 provide care for the child because the child can't
10 manage an isolation environment and maintain the
11 conditions. But although we have some lead time, we
12 don't have massive lead time. How nimble do you
13 anticipate this system to be to respond to these
14 special situations as they arise?

15 Sometimes our lead times are a week or
16 two, sometimes they're shorter than that. But they're
17 not months. So how nimble will this kind of system
18 be?

19 DR. SHERBINI: We are hoping, if we do
20 this right, we are talking days. Not more than days.
21 And if a department, a pediatric department has a need
22 for this kind of thing on a regular basis, it might be
23 possible to put this into license so you don't have to
24 get an amendment for each patient. But that would be
25 a broader --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER EGGLI: You mean that would be to
2 describe the general case of a parent caring for a
3 child?

4 DR. SHERBINI: Yes. Absolutely.

5 Yes, sir

6 CHAIRMAN MALMUD: I think Dr. Williamson
7 had an earlier question.

8 DR. SHERBINI: Oh, Dr. Williamson?

9 MEMBER WILLIAMSON: No. Dr. Eggli
10 essentially asked. My question was I was concerned
11 that the license amendment process could respond in a
12 timely enough fashion to preclude, for example, like
13 the St. Joseph's Hospital event from escalating.

14 DR. SHERBINI: Yes.

15 MEMBER WILLIAMSON: Because perhaps
16 sometimes the level of cooperativeness of a relative
17 can't be predicted, and the event might be ongoing.
18 So I should think very nimble.

19 DR. SHERBINI: Yes. I think the purpose
20 of the guidance is to have everything in place in such
21 a way that once a phone call is received from a
22 licensee, everything would be more or less automatic.
23 It's been worked out before, all the details are
24 worked out before. So it would be a matter of just
25 quick approval. And so it shouldn't take much time at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 all.

2 Yes, sir?

3 MEMBER VETTER: My question as along the
4 same line. The only experience we've had that is
5 similar to this was with an iridium implant where I
6 received a phone call at 10:00 at night and the
7 patient was going downhill was very fast.

8 DR. SHERBINI: Yes.

9 MEMBER VETTER: The family wanted to spend
10 time with the patient. And the patient had to be
11 moved to ICU. And so we were able to provide portable
12 shielding and so forth to accommodate that.

13 DR. SHERBINI: Right.

14 MEMBER VETTER: But with widely dispersed
15 radioiodine, it wouldn't be nearly that easy. And so
16 at 10:00 at night I'm going to have to call someone at
17 NRC and say -- I mean, in terms of the response time,
18 that's what we would be looking for.

19 CHAIRMAN MALMUD: It would be rare
20 occurrence, though, would it not?

21 MEMBER VETTER: Oh, yes. These are very
22 rare.

23 DR. SHERBINI: Yes. We would have to work
24 this out. I'm not sure how to answer this question at
25 this point because we haven't worked out the details

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 yet.

2 CHAIRMAN MALMUD: Dr. Nag?

3 MEMBER NAG: We are not frequently, like
4 on and off, in this situation with low dose rate
5 brachytherapy in the pediatric population. We solve
6 it most of the time by using high dose rate so that we
7 don't expose the parents. But I think that we'll be
8 able to solve it a lot of time, we work on a case-by-
9 case basis. Can we not have in the guidance that in
10 a situation where a similar condition exists, you
11 would be able to exceed if it is in a medically --
12 with all these provisions that you have made that, you
13 know, that the relative be informed and informed of
14 the risk and so on?

15 DR. SHERBINI: Yes. This can be arranged
16 by simply making the amendment broader than patient-
17 by-patient as I said earlier. Every time you have a
18 patient you call, then it will be your department is
19 authorized to do this for any patients in a similar
20 situation. So that's possible.

21 CHAIRMAN MALMUD: Yes?

22 MEMBER RAIZNER: A question. You focused
23 on the caregiver but you mention in the slide higher
24 level may be needed in some hospital settings. Are you
25 referring there to hospital personnel? And that might

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be the more common scenario. And would you anticipate
2 a similar system of notification for that one specific
3 individual and that specific situation? That might be
4 a more --

5 DR. SHERBINI: Well, if we're talking
6 hospital personnel, I would interpret as somebody who
7 is occupationally exposed. And they don't fall into
8 this population.

9 MEMBER RAIZNER: So they would not need
10 special provision for --

11 DR. SHERBINI: No, they're already limited
12 to 5 rem per year, so that really isn't a problem.

13 Yes, sir?

14 MR. ESSIG: We do have the plan special
15 exposure that is occupational that they can implement.

16 DR. SHERBINI: I understand that, too.
17 Yes. Right

18 CHAIRMAN MALMUD: So, Dr. Sherbini -- or,
19 excuse me. Dr. Schwarz?

20 MEMBER SCHWARZ: I just was asking if like
21 Dick Vetter has suggested, that there's ever been an
22 opportunity in their facility to have an occasion that
23 might be warranted at 10:00 at night, would it be
24 reasonable for these institutions to then
25 automatically -- I mean, at the point the guidance is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 written, to go ahead and submit an amendment that
2 would cover at least an initial starting point that
3 would allow that licensee to have a higher limit for
4 these particular cases. Though they're isolated, at
5 least it would avoid that 10:00 at night call if they
6 could anticipate a situation. And then possibly as the
7 case would progress, they might have to then revisit
8 the NRC and ask for another increase in the exposure
9 for that particular person.

10 DR. SHERBINI: That would seem reasonable.
11 But I don't know if it would be legal. We would have
12 to check with our lawyers to see if we can do that.

13 Yes, sir

14 CHAIRMAN MALMUD: Dr. Sherbini, it sounds
15 as if what the Committee is suggesting is that the
16 first element of this be the requirement for
17 contemporaneous notification to the NRC district
18 office that this is a need, allowing the practice of
19 medicine to move forward and giving the NRC office
20 adequate time to respond. Because, in general, if the
21 exposure is going to be significant, it's going to be
22 over a matter of days anyway. So the NRC regional
23 office would have time to respond.

24 It'll be interesting to review that, as
25 you will do with NRC legal staff, to determine if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's acceptable currently in the event that another
2 event situation should arise similar to one that
3 occurred in the hospital Michigan.

4 DR. SHERBINI: Yes. I think for
5 occurrences that transpire during the day, that should
6 not be a problem. And that's the whole purpose of
7 preworking out all the details. But the situation that
8 was raised as to late at night, I'm not sure how this
9 could be handled. We can probably work out something,
10 but I'm not sure how.

11 CHAIRMAN MALMUD: Dr. Zelac?

12 DR. ZELAC: It's probably worth noting
13 that if a particular licensee is going to implement a
14 specific procedure where they anticipate that the
15 doses to the caregivers will exceed the current
16 limits, they can apply in advance, as Dr. Sherbini has
17 said, to get an amendment to their license to cover
18 that circumstance.

19 We have at least one broad scope licensee
20 who has done exactly that and has described both the
21 dose limit that they feel is appropriate for the
22 parents of the children, as well as the training that
23 the parents will receive, as well as the safeguards
24 that they will implement for all the parents. And they
25 have an amendment and can on a routine basis treat

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients following that protocol.

2 CHAIRMAN MALMUD: Thank you, Dr. Zelac.
3 Dr. Suleiman was next.

4 MEMBER SULEIMAN: I had a similar comment.
5 First off, to have to file an amendment to allow this
6 seems to me absurd and very difficult. Okay. I would
7 think that any license that's going to administer
8 therapeutic quantities of a drug probably would have
9 in it an inherent -- you know, something to address
10 this sort of situation. And I don't mean particularly
11 anything from Ralph Lieto's presentation, but I was
12 looking at it and I think -- it shouldn't have to be
13 done on a case-by-case basis. I think this has the
14 potential of being done more frequently and maybe just
15 isn't reported as often. But I think making it just
16 part of a license application would be appropriate.

17 DR. SHERBINI: Well, you know, taking this
18 route involves a lot of work and preparation. And I
19 would imagine that generalizing it to most licensees
20 would be cumbersome for most licensees, because most
21 of the things that need to be done under this method
22 would not be done by most licensees. For example,
23 monitoring, instructions to people who are about to
24 exposed, the caregivers, et cetera. There are a lot
25 of things that you need to do if you're going to do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this, which you wouldn't otherwise. And so
2 generalizing it would really not be beneficial for
3 most people. It would be cumbersome.

4 CHAIRMAN MALMUD: We're looking forward to
5 the next step in the process as it evolves.

6 DR. SHERBINI: Thank you. Thank you.

7 CHAIRMAN MALMUD: Oh, Dr. Miller?

8 DR. MILLER: Yes. If I may just
9 supplement. It seems to me there's two aspects of
10 this proposal that Dr. Sherbini has made on behalf of
11 the staff. One is the technical merits of what he's
12 proposed. And I think, you know, as we move forward,
13 part of the reason for his presentation today I think
14 is so that the Committee understands where the staff
15 has come out with regard to the technical merits of
16 it. That meaning, should there be an absolute dose
17 limit or not. And I think we've concluded that there
18 shouldn't be. It's a case-by-case basis.

19 We don't know how the Commission will
20 react to that proposal. But I guess what's beneficial
21 is to know how that strikes the Committee. And I
22 think Sami's had some preliminary discussion with the
23 Committee on this already.

24 The other side of is is what we'll call
25 the legalistic aspect; how do you implement it? And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the license amendment is the vehicle, but in practice
2 what you're asking for is an exemption to the
3 regulations as they're currently written. And if it's
4 rare that this takes place, our lawyers will entertain
5 exemptions. If we find that it becomes more routine,
6 then what our lawyers instruct us is we can't regulate
7 by exemption; that we have to change the regulations.

8 And so I think what Sami's proposed I
9 think he feels is something that will happen in a more
10 rare case, if I understand it, so therefore the
11 exemption process would be more appropriate for that.

12 I recognize what we're also looking for
13 here is your insights, and some of it has already been
14 put on the table concerning the timing of it. Is this
15 something that you're only going to know a few hours
16 in advance? Can it be predicted? Is it something
17 that gives enough time? We have mechanisms in place
18 to move fairly rapidly on emergency actions if the
19 merits of the case meet the action. But if those
20 emergency actions, as I said, become more routine than
21 not, we're pushed by our lawyers to get a permanent
22 regulatory fix to the problem.

23 So there are the issues that we're going
24 to face as we move forward on this.

25 CHAIRMAN MALMUD: Thank you, Dr. Miller.

1 Is there another comment? If not, we'll
2 move on to the next item on the agenda, thanking Dr.
3 Sherbini for -- did I hear who?

4 MEMBER LIETO: I think I have a
5 presentation on this.

6 CHAIRMAN MALMUD: Dr. Lieto? You are the
7 next item on the agenda

8 MEMBER LIETO: Just as background note, I
9 know that these slides are not in the packet, although
10 they were sent out individually to staff and to ACMUI
11 members. But there are also copies, I believe, of the
12 slides on the desk if people have not gotten them yet.

13 In putting together my presentation, I did
14 not, unfortunately, have the benefit of Dr. Sherbini's
15 slides, so I did though use as some input the draft
16 staff document that ACMUI commented on I think in
17 January that addressed sort of a draft position that
18 NRC staff was looking at regarding this specific
19 subject.

20 Just as some background as to what the
21 purpose is, the impetus for this, the discussion of
22 the dose reconstruction and the incident that involved
23 that St. Joseph's Hospital in Ann Arbor, which was
24 addressed at the Commissioner's meeting in April of
25 last year. It was further affirmed as a secondary

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 goal of the dose reconstruction and specifically a
2 goal of the ACMUI at its meeting following that in
3 April.

4 That should say 2004, not 2002. Sorry
5 about that.

6 And most of this has been specified in a
7 SECY document 04-0107, which I'll refer to just as
8 SECY 107 in the future.

9 The issue, as I see it, is that we have
10 dose limits for members of the general public which
11 are either family members or external caregivers that
12 may exceed the 100 millirem annual limit for members
13 of the general public.

14 We are specifically looking at situations
15 where the hospitalized patient contains a therapeutic
16 amount of radioactive materials. Now, as I understand
17 it, the limit for members of the general public in
18 terms of the documentation for allowing them to get
19 the 500 millirem applies to released patients. Okay.
20 What we're talking about is still hospitalized
21 patients. So it's the 100 millirem limit that is
22 applicable here.

23 And just to sort of underscore that, that
24 was one of the major violation citations to St.
25 Joseph's Hospital, was exceeding the 100 millirem

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 limit. Not the 500 millirem.

2 And what I'm going to present here are a
3 couple of assumptions that I think we've already
4 addressed. These are rare occurrences for any
5 individual licensee. The initiating event can and
6 could occur and did occur in an extremely short period
7 of time, within a matter of 24 hours. And to
8 underscore this point, it occurred over a holiday.

9 So I think requiring even regional
10 emergent approval of a license amendment would not
11 have satisfied or benefitted this situation that
12 occurred at St. Joseph's.

13 The licensee has resources available
14 because of existing authorization for hospitalized
15 patients.

16 Now, the guidelines that I'm going to
17 present here are basically what should that dose limit
18 be on that be members of the public that would be
19 allowed. Who these guidelines should apply to
20 specifically. And a process for that should be
21 incorporated into this or could be incorporated into
22 these guidelines? And where should reference for these
23 guidelines occur in?

24 I'll take the latter one first. There are
25 different types of references where the guidelines

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 could be established. One would be in regulation. I
2 think we're all in pretty much agreement, this is
3 really undesirable to have this in a very prescriptive
4 regulatory space as well as the fact just the time to
5 achieve coming to some resolutions on guidelines, we
6 could be looking at years.

7 A license amendment is still a regulation.
8 It's a de facto commitment. It is a prescriptive
9 requirement. And it is something that the licensee
10 would have to stay on top of as they go about changing
11 this. So that if a license amendment was submitted,
12 say now and was approved and yet this event that might
13 occur years down the road, did occur, heaven forbid,
14 the situation may be such that they may need to make
15 some changes to that. They would have to go back into
16 amendment space, if you will, with the NRC to get
17 changes to that.

18 The preferences, again from my
19 perspective, would be either as a regulatory guide
20 which is a well established mechanism, or the
21 regulatory issue summary which is a relatively new
22 thing with the NRC. But in reading what is the
23 purpose of a regulatory issue summary, a couple of the
24 objectives for that is to solicit voluntary licensee
25 participation and staff sponsored programs. Another

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 purpose of this is to announce staff technical or
2 policy positions not previously communicated to
3 licensees or broadly understood. So that might be a
4 more positive mechanism, plus giving us some latitude
5 in changing things as we go along.

6 Now, there may be another guideline that
7 NRC staff may be familiar with that they might want to
8 present that these guidelines should be in. But I
9 think definitely the former two there, or the first
10 two regulations or license amendments are definitely
11 undesirable.

12 The next point that I wanted to make a
13 recommendation for discussion is the allowable dose
14 limit. In the draft staff statement or document they
15 basically said let's leave it up to the licensee. The
16 first thing a licensee is going to ask is what limit
17 do you want. Okay. They're going to need some
18 boundaries by which they can act upon in terms of
19 communicating risks and implementing procedures.

20 I'm recommending a two tiered approach in
21 that there would be the 100 millirem to 500 millirem or
22 one to five millisievert which would simply require
23 notification of the NRC regional office and/or the
24 agreement state.

25 Now I'm kind of questioning this because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I don't know if the agreement states are empowered
2 under their compatibility rules to allow these higher
3 values, if you will, or these differences in the dose
4 limits. Again, I would defer to NRC staff to clarify
5 that. But simply it would require an immediate
6 notification of the situation to the NRC regional
7 office and if appropriately, in the case of an
8 agreement state, to the appropriate agency in the
9 state.

10 The second tier would be up to 5 rem or 50
11 millsieverts. Again, same type of notification in
12 addition to fulfilling certain criteria and
13 commitments.

14 Now, the 5 rem justification is that the
15 5 rem has been addressed in NCRP Commentary 11, which
16 specifically addressed dose limits to individuals who
17 receive exposure from radionuclide or
18 radiopharmaceutical therapy -- or radionuclide therapy
19 patients.

20 I do disagree that with Dr. Sherbini that
21 I think in terms of a risk limit, an equivalent risk
22 limit that the fact that 5 rems is being allowed for
23 occupational radiation workers does provide a
24 justification for allowing exposures up to that level.
25 It's again, just simply not from an apportion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 standpoint, but just simply the risk to an individual
2 from radiation. And also that 5 rems, even though as
3 Dr. Suleiman has pointed out, this is a fairly old,
4 the FDA still does allow up to 5 rem dose limit for
5 research subjects of agents that are "generally
6 recognized as safe."

7 So I think the 5 rem is a reasonable
8 justification. And when you look at it as being a
9 factor of 50 larger than what is allowed right now, I
10 think it still allows a very large increase in
11 exposure to a member of the general public. And I
12 think by establishing also a limit, it does provide a
13 justification in trying to maintain an ALARA concept
14 to how much you're going to allow the individual.

15 Now, who would be the patients that would
16 be involved in this? Obviously, if there was a life-
17 threatening situation where the patient is going to
18 pass away in a matter of hours or days, there's a
19 compassionate implication or reasoning here. As Dr.
20 Eggli brought up in the case of pediatric patients
21 where the medical care might be adversely effected
22 without the family caregivers being present, but it
23 would require determination by the patient's physician
24 and possibly the authorized user. In other words,
25 there would need to be a documentation that both the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 referring physician and the authorized user were
2 involved in this situation of allowing for this
3 situation to be occurring.

4 The family caregivers, I seem to recollect
5 that in NCRP Commentary 11 they actually define what
6 they mean by the caregivers in these types of
7 situations. And it would be essentially, as was
8 discussed earlier, a relative or an extended family
9 member who has been involved with that individual's
10 care.

11 A suggestion is not including minors or
12 allowing minors to be present. I think there's,
13 obviously, there probably is going to be some
14 discussion on maybe that point. But it's just, again,
15 a suggestion in terms of recommendation of who these
16 caregivers, family caregivers are.

17 And that it has to be willingly accepted.
18 It can't be something where these individuals are
19 saying they need some additional care, you need to be
20 there. It's got to be something that's willingly
21 accepted by the family caregiver member that's
22 present.

23 Now, one category of family caregivers
24 that I think needs to be discussed in the future has
25 to do with what happens if it's a mother who is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pregnant. Okay. And can we say or should we say that
2 they might be excluded or they should be excluded with
3 the understanding that if they're willing to accept
4 the additional risk, it's the choice of the mother? In
5 other words, it should be a should rather than a must
6 type of scenario. But I think it's something that
7 would require further discussion.

8 The process for allowing the 5 rem dose,
9 I have allowable up there in quotation marks, requires
10 again immediate notification of the following
11 individuals or groups. Hospital management, the
12 licensee's RSO. As I pointed out earlier, the NRC's
13 regional office and if appropriate the agreement state
14 agency. And the hospital risk management. These are
15 individuals and groups that deal with risk scenarios
16 involving workers, patients, visitors. Not just in
17 terms of radiation events, but you know infectious
18 diseases, other types of scenarios. And are well-
19 versed individuals. And there is, at least in my
20 investigation on this, is that every hospital has an
21 individual who is designated as a risk manager. Now
22 they may share other duties, but in larger hospitals
23 especially in multi-modality hospitals, this is a sole
24 designated individual that's involved in this. So it
25 would reflect, I think, a non -- shall we say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 radiation perspective of looking at risk to the family
2 member, the caregiver as well as the institution in
3 looking at various aspects of allowing a higher dose
4 level.

5 In terms of the family caregiver, this
6 individual would get a dose monitor. Now, this might
7 be the only suggested additional expense that might be
8 incurred by the licensee. Some licensees might have
9 electronic dosimeters that are used. But what I'm
10 seeing is that it would be something as simple as just
11 maintaining an extra set of occupational dosimeters
12 that are available for being assigned for this
13 individual, which would be a relatively inexpensive
14 means of providing these monitors.

15 The electronic types are somewhat
16 expensive, involving several hundred dollars each, but
17 you know leave it up to the licensee on how they want
18 to accomplish that.

19 They will need to get radiation
20 precautions and risk instruction as to what these
21 radiation risks are involved. And it would involve as
22 a documentation a radiation risk management, risk
23 management consult with the risk manager.

24 Now, it was mentioned earlier that means
25 of documenting this and providing this instruction

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would be very timely or would be time consuming and be
2 difficult to achieve. This is essentially is sort of
3 a glorified informed consent process. Okay. Which is
4 done on a daily basis, hundreds of times in a
5 hospital. And I think it would be, again, a sort of a
6 specialized means but it would be a means of providing
7 this radiation risk information to the patient. It's
8 a means of documentation. I think in this case the
9 caregiver would get a copy of this, all right. And it
10 would be done between the authorized user and the
11 caregiver at a minimum.

12 So that all these processes of dose
13 monitoring and the dose result, the precautions, the
14 risk management consult, the informed consent would be
15 all documentation that would be done and available for
16 regulatory review.

17 So where do we go from here? Probably a
18 suggestion is reviewing also NRC information on any
19 previous events that are authorized to date. Dr.
20 Zelac, and I think also in the NRC document before,
21 there have been incidents evidently that either the
22 region or headquarters have been involved with in
23 authorizing levels above 500 millirems. It would be
24 very interesting to see what was included in that
25 process, what was documented, what was the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 requirements of the licensee and use that maybe as a
2 template to proceed as we go along. But not having
3 privy to any of that information, it would be
4 interesting to see what the differences are between
5 what's proposed here and what has been done in the
6 past.

7 I think guidelines with the NRC staff and
8 the ACMUI will need to be drafted to address the
9 various components proposed here and just simply as a
10 means of trying to achieve a final result on this
11 would suggest a final ACMUI review and approval of a
12 proposed draft line by the fall meeting.

13 CHAIRMAN MALMUD: Thank you. Yes?

14 MR. ESSIG: I want to offer one problem.
15 And that is we cannot allow dose limits to be exceeded
16 without proper authorization. We cannot do that by
17 guidance. It either has to be by rule or by exemption
18 via license amendment. And unfortunately, I think
19 that's a significant problem with what you've
20 proposed.

21 There's a lot of good ideas there. Don't
22 get me wrong. But I think to hinge it on a guidance
23 document that we could issue; you mentioned a RIS and
24 Reg. Guide, that sort of thing. We just cannot
25 authorize licensees to exceed the 100 millirem dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 limit for members of the public with a guidance
2 document.

3 MEMBER LIETO: Well, I appreciate that. I
4 think you would even run into bigger problems just
5 saying you want in regulatory space that you want to
6 provide or allow members of the public to get some
7 unnamed limit. I think you'd really run into some
8 real difficulties with that.

9 If it does require it, it could be simply
10 something as simple as your -- what is it -- the PSEs,
11 the --

12 MR. ESSIG: Planned special exposures.

13 MEMBER LIETO: The special exposures. It
14 could be someplace as simple as simple as that, just
15 saying that this could be allowed and then it would --
16 and then in guidance that -- or the RIS mechanism
17 would specify how you would implement that. But, I
18 mean, if it has to a regulation as far as exceeding
19 that, then fine.

20 MR. ESSIG: We had looked at the option of
21 rulemaking. But then we also looked at the number of
22 such cases that we would expected to see. And I think
23 Dr. Sherbini pointed out that we only have the St.
24 Joseph Mercy case and the one licensee in Pennsylvania
25 that we had approved a priori exceeding the public

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dose limit because of a series of children that were
2 going to be treated and the necessity for the parents
3 to provide care. And that case, it was 2 rem, as I
4 mentioned was the limit that we authorized in the
5 exemption.

6 And so that was the way that we have
7 approached it. But the volume is so small that it
8 wouldn't justify on a cost benefit basis undertaking
9 a rulemaking because it would just -- that's what we
10 have to look at. How many exemptions might we
11 process? And if it's only a handful, literally, over
12 a several year period, it wouldn't justify the cost of
13 a rulemaking. That's the balance that we have to
14 make.

15 MEMBER LIETO: From what I'm hearing is
16 that to exceed this, to allow higher than this,
17 requires rulemaking.

18 MR. ESSIG: No. But requires an exemption.
19 Well, either a rulemaking that provides for a higher
20 limit or an exemption to the existing regulation. And
21 we can do that through a license amendment process.

22 MEMBER NAG: One of the thing is that
23 because you have this rule, many people do not want to
24 go through this exemption or ask the Commission and
25 this kind of implant cannot be done on children. So if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it was an easy mechanism and it didn't require any
2 special formalities, then more people would be willing
3 to do implant in children. For example I know for
4 sure I avoid low dose rate implant in children because
5 of this reason. You know, this was -- although you
6 are saying relative people have asked for an
7 exemption, that too, but if it was available without
8 meeting an exemption, more people may have attempted
9 to do -- procedures.

10 MR. ESSIG: And I think as we noted, we're
11 trying to work out the protocols of how this would be
12 handled. I think they're very real problems of what
13 Dr. Vetter mentioned, the 10:00 in the evening issue.
14 Well, we don't have people on 24/7 duty to amend
15 licenses. We fully realize that. But we do have an
16 operations center and then we have a series of duty
17 officers that are on call. I mean, that could at
18 least constitute prior agency notice. They wouldn't
19 get approval, but at least it would be notice.

20 And so some of the details are what we're
21 trying to work out. But we would set up a process
22 which would make for a more simplified and
23 straightforward approval. That's the goal. And,
24 Sami, correct me if I'm wrong, but I think that was
25 the path you're heading.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SHERBINI: Yes, that was basically
2 what I was going to say.

3 There's just one other comment I want to
4 make, and that is the 500 millirem limit, although
5 it's true in Part 35, it's for patient release, there
6 is a similar provision in Part 20 for members of the
7 public that don't have to do with patient. So Part 20
8 does contain this provision. You can raise the dose to
9 500 without prior NRC notification or -- it's already
10 in the regulations.

11 CHAIRMAN MALMUD: One thing seems clear,
12 and that is that we're working toward a solution to
13 what had been a problem in the instance in the
14 hospital in Michigan. And that whatever mechanism we
15 use must have either a rule or an exemption as part of
16 the process.

17 DR. SHERBINI: Yes.

18 CHAIRMAN MALMUD: So Dr. Williamson?

19 MEMBER WILLIAMSON: Well, I think in the
20 interests of having some mechanism in place soon, even
21 though it may be the number of incidents is low, I
22 think it's prudent to proceed with the development of
23 a process for granting timely and rapidly license
24 amendments. You know, I think the caution may be
25 heard from several people, is they might to be really,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 really more timely than your current administrative
2 infrastructure allows for it to really be useful.

3 MR. ESSIG: We fully understand that, yes.

4 MEMBER WILLIAMSON: Yes. And I guess you
5 can always look at the accumulated experience over a
6 year and decide whether a rulemaking is warranted.

7 MR. ESSIG: Yes. Yes.

8 MEMBER WILLIAMSON: You're deluged by
9 these amendments.

10 CHAIRMAN MALMUD: Dr. Suleiman?

11 MEMBER SULEIMAN: Yes. I understand your
12 regulatory strategy, and I think I agree with it. But
13 I think if you make the users aware of this amendment
14 or exemption process ahead of time and lay out the
15 guidelines or criteria, and I think, Ralph, you've
16 laid it out real well, I professionally don't think
17 that most any situation will exceed the 500 millirem.
18 But it's nice to have that two-tier thing. It's going
19 to force them to think. But I think if you allow them
20 that option, I think you're going to be surprised.
21 For the record, I predict that you'll get a lot more
22 applications for exemptions than you think you would.
23 And if that in fact plays out as you said, then it
24 would be a justification for rulemaking.

25 MR. ESSIG: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MALMUD: Thank you.

2 If that completes the discussion of that
3 topic, I wanted to thank Mr. Lieto again and Dr.
4 Sherbini for their presentations. And we'll move on
5 to the next topic.

6 That would be Dr. Broseus. Oh, there you
7 are. I hadn't seen you, that's why I hesitated.

8 DR. BROSEUS: Good afternoon.

9 Thank you for the opportunity to review
10 where we're at with requirements for training and
11 experience in Part 35. I'd just like to call to your
12 attention that in your handout material and on the
13 table we have provided copies of these slides, a copy
14 of the *Federal Register* notice which includes the rule
15 language for the revisions to Part 35, as well as a
16 redline strikeout comparison between the effected
17 sections in the final and the rule that was current
18 before the publication of Part 35 amendments on the
19 30th of March.

20 The rule was published on March 30th and
21 I've added to the material since you got your slides.
22 The specific *Federal Register* citation was volume 30
23 of the *Federal Register* starting on page 16335.

24 This rule will be effective 30 days after
25 publication; that is on April 29th of this year.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 However, licensees will have until October 24, 2005 to
2 implement the changes to the rule. This coincides with
3 the extension of the effective date for Subpart J to
4 October 24, 2005.

5 And lastly, agreement states will have
6 three years to adopt the final rule.

7 The review I'm conducting today is not
8 intended to be an extensive review of the changes to
9 the requirements for training and experience in the
10 final rule. Rather, I want to review the amendments
11 with an eye to providing an overview of the nature of
12 the changes to the requirements for T&E, and some of
13 the major changes.

14 You may recall that the stage was set for
15 this rulemaking by the Advisory Committee on the
16 Medical Use of Isotopes, which I tend to lapse into
17 ACMUI, excuse. It's an acronym I pronounced before I
18 came to the NRC.

19 Okay. The ACMUI briefed the Commission on
20 February 9, 2002 and called to the attention of the
21 Commission a problem relating to the requirements for
22 training and experience and the inability -- I
23 shouldn't say the inability, but the fact that many
24 boards would not be meeting the requirements. And so
25 we'd be left in the pickle of not having board

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 certifications recognized, save for the one board
2 which came in and met the requirements.

3 The NRC staff presented recommendations
4 for rulemaking to the Commission in October of 2002 in
5 SECY 02-0194. And this included attachment 2, not to
6 this, but that SECY paper which was based largely on
7 the recommendations of the ACMUI and its Subcommittee
8 on Training and Experience.

9 Just going back over a little history for
10 some members of the Committee who weren't here at the
11 time, and for some members of the public might benefit
12 from this, too.

13 Well, the final rule that we published in
14 March reflects a culmination of ACMUI recommendation,
15 a resolution of public comments on a proposed rule
16 published in December 2003, as well as the extensive
17 consultations between ACMUI and agreement states over
18 the past three years. And these requirements in terms
19 of key changes are changes to the requirements for
20 recognition of specialty board certifications to serve
21 as demonstrated adequacy of training and experience
22 for use of radioactive material that is byproduct
23 material, and also to serve as an RSO, an authorized
24 nuclear pharmacist, authorized nuclear physicist.
25 That combined with a preceptor statement which I'll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mention again in a moment, will get one approved to
2 serve in those capacities.

3 As I have mentioned it applies for these
4 four different categories. There are requirements
5 that were in the rule that also apply to the so called
6 alternate pathway, that is the pathway that's an
7 alternate to board certification for administrating
8 adequate of training and experience.

9 Preceptor statements were changed,
10 highlights now, to use the word "attest" and
11 "attestation" in place of "certify" and
12 "certification." Now both the ACMUI and members of the
13 public and agreement states felt that this would be a
14 good change.

15 Preceptor statements are required for
16 board and alternate pathways. However, the requirement
17 for a preceptor statement has been decoupled from the
18 requirements. Oh, that doesn't look good on a slide,
19 does it? This thing. Hey. De-coopled. It doesn't
20 look like that on my material. Anyway. Decoupled was
21 a word that we used during some of the discussions.
22 And the requirement for a preceptor statement still
23 applies to individuals who are board certified, but it
24 is not required for a board certification process to
25 be recognized by the Commission.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Present in the original recommendations.
2 Here's more manglement. Excuse the spacing here. It
3 doesn't look like it's any place except on this
4 particular computer.

5 In the original recommendations of the
6 Advisory Committee and the attachment to SECY 02-194
7 there was a recommendation to add, I call it use-
8 specific training for radiation safety officers and
9 AMPs, and for a class of AUs in high risk uses. That
10 is under section 600. This is gamma sterotactic
11 radiosurgery and so on. So that requirement is also
12 in the rule and applies to all applicants.

13 We're removed the requirement in section
14 390 for experience with elution and et cetera. Use of
15 generators and so on. The ACMUI argued or mentioned
16 one of our means we had over a year ago, I guess it
17 was, that we felt that this training was not necessary
18 for individuals to qualify under 300 and felt that the
19 more general term experience and training and the
20 preparation of dosage was adequate for this particular
21 category.

22 We also decoupled in section 390
23 requirements for experience with oral and parenteral
24 administrations from requirement for recognition of
25 certifications. In this (b) (1) (ii) (G) of 390 there's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a requirement that individuals have experience with
2 certain numbers of cases. That requirement is
3 retained, but it is not required for a board
4 certification to be recognized by the NRC or an
5 agreement state. An individual would still have to
6 demonstrate that they have this experience to be
7 authorized for 300 use.

8 We added a new section 35.396, which is
9 for the parenteral administration of unsealed
10 byproduct material for which a written directive is
11 required. This accommodates a group of physicians that
12 was brought to the attention of the NRC by ACMUI and
13 also recognized by some members of the staff. And
14 that is a group of physicians that now qualify, for
15 example, under Subpart J, but would not meet the
16 requirements for section 300 uses. In particular,
17 these are oncologists many times who have training and
18 experience that's applicable to therapeutic use of
19 unsealed material. The one addition the staff made
20 here, the most important one I believe, is the
21 requirement for 80 hours of training with unsealed
22 sources. So that an individual who may have had
23 experience with brachytherapy and be highly trained in
24 radiation hazards and so on, we wanted to ensure that
25 those individuals also had some training experience in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 handling unsealed forms of radioactive material.

2 We have provided a pathway for medical
3 physicists who are not named as AMPs to become
4 radiation safety officers.

5 The final highlight I'd like to call to
6 your attention is the petition resolves. Petition PRM
7 35-17. This is filed on behalf of the Organization of
8 Agreement States. Most of us are familiar with this
9 particular petition. The agreement states recommended
10 that there be requirements established for minimum
11 numbers of hours classroom or laboratory training for
12 nuclear pharmacists in section 35, as well as for
13 authorized users in sections 35.190, 290 and 390.
14 These are basically uses of unsealed byproduct
15 material, in 190 and 290, for which a written
16 directive is not required and a 390 for which a
17 written directive is required those being the higher
18 risk uses. And that underlies the rationale for
19 requiring a written directive.

20 I might note parenthetically that other
21 sections do have requirements for minimum numbers of
22 classroom and laboratory hours for high risk uses.

23 As many are aware, this is the resolution
24 of what we came out of the discussions with. And I
25 might mention again that as most of you are aware, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 had several conversations about this. And the most
2 recent one I recall being with you all for the better
3 part of four hours in a meeting not too long ago and
4 which we discussed this at some length. And I want to
5 come back to those discussions and the efforts that
6 you have made in this regard in my concluding remarks.

7 However, let me note that for the various
8 sections that we have listed on the table, there were
9 already established in regulation space a requirement
10 for total number of hours of training and experience
11 that included classroom and laboratory training as
12 well as other types of supervised training. But there
13 was no requirement in these sections for a minimum
14 number of classroom and laboratory hours. And the
15 resolution and the rule is to require the numbers of
16 hours for the various sections that we have listed
17 here in the table. I want to note that this applies
18 only to the alternate pathway and not to the board
19 certification pathway.

20 And we also are now using the term
21 "classroom and laboratory hours" rather than the
22 "didactic" to make sure that it's clear what we're
23 talking about.

24 And let me come back to the clarity issue
25 in a minute reflecting on comments that Dr. Eggli made

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this morning.

2 "Classroom and laboratory" seems to be a
3 more acceptable term to many people for describing
4 this type of training. And we are also using now
5 consistently throughout the rule, I'm not using
6 "didactic" in one section and "classroom and
7 laboratory training" in another.

8 I'd like to take note, and this is not my
9 slides, but react to some of the comments this morning
10 from Dr. Eggli about the 200 hour requirement and in
11 particular the suggestion that we should be more
12 specific about what would be acceptable for that
13 particular area. I will emphasize that the comments
14 I'm going to make are somewhat spontaneous in that we
15 haven't cleared this part of my talk with managers,
16 but I want to emphasize what I'm drawing from is
17 material in the *Federal Register* notice.

18 In our last big meeting on this issue the
19 ACMUI actually talked about this issue before. And
20 that is what is classroom and laboratory training.
21 And in the *Federal Register* notice we take note that
22 somebody -- and one of the stakeholders suggested that
23 we define classroom and laboratory training. You
24 might recall in the last meeting that there was
25 considerable discussion about this and some people

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 said, "Well, be careful. You might get you ask for."
2 And in fact, my personal view is that if you define
3 the stuff too closely, you're becoming more
4 perspective. And so one needs to -- when you go
5 forward in looking at both sides of these issues, I
6 would recommend that that particular part of the issue
7 be kept in mind.

8 However, let me finally point out that we
9 do have a discussion of this issue in the *Federal*
10 *Register* notice talking about classroom and laboratory
11 training. And I don't want you to go leafing through
12 the fine print now, because I'll lose you. You can go
13 look later on page 16350. I'm sorry 16349 under Issue
14 7, should the term laboratory training be defined.

15 And what we have said there is also
16 reflected in draft revisions to our licensing
17 guidance, in which we point out that the NRC feels
18 that you have to take a broad view of what training is
19 in terms of laboratory. There are structural
20 educational programs, we took note in our discussions
21 that there are other types of training programs that
22 are more innovative. There's online training,
23 etcetera.

24 Also we have included in the guidance that
25 while the NRC expects that when credit is taken for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 classroom and laboratory training for radiation safety
2 that that's the area it truly should be in. But the
3 NRC will broadly interpret training to include various
4 types of instruction received by candidates for
5 approval, including online training as long as the
6 subject matter relates to radiation safety and
7 handling of byproduct materials.

8 We also recognize in our discussion that
9 some of this training may be in the clinical
10 laboratory. And I'm using the terminology loosely,
11 but the point is that we in the discussion in the
12 *Federal Register* notice and reflected in our guidance,
13 that it's broader. So I would suggest that those two
14 points be kept in mind as we go forward.

15 After of the publication of the rule we
16 move into the implementation phase.

17 Yes, sir?

18 MEMBER LIETO: Back on your last slide, how
19 would those boxes be filled in in terms of total and
20 classroom laboratory for the 396s, for 396?

21 DR. BROSEUS: 396, the requirement's for 80
22 hours of classroom and laboratory training. And for
23 certification by a board recognized, as I recall, for
24 600 uses. Okay. That's one pathway. So if a person
25 is certified by a board, recognized in 35.690 and has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it has 80 hours of training experience for unsealed
2 sources, that's a pathway for --

3 MEMBER LIETO: Eighty hours.

4 DR. BROSEUS: Okay. Okay. Let's go on to
5 the next phase.

6 When we publish a rule, we move into
7 implementation space. And as I mentioned earlier in
8 the slides, the licensees have until October 24th,
9 2005 to implement the final rule. During this
10 implementation period, the NRC, the MSIB in fact, the
11 Material Safety and Inspection Branch, has already
12 sent out letters to boards inviting them to apply for
13 a recognition of their certifications. We are in the
14 final stages of revising licensing and guidance for
15 medical use. This is NUREG 15.56 volume 9 revision 1,
16 and we anticipate that being released to the public
17 and published within the next couple of weeks, I
18 should hope.

19 In parallel with that, there's a revision
20 to NRC Form 313A. This is the medical use, training
21 and experience and preceptor attestation form. This
22 is the form that applicants may use to submit
23 information about training and experience and
24 preceptor attestation to the NRC to document the
25 adequacy of their training and experience.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I didn't realize that you had moved on.
2 Excuse me.

3 These will be available to everybody for
4 implementation of guidance that I just mentioned, this
5 will be available on paper. It will be mailed to
6 licensees as well as being available on our website.
7 This is under the medical uses licensee tool kit on
8 NRC's webpage. And I've included the URL for your
9 convenience here.

10 The *Federal Register* announcement which
11 includes the revised language as well as the redline
12 strikeout version, the highlights, changes, is
13 available on the rule form and the URL for our
14 rulemaking form is listed there.

15 I'd like to close with the following
16 comment and then open up -- I think we still have a
17 few minutes for questions, Dr. Malmud?

18 CHAIRMAN MALMUD: Yes. Yes.

19 DR. BROSEUS: With the publication of the
20 final rule in T&E in the *Federal Register* on March
21 30th we collectively completed a complex multiyear
22 effort to put into place regulations and requirements
23 for training and experience of SROs, AMPs, ANPs and
24 authorized users. The culmination of this effort is
25 due in no small part to the work of the members of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ACMUI, particularly the Subcommittee on Training and
2 Experience. I offer my personal thanks for your
3 efforts in this undertaking, especially and required
4 to the modification requirements for recognition of
5 especially board certifications to qualify individuals
6 to serve as RSOs, authorized medical physicists,
7 authorized nuclear pharmacists and authorized users.
8 Thousands of licensees, NRC and agreement state staff,
9 hundreds of individuals per year will benefit from
10 these changes. This is on an annual basis there will
11 be hundreds of individuals who will benefit from these
12 efforts. So I am proud to have been a participant in
13 this effort. And I am very thankful for the very
14 considered thought and input of members of the
15 stakeholder community, the public, agreement states
16 and the ACMUI. Thank you.

17 Thank you.

18 CHAIRMAN MALMUD: Comments? Questions?

19 Dr. Eggli?

20 MEMBER EGGLI: Thank you, Dr. Broseus.

21 However, let me say that I have to
22 respectfully continue to emphasize concern that's
23 emphasized by many members of the nuclear medicine
24 community including an email that I have here from Dr.
25 Berry Siegel, who most of you know very well. Being

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a little perspective is like being a little pregnant.
2 I don't understand the concept of "a little
3 prescriptive." Once you're perspective, you're
4 perspective. And training directors are going to have
5 a lot of anxiety of what's going to qualify in the
6 preceptor statement.

7 You know, didactic was an interesting
8 definition. I could show you definitions of didactic,
9 once you separate it to classroom and laboratory I am
10 much more comfortable with the concept of classroom.
11 But I am not comfortable with the concept of
12 laboratory. Leaving it ill-defined allows in the
13 regions some variable interpretation. And what may
14 pass muster in one region may not pass muster in
15 another region. And training directors are scared to
16 death that they will write preceptor statements that
17 will not be accepted for licensure.

18 Finally, 20 percent of diplomats of the
19 American Board of Radiology do not pass their board
20 examine first time. As a result, to work we will have
21 to train all radiology residents who do over 70
22 percent of the clinical nuclear medicine in the United
23 States to alternate pathway requirements. So to say
24 that there is no prescriptive requirement for training
25 for board certification pathway is technically true,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but functionally untrue. Because of that the fact
2 that 20 percent do not pass first go around means that
3 we are going to have to train all of our residents to
4 alternate pathway guidelines.

5 The other question is we have a lot of
6 people -- a follow-up question is we have a lot of
7 people in the pipeline already. And now that we're
8 prescribing specifically 200 hours and the preceptor
9 statement, how are we going to get third year
10 radiology residents who are actually fourth year post-
11 graduate out of a five year training programs within
12 that very short period of time up to October 2005
13 trained to the level where they can become authorized
14 users? We have a very short time line for the people
15 who are already deep in the pipeline with the fact
16 that there was no previous prescriptive requirement
17 for a board certification pathway. Now for my
18 purposes as a person who has to design and operate
19 these training programs, it is now prescriptive.

20 DR. BROSEUS: I really don't have an answer
21 for your question because we're moving into an
22 implementation phase of how the staff will look at
23 people who are now in the pipeline. I would imagine
24 that people who are certified by boards recognized by
25 the NRC who meet the requirements, those people would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be approved.

2 MEMBER EGGLI: But they still have to have
3 a preceptor statement?

4 DR. BROSEUS: Yes.

5 MEMBER EGGLI: And if that preceptor
6 statement doesn't contain all of these elements, they
7 may not get their authorized user status, even though
8 they're board certified.

9 DR. BROSEUS: I don't know if anybody from
10 MSIB is here wants to address that question. Anybody
11 else?

12 CHAIRMAN MALMUD: I can't speak from that
13 respect, but I can speak from the perspective of
14 having heard -- I can't speak as a member of the
15 board, but I can speak as someone who has received the
16 same concerns that you have via the mail and email.

17 Number one, it is true as you point out
18 that about 20 percent of the graduates of the training
19 program will not be board certified for yet another
20 year beyond their completion of their training, and
21 therefore would have to meet the criteria set for
22 those who have not yet passed the boards. So we'll
23 accept that as a fact.

24 The changing of the wording from
25 "didactic" to "laboratory to classroom" really gives

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the training program director the kind of flexibility
2 that he or she would need in certifying the trainee's
3 experience in that even the minimalist approach to
4 training in nuclear medicine will require three months
5 of training in the course of the radiology residency.
6 We're not addressing nuclear medicine residents, it is
7 because they are a minimum of two years dedicated full
8 time to nuclear medicine with all the time in the
9 world to have accomplished these goals. But in
10 radiology it could be as little as three months, which
11 is 480 hours. Of that 480 hours, 200 would have to be
12 "classroom and laboratory." The laboratory clearly
13 now, as I have interpreted the messages that I'm
14 hearing from those who have described it, including
15 Dr. Broseus, includes the clinical laboratory
16 experience meaning the experience in the hot lab and
17 in the clinical lab. A clinical lab is, as we all
18 know, what we do everyday. So I believe that we are
19 covered.

20 The concern remains, and I'm expressing
21 this not from my perspective but from the emails that
22 I've received, that an overly zealous lower level
23 employee in one of the regions may decide to redefine
24 laboratory and clinical and say that the -- excuse me.
25 Laboratory and classroom and may decide that his or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 her career depends upon etching something in stone
2 that wasn't there to begin with.

3 But it seems to me that with all of the
4 documentation that we have of these discussions
5 amongst ourselves and the presentations that have been
6 made by members of the NRC staff including Dr. Broseus
7 that there is a printed record of what the definition
8 of -- how the definition of "didactic" has been
9 changed to laboratory and clinical -- excuse me.
10 Laboratory and classroom, and that we seem to agree
11 that we shouldn't request any more definition because
12 this will really meet the training -- this will mesh
13 well with the existing training requirements and the
14 number of hours spent in nuclear medicine.

15 Parenthetically, the number of hours spent
16 in classroom by radiology residents includes relevant
17 radiologic physics that applies to nuclear medicine as
18 well. So some of the physics training that our
19 residents get during the course of their four years of
20 residency is certainly applicable to the radiation
21 safety issues and to nuclear medicine physics.

22 So, in a sense we're better off the way it
23 is it seems to me. I can't address what some over
24 zealous employee may decide to do in the advancement
25 of his or her interest or concerns. But it seems to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 me that this distinguished group has defined that we
2 meet the requirements.

3 MEMBER EGGLI: I disagree.

4 CHAIRMAN MALMUD: Doug?

5 MEMBER EGGLI: I have to respectfully say
6 that I don't agree with your analysis and the
7 definition of "clinical laboratory" is wide open for
8 interpretation which could be interpreted in a wide
9 variety of ways. And, again, as I am at risk in a
10 couple of ways.

11 One is I could be -- our programs can be
12 sued by candidates who now say that we have damaged
13 them in the job market because we have inadequately
14 prepared them because the preceptor statement we wrote
15 didn't pass muster.

16 Again, I don't think you can have a
17 partially prescriptive rule. I think if you say that
18 the rule is we have to provide a body of knowledge and
19 demonstrate mastery of body of knowledge in those
20 skills, then it is up to me to define a training
21 program. Once you start putting broad hourly limits
22 on that requirement, you have made it prescriptive.
23 And what you have done is made it prescriptive with
24 uncertainty. And I think that is the worst of all
25 possible situations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MALMUD: And Dr. Eggli's concerns
2 are the concerns that I have been receiving from other
3 members of the radiology community who are very
4 anxious about the subject.

5 Dr. Diamond, were you next?

6 MEMBER DIAMOND: I just wanted to point
7 out the comment that Roger made on page 16349 of the
8 *Federal Register* Issue #7, which is the first column
9 on the left hand side, there is an extensive
10 discussion regarding the definition and connotation of
11 these terms, which I think would serve to the point
12 that Leon spoke to a few moments ago as far as the
13 discussion why it was opted not to become more
14 prescriptive to provide more definitions and so forth.

15 So, again, in the hypothetical case of an
16 over zealous regulator I think that this commentary
17 should serve us very well.

18 CHAIRMAN MALMUD: Dr. Williamson? Oh, I
19 think Williamson was next and then Dr. Nag, then Mr.--

20 MEMBER WILLIAMSON: Yes. I certainly have
21 been listening to both sets of arguments of Dr. Malmud
22 and also thinking about it from the perspective of
23 radiation oncology, which will also be I think
24 effected by the outcome of this. And I do have to say
25 I think the statements of consideration, these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question and answers, really do set forth a body of
2 material for the ultimate that would be used in an
3 adversarial situation to try to resolve what is the
4 meaning of the specific regulations. And I guess if
5 the Commission has spoken, they may not in the near
6 future be willing to reconsider rulemaking initiatives
7 on this point again. And at least for the short term,
8 you know, I think one should think very carefully
9 about encouraging initiatives that would make it more
10 prescriptive than it already is. Because that, as has
11 been pointed out, might be more injurious and perhaps
12 a certain amount of uncertainty is better than more
13 clarification that restricts the practice of medicine
14 even more.

15 So I should think a major practical
16 initiative would be to try to get a reasonable set of
17 residency guidelines approved via the American Board
18 of Radiology, got that on the website, and that would
19 go a long way towards encouraging the agreement states
20 to accept a rational curriculum in radiology, and by
21 extension in radiation oncology as well.

22 CHAIRMAN MALMUD: All right. Next is Dr.
23 Nag.

24 MEMBER NAG: Yes. Dr. Eggli, you were
25 concerned that 200 hours for nuclear medicine may be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 difficult sometimes to meet for general radiology
2 residents because of the short time is spent in
3 nuclear medicine. But I am aware these 200 hours
4 includes general radiology, radiation safety which is
5 done in a general radiology residency. So some of
6 that will overlap, wouldn't you think?

7 MEMBER EGGLI: There is a small amount of
8 overlap. And I think we discussed this at our last
9 meeting. At least in the didactic arena the overlap
10 between what we consider -- and again, we've designed
11 the classroom portion to be a reasonable curriculum.
12 We have about a 33 percent overlap between radiology,
13 physics and specific nuclear medicine physics. We
14 spend a lot of time teaching specific physics of CT
15 specific physics, of ultrasounds, specific physics of
16 MRI none of which are directly applicable to nuclear
17 medicine issues. We have about a 33 percent overlap
18 in our curriculum between general diagnostic radiology
19 physics and physics specific to nuclear medicine and
20 radiation safety.

21 CHAIRMAN MALMUD: Thank you. I think Mr.
22 Lieto and then Dr. Vetter.

23 MEMBER LIETO: Roger, the commentary that's
24 in there that defines or clarifies the terms
25 laboratory and classroom, are those going to be to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some extent in the NUREG document also? Because
2 that's probably where the regions are going to be
3 looking in terms of guidance. You know, if the very
4 broad description of what that includes or addresses
5 is there, I would think it might minimize over zealous
6 interpreters, if you will.

7 DR. BROSEUS: Appendix D has a discussion.
8 And there's a note that has been added that talks
9 about classroom, laboratory, didactic training and the
10 discussion that we just had. And it reflects the
11 language rewritten for guidance. That's in the FRN.

12 While I have the microphone, I'd just like
13 to build a little bit on the comments made by a couple
14 of Committee members.

15 I believe personally from my experience as
16 well as on one side -- on the other side as well as
17 here that some creative thinking may be required but
18 if one looks at the content required in radiation
19 safety training, I think one in many cases will find
20 more overlap than one might expect. There's training
21 in radiation physics and instruments, radiation
22 protection, radiobiology, chemistry of byproduct
23 materials, radiation biology, radiation dosimetry and
24 that's quite an expansive area.

25 I'd like to also note that when the staff

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 went through this they also had to take into
2 consideration the concerns of the agreement states and
3 the feeling that there needed to be a minimum
4 established to be able to judge the adequacy of
5 training programs. And so we have somewhat of a
6 compromise here, but I believe that if this is tackled
7 during the implementation phase, that it's doable.

8 I think that the issue that was brought up
9 early about the people who were in this little window
10 here, my own personal feeling is that the staff on the
11 implementation side and the MSIB will look at these
12 issues and try to work with them as much as possible.
13 I can't speak officially for that group because I'm
14 not a member of it, but my own personal experience in
15 working with the -- see I'm on the rule writing group,
16 okay, and there's an implementation group. And this
17 group has been working very closely with people in the
18 regions. They have monthly meetings to discuss issues
19 and licensing issues. And I think there's room to
20 work these out.

21 CHAIRMAN MALMUD: Thank you, Dr. Broseus.

22 We have several announcements to hear from
23 Mr. Essig and then we have to be over at the
24 Commission briefing. So is there anything? Excuse
25 me, Dr. Van Decker?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER VAN DECKER: Can I just ask one
2 quick question before Dr. Broseus leaves?

3 Now with the academic year ending in a
4 couple of months do we see revised Form 313 coming out
5 shortly or do we see ourselves still where we are for
6 next several months?

7 DR. BROSEUS: The 313A?

8 MEMBER VAN DECKER: Yes.

9 DR. BROSEUS: Coming out shortly?

10 MEMBER VAN DECKER: Yes.

11 DR. BROSEUS: It should be available
12 shortly on our website. We have a copy of it
13 reproduced in Appendix B of the guidance document. But
14 the form itself should be on the website by the
15 effective date of the rule.

16 CHAIRMAN MALMUD: We have a member of the
17 public who has been waiting. Can we hear that comment
18 first? Please.

19 MS. FAIROBENT: Lynne Fairobent with AAPM.

20 Just two quick points. One, I'd like
21 clarification of when the three years for the
22 agreement states is effective? Is it April 2008 or is
23 it October 2008? I've seen nothing in any of the
24 documentation and clarifies. And from discussion I've
25 heard it interpreted both ways.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And secondly, just a quick comment and
2 concern about the reflection of the classroom and
3 laboratory hours being discussed in guidance.
4 Agreement states do not have to adopt the guidance.
5 They only have to adopt the regulation. And I do
6 think that there may be some concern.

7 I agree with Dr. Eggli's viewpoint that
8 there may be some very different interpretations of
9 what that is meant in the implementation phase in some
10 of the agreement states.

11 CHAIRMAN MALMUD: Thank you for your
12 comments.

13 DR. BROSEUS: Regarding the question about
14 when agreement states have to implement, I can't
15 answer that. I would have to defer it to ODC or Office
16 of State and Travel Programs. I'm not sure what the
17 date would work out to be.

18 CHAIRMAN MALMUD: Thank you.

19 Dr. Essig?

20 MR. ESSIG: Just quick announcements?

21 CHAIRMAN MALMUD: Please.

22 MR. ESSIG: We need to be over in our main
23 building at 3:15 promptly. We actually need to be
24 there before that because the Commission will actually
25 start. It's the Commission Conference Room on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 first level. The tall building, Building One. If you
2 walk past the guard, they'll direct you to where the
3 Commission Conference Room is.

4 I would invite members of the public who
5 are here to certainly attend that meeting.

6 Also remind members of the public that the
7 Committee meeting tomorrow morning from 8:00 to 10:00
8 is closed to the public. So if you wish to participate
9 tomorrow, come at 10:00.

10 CHAIRMAN MALMUD: Any other announcements?

11 MR. ESSIG: No.

12 CHAIRMAN MALMUD: All right. So we are
13 adjourned to head over to the Commission meeting.
14 Thank you.

15 MR. ESSIG: Yes. There is one other
16 announcement for members of the Committee. That is
17 for members of the Committee those presenters along
18 with you will sit at the table opposite the
19 Commission. The rest of the Committee will sit in a
20 row down in what we call the well or the pit. You'll
21 sit right behind the Committee members who are the
22 table.

23 CHAIRMAN MALMUD: Mr. Essig reminds us
24 that those who are presenting will be in the front row
25 and everyone else in the amphitheater arrangement.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The pit. Thank you.

2 MR. ESSIG: The pit. Yes.

3 (Whereupon, the meeting was adjourned at
4 2:49 p.m.)

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25