

# Transcript of

**Case:** TSE Advisory Committee Meeting

**Date:** July 18, 2003

**Neal R. Gross & Co., Inc.  
1323 Rhode Island Ave., NW  
Washington, DC 20005-3701**

**202.234.4433  
fax 202.387.7330  
info@nealrgross.com**

UNITED STATES OF AMERICA

+ + + + +

FOOD AND DRUG ADMINISTRATION

+ + + + +

TRANSMISSIBLE SPONGIFORM ENCEPHALOPATHIES

ADVISORY COMMITTEE (TSEAC)

+ + + + +

BETHESDA, MARYLAND

+ + + + +

WEDNESDAY  
JULY 18, 2003

+ + + + +

The Advisory Committee met in the  
Versailles Room at the Holiday Inn Select, 8120  
Wisconsin Avenue, Bethesda, Maryland, 20814, at 8:00  
a.m., Suzette A. Priola, Ph.D., Chair, presiding.

PRESENT:

SUZETTE A. PRIOLA, Ph.D., Chair

JOHN C. BAILAR III, M.D., Ph.D., Member  
ARTHUR W. BRACEY, M.D., Member  
LISA A. FERGUSON, D.V.M., Member  
PIERLUIGI GAMBETTI, M.D., Member  
R. NICK HOGAN, M.D., Ph.D., Member  
RICHARD T. JOHNSON, M.D., Member  
RIMA F. KHABBAZ, M.D., Member

SIDNEY M. WOLFE, M.D., Member  
CHARLES E. EDMISTON, JR., Ph.D., Temporary  
Voting Member  
KENRAD E. NELSON, M.D., Temporary Voting Member  
TERRY V. RICE, Temporary Voting Member  
DAVID F. STRONCEK, M.D., Temporary Voting Member  
SHIRLEY J. WALKER, Consumer Representative

STEPHEN R. PETTEWAY, JR., Ph.D., Non-Voting  
Industry Representative  
SHEILA D. LANGFORD, Staff

ALSO PRESENT:

DR. ANDREW BAILEY, Plasma Protein Therapeutics  
Association (PPTA)

DR. STANLEY BROWN, CDRH

DR. PETER BURKE, AdvaMed

DR. CHARLES DURFOR, CDRH, FDA

LILLIAN GILL, CDRH, Senior Associate Director  
for Science

ELLEN HECK, UT Southwestern Medical Center

BENJAMIN HERBAGE, SYMATESE Biomateriaux

DR. CRISTOPH KEMPF, Plasma Protein  
Therapeutics Association (PPTA)

DR. RICHARD MARCHAND, University of Montreal,  
InoMed TS03

DR. GERALD McDONNELL, STERIS Corporation

CDR MARTHA O'LONE, Infection Control Devices  
Branch, CDRH

DR. ROBERT ROHWER, Director, Molecular Neurovirology  
Unit, VA Medical Center, Baltimore

DR. LARRY SCHONEBURG, CDC

DR. DOROTHY SCOTT, OBRR, CBER, FDA

DR. RUTH SOLOMON, DHT/OCTGT

DR. CHARLES WEISSMANN, MRC Prion Unit,  
Imperial College, London

## I-N-D-E-X

	Page
Administrative Remarks	4
TSEs and Decontamination: Introductory Presentations (continued)	6
TOPIC #3: Reprocessing of Medical Devices, Contaminated or Potentially Contaminated with TSE Agents	48
Open Public Hearing	73
Presentation of Topic 3 Questions	103
Committee Discussion and Voting, Topic 3	109
TOPIC #4: Methods to Decontaminate Facilities and Equipment Used to Prepare Human  Cells, Tissue & Cellular and Tissue- Based Products (HCT/Ps), and Human Blood Products, Including Plasma Derivatives, to Reduce Risk of Transmitting TSE Agents	163
Open Public Hearing	221
Presentation of Topic 4 Questions and Committee Discussion and Voting, Topic 4	226

1 P-R-O-C-E-E-D-I-N-G-S

2 (8:06 a.m.)

3 DR. FREAS: Good morning. I would like to  
4 welcome you back to this, our second day, of the  
5 Transmissible Spongiform Encephalopathies Advisory  
6 Committee meeting. I am Bill Freas, the Executive  
7 Secretary for this Committee.

8 I would like to go around and introduce  
9 again the members sitting at the table, and this will  
10 include the Temporary Voting Members who will be  
11 working with us today.

12 Starting on the righthand side of the room  
13 -- that's the audience's righthand side -- we have Dr.  
14 Pierluigi Gambetti, Professor and Director, Division  
15 of Neuropathology, Case Western Reserve University.

16 The next chair, which is empty right now  
17 but will soon be occupied by Dr. Richard Johnson,  
18 Professor of Neurology, Johns Hopkins University.

19 The next person present is Dr. Arthur  
20 Bracey, Associate Chief, Department of Pathology, St.  
21 Luke's Episcopal Hospital.

22 Next is Dr. Lisa Ferguson, Senior Staff  
23 Veterinarian, U.S. Department of Agriculture.

24 Next is Dr. Nick Hogan, Assistant  
25 Professor of Ophthalmology, University of Texas

1 Southwestern Medical School.

2 Next is Dr. Rima Khabbaz, Associate  
3 Director for Epidemiological Science, National Center  
4 for Infectious Diseases, Atlanta, Georgia.

5 Around the corner on the table is Dr.  
6 Charles Edmiston, Associate Professor of Surgery,  
7 Medical College of Wisconsin. He is also Chair of the  
8 Center for Devices General Hospital and Personal Use  
9 Device Panel.

10 The next seat is the Chair of this  
11 Committee, and that is Dr. Suzette Priola. She is an  
12 Investigator from the Laboratory of Persistent and  
13 Viral Diseases, Rocky Mountain Laboratories, National  
14 Institutes of Health.

15 The next chair is our Consumer  
16 Representative, Ms. Shirley Walker. She is Vice  
17 President of Health & Human Services, Urban League of  
18 Greater Dallas and North Central Texas.

19 Next is Mr. Terry Rice, on the Board of  
20 Directors, Committee of Ten Thousand.

21 The next seat is Dr. Sidney Wolfe,  
22 Director, Public Citizen Health Research Group.

23 In the next seat is Dr. John Bailar,  
24 Professor Emeritus, University of Chicago.

25 Next is our Non-Voting Industry

1 Representative, Dr. Petteway, Director of Pathogen  
2 Safety Research, Bayer Corporation.

3 If you would at this time, double-check  
4 your cellphones to make sure they are either turned  
5 off or on the silent mode. We would appreciate it.

6 Also, yesterday we passed out over 200  
7 survey questionnaires, and I really would like to  
8 encourage you, if you did get a questionnaire, if you  
9 would return it. The person with the questionnaires  
10 apparently has them locked in a safe overnight to make  
11 sure they are safe, and she will be here later on in  
12 the morning to give those who didn't get a survey  
13 questionnaire the opportunity to respond. So far we  
14 have gotten a total of approximately eight responses,  
15 and I really would like to get that in the double  
16 digits. So I would appreciate your cooperation.

17 Dr. Priola, I turn the meeting over to  
18 you.

19 CHAIRPERSON PRIOLA: Thank you, Bill. I  
20 think we will get started finishing up with the  
21 general introduction that we ended with yesterday on  
22 TSEs and decontamination. The first speaker is Dr.  
23 Charles Weissmann, who will describe his model for  
24 evaluating TSE decontamination of metal objects.

25 DR. WEISSMANN: Good morning. Thank you

1 for inviting me to present some of our results here.  
2 I will be talking of two results, the surface bound  
3 prion infectivity, which has a direct bearing on the  
4 processes for monitoring sterilization, and the second  
5 subject will be a new type of assay. Sensitive assay  
6 currently can be used for mouse prions and might be  
7 useful in this connection as well.

8           Next slide, please. So let me first talk  
9 about surface bound prion infectivity. This work was  
10 inspired by an incident in Zurich, where I was  
11 Professor for many years, where a 69-year-old woman  
12 was examined using an intracerebral electrode. I am  
13 sure many of you know this case.

14           The electrode, being a rather delicate  
15 instrument, was then very carefully sterilized or  
16 supposedly sterilized by washing it with benzene, 70  
17 percent ethanol, and exposed to formaldehyde vapor for  
18 48 hours. It was then used on a young female a few  
19 months later, and again washed in this fashion, and  
20 then used in a young male.

21           Twenty and, respectively 16 months later,  
22 these two individuals came down with CJD. As you  
23 know, very unusual at this young age. Meanwhile, it  
24 had been established that this first patient was  
25 suffering from CJD.



1                   So it appeared that, despite this  
2 treatment, the electrode retains sufficient  
3 infectivity to pass the disease on in succession to  
4 two individuals. The tip of the electrode was then  
5 removed and sent to Gibbs in the United States who  
6 implanted it in the chimpanzee, and this chimpanzee  
7 then also came down with CJD.

8                   This, as you see, was three years later.  
9 So the infectivity had been retained on that electrode  
10 for a long time.

11                   This electrode was a rather complex  
12 instrument with wires and different materials on it,  
13 and some years later we decided to see whether one  
14 could reproduce this phenomenon in a mouse model.  
15 Next slide.

16                   What we did here was to take a scrape-  
17 infected mouse two months before it would be coming  
18 down with the disease, and using a surgical steel wire  
19 to mimic an electrode, we then placed this wire for  
20 either five minutes, 30 minutes or 120 minutes into  
21 this infected brain. The wire was then washed with  
22 PBS exhaustively, and introduced into an indicator  
23 mouse permanently.

24                   The same brain was then still homogenized,  
25 and a one percent sample was injected into the brain

1 of a mouse. These are special transgenic mice which  
2 have a shorter incubation time than normal mice, and  
3 the shortest incubation time one finds with this  
4 strain of mouse is around 65 days.

5 So this sample then caused the death of  
6 four out of four animals after 68 days.

7 Astonishingly, this wire, even one which had been  
8 exposed for only five minutes, caused disease with the  
9 same incubation time, indicating that the dose -- the  
10 effective dose that was delivered by 30 microliters of  
11 one percent brain homogenate was similar to that  
12 delivered by a wire on which, as I will tell you  
13 later, we could not even detect protein.

14 Next slide. So five minutes exposure was  
15 sufficient to load this wire with a maximum amount of  
16 infectivity. The next question was how long does the  
17 recipient have to be exposed to such a wire in order  
18 for it to come down with disease.

19 So wires that had been exposed for five  
20 minutes in a brain were then left for only 30 minutes  
21 in the indicator or 120 minutes, and as you see again,  
22 all mice came down with the disease. Now you notice  
23 that the incubation time is longer than 65 days. So  
24 that reflects the shorter exposure time in this case.

25 The question then was: A wire which had

1 been infected by inserting it into a sick mouse, then  
2 used to infect another mouse for 30 or 120 minutes --  
3 did that still retain infectivity the same way the  
4 electrodes did?

5           Next slide. This was indeed the case. A  
6 wire that had been inserted transiently and caused  
7 disease was then inserted permanently in a further  
8 indicator mouse, and you see that in both cases all  
9 mice again came down disease, and the wire was about  
10 as infectious as a wire which had just been dipped and  
11 not used to infect other mice.

12           So the amount of infectivity remained  
13 unchanged, despite the fact that this wire had been  
14 used to infect one set of mice. So again, like in the  
15 Zurich case, it would seem that there was no decrease  
16 in the infectivity of the wire after having been used  
17 to infect a mouse.

18           So -- next slide, please -- one can then  
19 use this system -- Well, you heard that yesterday  
20 evening. One can use this type of system to monitor  
21 sterilization procedures. This is just one experiment  
22 we carried out.

23           There is a group now at my institution,  
24 the Prion Unit, that is systematically exploring  
25 different treatments using this method. You see the

1 sodium hydroxide, one molar for one hour, was able to  
2 decontaminate the wire. Formaldehyde, ten percent for  
3 one hour, did not decontaminate at all. Guanidinium,  
4 thiocyanate, and autoclaving at 121 degrees was also  
5 effective; and a preparation of LpH, ten percent 90  
6 minutes, was not effective.

7 I was then told by the STERIS people that this  
8 was a different formulation from what they have today.  
9 So maybe there will be some comments on that later.

10 Next slide, please. We then attempted to  
11 elute these wires with sodium hydroxide, just to see  
12 whether there is any protein that could be detected.  
13 We found no detectable amount. The limit of detection  
14 would have been 50 nanograms of protein per wire, and  
15 by the same technique, eluting with sodium hydroxide  
16 but then doing an immunoassay, we found less than 50  
17 picograms of PrP per wire could be eluted.

18 So either the amount eluted is extremely  
19 small or else the infectious material is, more or  
20 less, irreversible bound to the surface.

21 Next slide. However, if you now take such  
22 a wire, expose it to a brain homogenate -- infected  
23 brain homogenate, wash it, and then detect -- look for  
24 PrP on the surface of the wire -- now this is just  
25 PrP, not specifically infectivity of PrP scrapie, but

1 just for the presence of PrP -- you can, in fact,  
2 detect the presence of PrP. But this experiment was  
3 not quantitative. It just tells you qualitatively  
4 that, despite the washing with PBS, exhaustive  
5 washing, you can still detect a layer of PrP --  
6 whether it be PrPc or PrP scrapie, we cannot tell --  
7 on the surface of this wire.

8 I might just add for the benefit of those  
9 who are not too familiar with this type of work that  
10 many surfaces, metal surfaces and other surfaces, bind  
11 proteins very avidly in a monomolecular layer. There  
12 is a lot of work which has been done on this subject,  
13 particularly in the milk industry where it is a major  
14 problem of proteins coating the insides of tanks and  
15 equipment.

16 So this is, in principle, a well known  
17 phenomenon that you can retain very stably a layer of  
18 protein.

19 Next slide. so this then raises the  
20 question which interested us very much. How does  
21 infection then proceed when you have a wire or metal  
22 surface that has been exposed to the infectious agent  
23 called prion.

24 So there are two possibilities. Either  
25 this infectious agent is bound tightly, but still

1 there is some release of free agent which can then  
2 proceed to infect the cells the usual way, whatever  
3 the way is -- that is also not really very well  
4 understood -- or is it so that the agent, while bound  
5 to the wire, can elicit infection?

6 I should perhaps add that it is believed  
7 that the infectious agent is a modified conformation  
8 of the protein PrP, and that at least part of the  
9 process underlying the formation of this infectious  
10 agent is a conformational change.

11 So the idea here would be that this  
12 conformationally modified PrP would induce  
13 conformational modification at the cell surface  
14 without having to leave the wire. So that if you then  
15 remove the wire, you essentially remove it as you put  
16 it in with the same number of infectious particles,  
17 but it is what we might call a hit and event. So it  
18 hits the cell. The cell becomes infected, but you can  
19 withdraw the wire without having lost any infectivity.

20 Now, clearly, this is a very difficult  
21 question to resolve, because whatever you do, you can  
22 always say you didn't look carefully enough for  
23 infectious agent that's been released. But we did do  
24 some experiments.

25 Next slide. The experiment is based on

1 using a N2a neuroblastoma cell line, which is more or  
2 less susceptible to infection by scrapie prions. From  
3 such populations, one can isolate highly susceptible  
4 cell lines, and I will come back to that.

5 Dr. Enari in our lab a couple of years ago  
6 isolated such susceptible cell lines and -- next slide  
7 -- he did then the following experiment. Oh, let me  
8 perhaps tell you how you can ascertain that a cell is  
9 infected by PrP scrapie.

10 We use a procedure which was developed by  
11 Bosque and Prusiner. So you grow a monolayer of N2a  
12 cells, susceptible N2a cells, expose them to prions,  
13 culture them 15 days with splitting, then plate them  
14 out on a cover slip and transfer the monolayer to a  
15 nitrocellulose membrane.

16 So when you pull this off, then the cell  
17 layer adheres to this membrane. You can dry it onto  
18 the membrane so that it is irreversibly bound. Then  
19 you treat it with Proteinase K. Now Proteinase K  
20 digests the normal form of PrP, but leaves behind the  
21 so called PrP scrapie. Whatever its functional role  
22 is, it is not important at this point, but it is a  
23 characteristic and reliably symptom of scrapie  
24 infection.

25 So after treating with proteinase to

1 remove the normal PrP, what you are left with is this  
2 abnormal conformer, and that can then be detected with  
3 an antibody and gives a stain on this filter.

4           Next slide. So what Dr. Enari did was to  
5 take a steel wire, expose it to infected brain  
6 homogenate, wash it, and then he placed this wire on  
7 a monolayer of susceptible cells. After a few days,  
8 one, two or three days, the wire was removed, placed  
9 in a separate petri dish, and the remaining monolayer  
10 remained in the original dish. This was then cultured  
11 for a further 15 days.

12           When the immuno-detection for PrP scrapie  
13 was performed, it turns out that only the cells which  
14 had adhered to this wire and multiplied during the  
15 course of these 15 days, showed PrP scrapie; whereas,  
16 even the neighboring cells did not.

17           So it certainly shows that intimate  
18 contact between wire and infected wire in the cells  
19 promotes infection, but this infection, obviously,  
20 does not at least spread very far from this wire. So  
21 our tentative conclusion is that intimate contact is  
22 required to elicit infection in the cells

23           Next slide. So here at the data. Let me  
24 just point out that these are the -- Here we have  
25 stained the cells. Now these are the samples where



1 there was a wire. You see that the cells have grown  
2 off the wire, and you see that it is only the cells  
3 that have grown off the wire that are positive;  
4 whereas, the monolayers which were left behind do not  
5 show evidence of infection.

6 Next slide. In a second type of  
7 experiment, Dr. Enari exposed metal disks to the  
8 infectious agent and then suspended the 1.5  
9 millimeters over a N2a cell monolayer. In another  
10 parallel experiment, similar disks were covered with  
11 cells.

12 What was found then is that the cells,  
13 again, that had been in intimate contact with the  
14 metal surface showed evidence of infection; whereas,  
15 the monolayers underneath this metal did not, again  
16 suggesting that intimate contact between cells and  
17 metal surface is what promotes infection.

18 Next slide, please. We then explored this  
19 transfer of infectivity from surfaces to cells a  
20 little further. So again, the type of experiment is  
21 you expose this disk to brain homogenate, wash it,  
22 seed cells on top of it, and then transfer the  
23 colonies onto nitrocellulose, do this procedure, the  
24 PK digestion immuno-reaction, and see how many  
25 colonies, infected colonies, result.

1                   Next slide. The first slide here shows  
2    you a comparison of using these cells on infected  
3    metal disks. Here are the number of cells which were  
4    then plated in order to determine the number of  
5    colonies.

6                   So let us say, if you plate 5,000 cells  
7    that have been exposed to such a disk, you then find  
8    a number of infected colonies. Interestingly, a  
9    plastic disk made of a material called Thermanox seems  
10   to be more efficient in transferring infectivity to  
11   cells.

12                  Next slide. We also looked at various  
13   types of plastic, polypropylene, polyethylene,  
14   Thermanox, polystyrene, and you see Thermanox was the  
15   most efficient. But this is an experiment which was  
16   only done once. So I wouldn't take the numbers too  
17   seriously except to recognize that plastics are at  
18   least as efficient in retaining infectivity and  
19   transferring to cells as metal is.

20                  Okay, next slide. So in summary then,  
21   short exposure to scrapie infected brain renders these  
22   wires very infectious to mouse brain. One can use  
23   such infectious wires to monitor sterilization.

24                  We were not able to detect any protein or  
25   PrP in eluates, but you can detect it on the surface.

1 The other important point is that both metal and  
2 plastic bound prions can infect subdural tissue  
3 culture cells.

4 Next slide. How much time do I have?

5 Okay. I think this is quite interesting as a  
6 potential method for determining prion infectivity.

7 As you know -- next slide -- this has been  
8 discussed, I think, a number of times. Next slide.  
9 Infectivity is mostly determined by mouse or hamster  
10 bioassays, and there are in principle two approaches  
11 to that. You can inoculate a mouse with different  
12 dilutions of an unknown sample and determine the LD50  
13 or you have also heard mentioned yesterday the  
14 incubation time assay.

15 There is a relationship between the  
16 concentration of prions and the incubation time. So  
17 this gives you sort of an absolute value, and the  
18 incubation time assay has to be correlated with this  
19 by the use of statins, but these assays are ones which  
20 are used for infectivity.

21 They take a long time to carry out. In  
22 the case of the hamster, the shortest time is 60 days  
23 but, of course, you heard yesterday that some hamsters  
24 come down even after 100 or 200 days. So you can't  
25 just stop the assay at 60 days. So, by and large, it

1 takes 100 or more days.

2 The same is true for the shortest mouse  
3 model, which takes about 60 days which is the minimum  
4 time at the highest dose.

5 Next slide. So we developed an assay  
6 which I won't -- I won't tell you how this all came  
7 about, but basically we call it the scrapie cell  
8 assay, and it is based on the finding that a single  
9 PrP scrapie-positive cell can be detected by ELISA,  
10 using a microscope, of course.

11 Next slide. The way we go about this is  
12 the following -- Of course, what is important is to  
13 isolate a very sensitive cell line. One of my  
14 collaborators, Dr. Peter Klohn, has now through  
15 several cycles of screening isolated very sensitive  
16 lines of N2a cells.

17 So what is done then, you make a tissue  
18 homogenate. You then make several dilutions, expose  
19 the cells to -- expose the sensitive cells to  
20 homogenate different dilutions, then wash it, expose  
21 it for three days to this solution -- two days would  
22 also be enough -- and then grow them and split them  
23 three times 1 to 10, for technical reasons which I  
24 won't go into now.

25 So after three 1 to 10 splits, the cells

1 are suspended, counted, and then one filters off  
2 either 100, 500 or up to 25,000 cells on a  
3 nitrocellulose filter in a 96-well plate. These are  
4 so called ELISPOT plates. So you filter off these  
5 suspensions, and the cells stick to the  
6 nitrocellulose. Then you treat them as before with  
7 proteinase K to destroy PrP, and then carry out an  
8 ELISA for resistant PrP -- remember, I told you that  
9 PrP scrapie is a very good diagnostic for infection in  
10 these cells -- and then you can count the number of  
11 spots.

12                   Now, obviously, depending on the -- As I  
13 will show you, depending on the concentration of the  
14 homogenate and the number of cells you plate, you  
15 will, of course, get varying number of positive cells.  
16 As I will show you in a minute, we have to choose a  
17 situation where we have about 500 positive cells on a  
18 filter.

19                   Now 500 positive cells -- they have to be  
20 counted, and that is, of course, extremely laborious.  
21 However, luckily, there is equipment which will do  
22 that.

23                   Next slide. Let me first show you what  
24 such a 96-well membrane looks like when it has these  
25 positive cells on it. You see the positive cells.

1 You can see that it is quite laborious if you count  
2 that. We did that initially, but then we got this  
3 equipment, ELISPOT equipment -- next slide, please --  
4 where you put the 96-well plate on the stage, and it  
5 automatically scans through these 96 wells, marks out  
6 all the positive cells, counts them, and the whole  
7 procedure just takes about a minute and a half.

8           Next slide. So here you see in a -- This  
9 is still a rather early experiment, not using our most  
10 sensitive cells, but you see that, for example, 10<sup>-4</sup>,  
11 10<sup>-5</sup>, 10<sup>-6</sup> dilution of the homogenate, and then plating  
12 25,000 cells. So you can see -- readily see that,  
13 even at 10<sup>-6</sup> dilution, you can still count a lot of  
14 points. The controls are virtually blank, not quite.

15           Next slide. So if you plot this, now you  
16 can plot the logarithm of the dilution against the  
17 logarithm of the spot number, and you get on this Log  
18 plot a linear relationship between about 10<sup>-7</sup> to 10<sup>-5</sup>  
19 dilution of RML brain.

20           The dotted line and the yellow line are  
21 two independent experiments carried out on different  
22 days, and it is really quite remarkable how well it  
23 agrees.

24           These plots here show so called resistant  
25 cells. So these are essentially the cells as you buy

1 them from ATCC, and you see that there is about two  
2 logs difference in the sensitivity. So now we are up  
3 to about 3 Logs with the newer clones.

4 Next slide. Now we can compare the  
5 sensitivity of the mouse assay with the tissue culture  
6 assay, and this is a mouse endpoint assay performed on  
7 the same homogenate as we prepared the scrapie cell  
8 assay. You see here the serial dilutions. You see  
9 that the LD50 is close to  $10^{-7}$ . You can calculate it  
10 to be  $10^{-6.9}$ , and at a  $10^{-8}$  dilution with this number of  
11 mice you no longer detect infectivity.

12 You see in the scrapie cell assay, you  
13 still get a significant result at  $10^{-7}$  and at  $10^{-8}$ ,  
14 again you don't detect it. So by this criterion, the  
15 sensitivity is comparable. I must add that we are  
16 considerably better now with our newer cell lines than  
17 that, but here we are that  $10^{-7}$  -- at a reasonable  
18 number of mice you have the same sensitivity.

19 Next slide. We then developed this  
20 scrapie cell assay to an endpoint format. As I will  
21 show you, this increases the sensitivity about  
22 tenfold.

23 Next slide. So this is based on the  
24 recognition that, if you infect a N2a cell and then  
25 culture it for 10 days, the infection spreads from

1 that cell to neighboring cells. So that you get an  
2 amplification effect.

3 So let us say you start out with one  
4 positive cell per 25,000, and then you culture these  
5 cells for 10 days or even 20 days. Then the  
6 proportion of positive cells increases very  
7 substantially, and this is shown here.

8 For example, in this experiment at 10<sup>-6</sup>  
9 there was after 10 days culture time barely detectable  
10 infectivity. If you culture this for, let us say, 30  
11 days, you already get 1500 cells rather than maybe 10  
12 or 20 per 25,000. So the proportion of infected cells  
13 increases. You see that at 10<sup>-5</sup> dilution also very  
14 clearly how the proportion of cells increases.

15 So we made use of that -- next slide -- to  
16 do an endpoint titration experiment. Let us assume  
17 that you have a suspension of infectious material, and  
18 you have, let us say, one prion per 100 microliters,  
19 and you dispense 100 microliters, let us say in this  
20 case, into eight wells of a 96-well plate.

21 So some wells will have one particle.  
22 Some will have two. Others will have none. Maybe one  
23 will have three. So this follows a so called poisson  
24 distribution.

25 Now to detect one or two cells is



1 impossible, because the backgrounds are usually around  
2 5 to 10 spots on such a filter. But if you now  
3 culture these for, let us say, two weeks, then this  
4 one per 25,000 cell will increase to 100 or 200 per  
5 25,000.

6           So these wells then become strongly  
7 positive. But the ones which start out negative  
8 remain negative, and you can then use the poisson  
9 equation. You just count out the number of negative  
10 wells and, using the poisson equation, you can then  
11 calculate the average number of particles per 100  
12 microliters dispensed, which in this example would  
13 have been .98.

14           Now when you carry that out in real life -  
15 - next slide -- you see here an experiment where we  
16 use a  $10^{-7}$  dilution which, as I told you before, is an  
17 amount which can still be detected quite reliably in  
18 the ordinary scrapie cell assay.

19           Here we now use a  $10^{-8}$  dilution, which we  
20 couldn't detect before with a statistically reliable  
21 way. You see in the case of the  $10^{-7}$  dilution we use  
22 six wells, and the outcome was that one well out of  
23 six remained negative. All the others are  
24 statistically seen positive.

25           What you also see here are assays

1 performed after 12 days, 18 days, 27 days. So you see  
2 that, the longer you culture them, the more positive  
3 they get. But if they are negative, they just remain  
4 negative.

5 At the higher dilution, 10<sup>-8</sup>, we use 24  
6 wells, and in this case 20 out of 24 were negative, or  
7 in other words, four of them were positive, and we now  
8 can calculate the average number of infectious  
9 particles per aliquot placed on these cells.

10 What we find here in the case of 10<sup>-7</sup>  
11 dilution is 1.8, and at a 10 times higher dilution it  
12 is almost embarrassingly accurate at .18. So you can  
13 see that, while this is, of course, an accident that  
14 it's that exact, but anyway it demonstrates the  
15 principle that you can extend the sensitivity by  
16 carrying out the assay in this format. It takes  
17 longer, and you have to use more wells per sample.

18 You could also extend the sensitivity of  
19 the mouse endpoint assay by using more mice, but no  
20 one is going to use 50 mice per point -- per  
21 experimental point, and it's easy to do on cells  
22 because the assay, as I have told you now, can be  
23 automated almost completely, because all those steps  
24 are carried out in 96 well plates.

25 Next slide. So in summary then, an N2a

1 subclone DAT-8 is highly susceptible to mouse prions,  
2 and the scrapie cell assay is based on the detection  
3 of single scrapie infected cells using ELISPOT  
4 technology.

5 This assay is at least as sensitive as a  
6 mouse by assay, but ten times faster and two orders of  
7 magnitude cheaper and, as I mentioned, it can be  
8 automated.

9 Finally -- next slide -- I would like to  
10 introduce my collaborators. Eva Zobeley at the  
11 University of Zurich started the wire experiments.  
12 They were continued by Eckard Flechsig in London, and  
13 my colleagues Peter Klohn, Lars Stolze, Sukhi Mahal,  
14 and Therese Solstad, contributed to the work on the  
15 scrapie cell assay. My host in London is John  
16 Collinge who has been extremely helpful and kind in  
17 supporting our work, and Adriano Aguzzi at the  
18 University of Zurich has been very helpful with ideas  
19 and material. Thank you.

20 CHAIRPERSON PRIOLA: Well, thank you very  
21 much, Dr. Weissmann. Questions from the Committee?

22 DR. EDMISTON: I have a question. That  
23 was an excellent presentation, and I think it really  
24 dovetails very nicely with the presentations we had  
25 yesterday afternoon.

1           There are two points that come to mind  
2 when I listen to your presentation. First of all,  
3 from an endpoint threshold perspective, your studies  
4 would suggest that it would be very difficult to  
5 ascertain endpoint threshold, especially in the case  
6 of those wire studies, in that if a true threshold is  
7 something that we are gearing for, you would be  
8 talking about zero; because talking about a zero  
9 threshold in light of what your studies have shown in  
10 stainless steel.

11           Extending that to other metals or other  
12 finished products, have you been looking at that in  
13 terms of gold, titanium, and other types of --

14           DR. WEISSMANN: We looked at gold, and  
15 gold is as efficient as steel. But we haven't  
16 extended it yet to other metal surfaces.

17           DR. EDMISTON: The second point I want to  
18 make -- again, this is something we all need to be  
19 thinking about for the rest of the day -- is the issue  
20 of validation studies concerning either in vivo or in  
21 vitro assays.

22           I think that is an extremely important  
23 point here in terms of being able to validate whatever  
24 sterilization, disinfection protocol we wish to  
25 endorse ultimately out of all this.

1                   Now let me ask you this question. Let me  
2 ask you a personal question.

3                   DR. WEISSMANN: Sorry?

4                   DR. EDMISTON: Let me ask you a personal  
5 question.

6                   DR. WEISSMANN: Yes.

7                   DR. EDMISTON: This is not a scientific  
8 question. This is a personal question.

9                   How comfortable are you with the World  
10 Health Organization guidelines as they stand from the  
11 practical perspective of laboratory and patient and  
12 health professional safety?

13                  DR. WEISSMANN: Fairly comfortable, fairly  
14 comfortable. I think it's extremely difficult to  
15 assess what the -- For example, in Great Britain where  
16 there is fear that there may be variant-CJD cases  
17 which have not been diagnosticized and which might be  
18 the source of infectivity if surgical instruments are  
19 used. I think that the procedures which have been  
20 recommended are probably -- If you consider the  
21 theoretical risk and the efficiency of the methods, I  
22 would say that I feel fairly comfortable.

23                  Now it's impossible to say I feel totally  
24 comfortable, because, as you point out yourself, there  
25 is no real endpoint. You know, one can see that in

1 mouse experiments and also in tissue culture  
2 experiments. Usually, infected mice come down at a  
3 certain time point. Let us say the CD1 come down at  
4 150, but if you wait long enough an occasional mouse  
5 will come down after 200 or 300 days, and this a  
6 stochastic effect.

7           So if you want to be completely safe, you  
8 would have to keep these mice until they die, and even  
9 that is not enough, because we know that some mice can  
10 carry a substantial load of infectivity, and yet  
11 remain clinically healthy.

12           So in the sense of -- In that sense, it's  
13 very hard to say that something is totally efficient.

14           DR. EDMISTON: So I think, for the purpose  
15 of the Committee and the people in the audiences, we  
16 are always going to have an issue of acceptable risk,  
17 aren't we?

18           DR. WEISSMANN: Well, that's life, isn't  
19 it?

20           DR. EDMISTON: Right. But I think that is  
21 important to point out, because we are dealing with an  
22 entity that is going to be virtually impossible to  
23 eliminate with any high degree of certainty.

24           DR. WEISSMANN: Absolutely. I was just  
25 thinking, you know, that the question of blood supply

1 -- You may get to a point where you are so stringent  
2 with the regulations of what to accept that in the end  
3 you lose more patients because the blood supply is  
4 insufficient, as you might preserve from eliminating  
5 a one case in 10 million or preventing one case in 10  
6 or 50 million.

7           So it's a trade-off, and one has to bear  
8 that in mind.

9           DR. EDMISTON: Thank you very much.

10          CHAIRPERSON PRIOLA: Dr. Wolfe.

11          DR. WOLFE: An excellent presentation. It  
12 reminds me of the excitement of being at NIH to see  
13 some of these experiments again. Two questions.

14                 One: Was there ever any attempt in  
15 whatever way possible to go back to those unfortunate  
16 human experiments with the EG electrode and get some  
17 rough order of magnitude calculation as to how many  
18 cells might have been or how much -- You said the  
19 limited detection was less than 50 picograms  
20 eventually. But how much would that translate into in  
21 terms of human tissue? That's the first question.

22                 The second is: If you were running a  
23 hospital, knowing what you know from your experiments  
24 and knowing what at least most hospitals, let's say,  
25 in the United States where at least thus far we

1 haven't had any cases of variant-CJD -- But if you  
2 were running a hospital, what way would you alter the  
3 procedures in a typical hospital based on what you  
4 have learned in your experiments?

5 DR. WEISSMANN: Well, as I do not know  
6 what the routine is in hospitals in the United States,  
7 I can't really answer the question.

8 DR. WOLFE: What would you do anywhere,  
9 knowing what you know?

10 DR. WEISSMANN: I would use a  
11 sterilization procedure, let's say, at 135 degrees, 20  
12 minutes. But I would also -- and this is something my  
13 unit, the unit I work in, is working on. I would use  
14 a two-step procedure. I'm sure a lot of people are  
15 doing that or contemplating doing that, a first one  
16 being a detergent enzyme treatment to remove the bulk  
17 protein which is bound to these instruments.

18 There are ways of doing it which, I think,  
19 are quite compatible with hospital routines, which  
20 would mean soaking the instruments before they have  
21 had a chance to dry down the blood and tissue,  
22 immediately place them in these solutions which are  
23 quite innocuous, so they can be kept in an operating  
24 room, and soak them in there and heat them -- take  
25 them out, heat them for an hour at a specified



1 temperature, then rinse them, and then go through the  
2 autoclaving procedure.

3 This type of procedure is what I would  
4 recommend.

5 DR. WOLFE: Is this being done in the U.K.  
6 or is this --

7 DR. WEISSMANN: No, this -- Well, again,  
8 you know, I don't know what all individual hospitals  
9 do, but this is what our unit is aiming at.

10 CHAIRPERSON PRIOLA: Dr. Gambetti?

11 DR. WOLFE: And the first question, just  
12 any estimate on what was on those wires?

13 DR. WEISSMANN: No, but you touch, of  
14 course, on a very interesting question, which is why  
15 are virtually undetectable amounts of material as  
16 infectious as injecting a suspension?

17 Now sometimes I am asked, is it possible  
18 that the wires have a preferential affinity for  
19 infectivity, so they sort of concentrate infectivity.  
20 I don't think that this is the important feature.

21 Experiments tell us that, if you inject  
22 brain homogenate, infected brain homogenate, into a  
23 mouse brain, then most of the infectivity disappears  
24 within three days. It's virtually undetectable. So  
25 there are mechanisms in the brain which somehow manage

1 to degrade this injected material. So the infectivity  
2 actually drops.

3 Now if it is absorbed to the surface of a  
4 wire, it is probably protected against, let us say,  
5 phagocytosis by microglia or maybe even by tissue  
6 enzymes. So what I believe is that, when the  
7 infectivity is on a wire, it is stable on it for maybe  
8 days, weeks or even months. These wires were  
9 implanted for the lifetime of the mouse.

10 So if you talk in pharmacological terms,  
11 the area under the curve is very large, even if the  
12 dose is not very large. Whereas, if you inject the  
13 homogenate, you get a high spike, and then it  
14 disappears very quickly.

15 So that is why I think that these wires  
16 are so particularly infectious.

17 DR. GAMBETTI: It is always of great  
18 interest to hear this work for me. So I compliment  
19 again for this excellent work.

20 Echoing a previous question, one listening  
21 and looking at your data gets the impression that  
22 there is kind of a fatal attraction between the wire  
23 that you use and the prion, infectious prion protein.  
24 It looks like really there is a tendency for either  
25 the infected cells or the prion itself to stick to

1 that wire and stay there indefinitely.

2 So the question is -- and it was, I guess,  
3 asked before -- whether you think there is a way to  
4 eliminate this affinity without, of course, using the  
5 -- without losing the use of whatever instrument could  
6 be available with that particular stainless steel. I  
7 understand the whys of stainless steel. Could, in  
8 other words, one eliminate this affinity without  
9 losing the use of an instrument made of that? That is  
10 one.

11 The second is: Did you try to investigate  
12 by, for example, metal electron microscopy, how is  
13 really the arrangement of the epitope that you  
14 demonstrated on the wire at the structural level, and  
15 whether this would change with changing the material  
16 by which the wire is made?

17 DR. WEISSMANN: Well, let me start by  
18 saying we have not investigated the surface of the  
19 wire by electromicroscopy. Just ordinary  
20 electromicroscopy would probably not yield much  
21 information, because we are using crude brain  
22 homogenates, and there's a lot of proteins stick, you  
23 know. So you would see a lot of things which have  
24 nothing to do with infectivity. So we haven't done  
25 that. We haven't done this experiment.

1           The first part of your question, whether  
2    one -- What I understand you are asking is, is it  
3    possible to treat the metal surface in such a way that  
4    it no longer binds infectivity?

5           We have not yet started these experiments,  
6    but I believe this is a very -- would be a very  
7    attractive possibility to coat the metal surface in  
8    such a way that it loses the affinity for the  
9    proteins.

10           There is a project which has been  
11    formulated and which will be carried out, but it  
12    hasn't even started yet.

13           CHAIRPERSON PRIOLA: Dr. Bailar.

14           DR. BAILAR: I can imagine an experiment  
15    in which you determine a method that results in a very  
16    low infectivity of the needles -- maybe half the  
17    needles are infectious, and half of them aren't -- and  
18    that those are then implanted serially in mice to see  
19    whether the infectivity diminishes with successive  
20    implantations. Has anyone done that?

21           DR. WEISSMANN: No. I think it's a very  
22    good idea, but it hasn't been done.

23           May I just make a comment? I think all  
24    these assays provide us with guidelines how to go  
25    about sterilizing. It is my opinion that, before one

1 really recommends a procedure as being valid, it has  
2 to be executed using BSE agent or variant-CJD agent,  
3 and it is my opinion that it has to be done in a  
4 primate experiment.

5 CHAIRPERSON PRIOLA: If I can ask one  
6 question along those lines. What do you think is the  
7 potential for your assay to be adapted as an in vitro  
8 assay for detection of human CJD, variant-CJD, BSE?  
9 It's a very difficult prospect, I know, in tissue  
10 culture.

11 DR. WEISSMANN: Yes, we have people who  
12 have spent considerable time already looking for cell  
13 lines which are susceptible to human prions or bovine  
14 prions, so far without any success. I mean, you  
15 certainly are aware of the fact that these cell lines  
16 have this peculiarity, that they don't even respond to  
17 all strains of mouse prions. I mean, this in itself  
18 is enormously interesting, but not very helpful.

19 CHAIRPERSON PRIOLA: Okay, thank you very,  
20 very much, Dr. Weissmann. That was excellent.

21 Our next speaker will be Dr. Cristoph  
22 Kempf, who will discuss again some TSE decontamination  
23 and validation studies.

24 DR. KEMPF: Good morning, ladies and  
25 gentlemen. Thank you very much for the introduction.

1           We have heard yesterday and already this  
2 morning that some agents like sodium hydroxide or  
3 hypochlorite can be efficient in eliminating prions.  
4 However, we also have learned that, for example,  
5 drying is not good for sterilization or  
6 decontamination of equipment.

7           I think one of the main points I heard  
8 yesterday was from Bob Rohwer, and may I have the  
9 first slide, please. You have already seen this  
10 summary. In many cases, sodium hydroxide is very  
11 efficient. However, there are single point  
12 measurements or other measurements that showed us  
13 residual infectivity.

14           Bob Rohwer mentioned that it is mainly the  
15 first phase which tells us anything about the PrP, or  
16 the prime protein, which is thought to be the  
17 infectious agents, and the residual infectivity, the  
18 second phase, that in activation kinetics is foreign,  
19 is more due to environmental conditions or  
20 experimental conditions.

21           So we addressed, since we are dealing in  
22 the industry with large equipment, the effect of  
23 sodium hydroxide and hypochlorite, and I will show you  
24 several experiments that were performed.

25           One of the questions we asked: Do we

1 really need these high concentrations, because that is  
2 not exactly what you like in a facility due to  
3 occupational health, dealing with 0.1 normal sodium  
4 hydroxide for the work. It's not the best to do.

5           Next slide, please. So one of the first  
6 experiments that was performed was to look at PrP  
7 resistance to proteinase K. What was done in this  
8 experiment, hamster brain homogenate at the final  
9 concentration of one percent was incubated for various  
10 times at different temperatures and at different  
11 sodium hydroxide concentrations.

12           After given times, it was tested for PrP  
13 resistance or proteinase K resistance. As you can  
14 see, no cases where the tests were done, there was no  
15 signal detectable, and here the gray part like 0.1  
16 normal sodium hydroxide, at 50 minutes you couldn't  
17 find any Western blot signals. Shorter times were not  
18 tested.

19           In the next slide, we have a similar  
20 experiment, again with 0.1 normal sodium hydroxide  
21 incubated for 50 minutes, 60 minutes, this time with  
22 liposomes purified from scrapie infected hamster brain  
23 at room temperatures. You can see, after 60 minutes,  
24 no symptom was detectable. Debatable if this is still  
25 the same or if it's carryover. Whereas, an incubation

1 in PBS, phosphate buffered saline, showed a nice  
2 dilution.

3           The next slide, we investigated the  
4 kinetics with different and even lower sodium  
5 hydroxide concentrations. To our surprise, at  
6 extremely short times, like 10 seconds, you saw a  
7 clear decrease of the Western blot signal PrPres. where  
8 the proteinase K sensitivity is not anymore here, and  
9 after 15 seconds we detect only two legs, and after  
10 half a minute at 0.1 normal of sodium hydroxide we  
11 lost all the PrP signal.

12           The next slide summarizes these  
13 experiments. Each curve represents triplicate values,  
14 and you see the inactivation kinetics. At 10  
15 millimole there is little inactivation of the PrP res.  
16 or destruction of the PrPres. Whereas, at 0.1 normal  
17 rapid destruction of PrPres was observed.

18           The next slide shows similar experiments  
19 performed with sodium hypochlorite. The preliminary  
20 results are shown here. You can see that also was  
21 very low compared to the active chloride, 100 ppm.  
22 PrPres -- Sorry, the PrPres starts to disappear after  
23 half a minute, one minute, five minutes, 15 minutes.  
24 We were unable to detect any residual PrPres expressing  
25 these kinetics.



1           Now with this experiment we have to be  
2 very careful, because in control also PrPc could not  
3 be detected. So it could be that we just mask the  
4 epitopes that are used in the Western blot for the  
5 detection.

6           On the other hand, we observed -- We  
7 weren't able to detect it using other antibodies, and  
8 it is known from model protein studies that, after  
9 sodium hypochlorite treatment, you are unable to  
10 detect them by either Coomassie, silver or auro dye.  
11 So very sensitive stainings, even if you have larger  
12 amount of proteins that usually should be seen.

13           Now it is also known that sodium  
14 hypochlorite fragments, protein bumps, by chloramine  
15 and nitrogen centered radicals, published by Hawkins  
16 and Davies in 1998. You have seen this slide, and  
17 this was an experiment described yesterday by Bob  
18 Rohwer with sodium hypochlorite. You also can see  
19 that infectivity disappears with that sodium  
20 hypochlorite, with a higher content of brain  
21 homogenate but also an extremely higher concentration  
22 of sodium hypochlorite.

23           From these studies it is known that with  
24 hypochlorite, the ratio of hypochlorite to protein  
25 plays a very important role.

1                   Next slide, please. Summarizing the  
2 hypochlorite and sodium hydroxide, if you go back to  
3 literature, you can always find that at 0.1 normal you  
4 also got decrease in infectivity, be it with scrapie  
5 or with CJD or with hypochlorite, as we have already  
6 seen.

7                   So if the PrPres or the proteinase K  
8 resistant part correlates with the infectivity, we  
9 could use these Western blots as an indication for  
10 activity of these agents.

11                  The next slide, please. We also looked at  
12 stainless steel. This was an experiment performed by  
13 Aventis where they used stainless steel coupons  
14 incubated with hamster brain. Then they were either  
15 incubated in sodium hydroxide or purified water for  
16 injection as a control, and then washed. They  
17 recovered PrP scrapie by swabbing, analyzed the swab  
18 for PrP scrapie by -- I think they used a CDI assay  
19 upon recovery.

20                  What they observed as, after sodium  
21 hydroxide treatment, again 0.1 normal, a significant  
22 reduction down to detection limit with their assay.

23                  The next slide shows a very similar  
24 result, only dealing with somewhat larger surfaces,  
25 and a way to increase surfaces and still be able to

1 analyze large surfaces we used iron powder which  
2 allowed us to apply about the equivalent of 50 square  
3 centimeters onto one single lane on the Western blot.

4           What you see here, if iron powder was  
5 incubated with brain homogenate 0.1 percent final  
6 concentration, treated at neutral, washed with PBS and  
7 by vortexing we were able to detect the PrP scrapie  
8 and also proteinase K resistant fraction.

9           At time zero of 100 millimole incubation,  
10 protein was still on the surface; whereas, after 15  
11 minutes we were unable to detect any proteinase K  
12 resistant prion protein on the surface. The way it  
13 was done, the iron powder was directly boiled in SDS  
14 loading buffer and then applied to the gels.

15           Next slide, please. We have seen it right  
16 before. It's the experiments that were performed. It  
17 also showed the sodium hydroxide treatment, again  
18 tenfold higher concentration, but in infectivity  
19 experiments infectivity was eliminated.

20           Next slide, please. It is not only steel  
21 surfaces that we are dealing with but also  
22 chromatographic resins. This was an experiment that  
23 was done by Aventis where they looked at DEAE-  
24 sepharose.

25           They incubated in a batch way DEAE-

1   sepharose where it is brain homogenate, washed either  
2   with water for injection or sodium hydroxide, then  
3   solubilized or recovered the prion protein by  
4   incubating with guanidine and hydrochloride, performed  
5   sealed dilution of this resin supernatant, and  
6   detected the prion protein PrPres or PrPsc using CDI or  
7   the ELISA.

8                   You can see that they were able to detect  
9   proteins. However, after treatment with 0.1 normal  
10   sodium hydroxide they were down at the detection limit  
11   and couldn't recover any PrP with proteinase K  
12   resistant form.

13                   Next slide, please. I am at the end. In  
14   conclusion, from these experiments we can say that  
15   sodium hydroxide and sodium hypochlorite treatment --  
16   they both destroy the PrPres resistant form, proteinase  
17   K resistant form. Kinetics showed an extremely  
18   destruction of this proteinase K resistant form, even  
19   at low concentrations of sodium hydroxide like 0.1 or  
20   .05 molar and 100 ppm of active chloride.

21                   Using Western blot techniques or CDI, we  
22   were able to show reduction of PrP resistant form in  
23   the range of up to 4.5 log, and these results are in  
24   agreement with many single point measurements based on  
25   infectivity data.

1 I thank you for your attention.

2 CHAIRPERSON PRIOLA: Thank you, Dr. Kempf.

3 Are there any questions from the Committee?

4 I have one which, I think, you alluded to  
5 in an early slide. That is, your assay for PrPres by  
6 Western blot is based primarily on the three or four  
7 antibody. You mentioned that you checked different  
8 antibodies in one of the earlier experiments, the  
9 sodium hypochlorite.

10 Did you check antibodies in the central  
11 and C-terminal part of the molecule, the point being  
12 that you might not be completely destroying the res.  
13 but since you are putting it into sodium hydroxide and  
14 following it by protease K digestion, you are just  
15 chewing further into the molecule but not completely  
16 destroying it, and you are losing your epitope, your  
17 detectable epitope? Could you go to the microphone,  
18 please so they can record it.

19 DR. KEMPF: I could not give you right now  
20 which antibodies we used. I don't know it by heart,  
21 but it covered the range of the protein, the epitopes,  
22 and we also had some antibodies where we don't know  
23 where the epitope is yet, that we received from  
24 Australia, and they are not well characterized yet.

25 CHAIRPERSON PRIOLA: And when you gave

1 your log reductions, was that based on taking the same  
2 material and doing the titration in hamsters or was  
3 that based on your standard --

4 DR. KEMPF: That's based on the dilution  
5 of the Western blot assay. So normally you can detect  
6 about -- you have a dynamic range of 4 to 5 log in the  
7 Western blot assay, and in the CDI I don't know  
8 exactly. I would have to --

9 CHAIRPERSON PRIOLA: Dr. Bailar?

10 DR. BAILAR: A question not specifically  
11 for Dr. Kempf, for anybody.

12 I can imagine that normal protein binds to  
13 a surface just like the infectious agent here. We  
14 don't have a good way to find it, but I can imagine  
15 that it may be there. Now suppose we have a surface  
16 with some infective agent on it, keep it wet.

17 Is there any reason to think that the  
18 infectious agent might spread into normal tissue  
19 around it? Did the problem get worse with time if you  
20 keep things damp?

21 DR. PETTEWAY: Let me see if I can maybe -  
22 - just from the perspective of, say, manufacturing  
23 process, and stainless steel are containers that are  
24 utilized. Keeping the surface wet, there probably is  
25 little for the infectivity to spread to. It's not

1 like the experiments that Dr. Weissmann described  
2 where there is intimate contact with living cells that  
3 allow the propagation.

4 That doesn't exist during this form of  
5 processing. So I think that a comment would be, there  
6 would not be -- We would not expect spread, if I  
7 understand your question.

8 DR. BAILAR: That wasn't the answer I  
9 wanted to hear, but --

10 CHAIRPERSON PRIOLA: Dr. Weissmann, would  
11 you like to make a comment?

12 DR. WEISSMANN: I guess I would just like  
13 to make the comment that, although PrP scrapie is a  
14 diagnostically reliable symptom of disease, it is not  
15 totally clear that infectivity resides in PrP scrapie  
16 as it is described as a protease resistant molecule.  
17 I'm just not convinced that this need be the case.

18 CHAIRPERSON PRIOLA: So that gets at the  
19 point of using PrPsc as a surrogate marker for  
20 infectivity.

21 DR. WEISSMANN: And I guess the other  
22 thing is I think one of the questions one has to ask  
23 is whether the resistance of the infectious agent,  
24 whatever it may be, is the same when it is absorbed to  
25 a surface as when it is free in solution.

1                   CHAIRPERSON PRIOLA: Dr. Rohwer, would you  
2 like to make a comment?

3                   DR. ROHWER: I find Dr. Bailar's question  
4 very provocative in light of the PNCA assay of Dr.  
5 Claudio Soto. This is a case where at least at the  
6 level of operationally, a P-K resistant PrPres  
7 resistant signal, this can be propagated in vitro with  
8 alarming ease, with or without sonication.

9                   It works much less efficiently without  
10 sonication, but we have been able to reproduce this in  
11 the laboratory, and it's simply a matter of  
12 transferring an infected brain homogenate into  
13 uninfected brain homogenate, and you can actually  
14 increase -- The Western blot signal will increase over  
15 time, not very efficiently. It takes a while, but it  
16 gets to this question.

17                   So the real -- and also to Dr. Weissmann's  
18 comment, are we increased infectivity at the same time  
19 that we are apparently seeing some sort of in vitro  
20 amyloidosis occurring in this transfer? We are  
21 looking at that directly.

22                   We have a rather large experiment in  
23 collaboration with Soto using what we call limiting  
24 dilution titration, which is a poisson titration of  
25 the type that you are talking about, to get an



1 accurate measurement, a sensitive accurate measurement  
2 of whether we are actually increasing the amount of  
3 infectivity at the same time -- in the same proportion  
4 to which we are increasing this Western blot signal.  
5 But I think it does -- The fact that this phenomenon  
6 has been described and that it is so easily reproduced  
7 says that we should be concerned about this at least  
8 until it's resolved, whether infectivity is involved  
9 or not in this phenomenon.

10 CHAIRPERSON PRIOLA: Thank you very much,  
11 Dr. Kempf.

12 I think we will move on -- Well, that's  
13 the end of our general background for Topics 3 and 4.  
14 That took a day and a half. Now we are going to be  
15 presented with Topic 3. Ms. Lillian Gill is going to  
16 go through Topic 3 for us.

17 MS. GILL: Good morning, and welcome to  
18 our Committee. Welcome to all of the participants in  
19 the audience.

20 I am Lillian Gill, the Senior Associate  
21 Director from the Center for Devices and Radiological  
22 Health, and I am pleased with some of the discussion  
23 we've heard this morning, and I think it is  
24 particularly pertinent to some of the excellent  
25 questions and responses we have heard to some of the

1 concerns that the Center has today.

2 Next slide. This morning our agenda  
3 focuses on the decontamination of products that come  
4 under the jurisdiction of CDRH. We are most concerned  
5 about the decontamination of these products, and we  
6 have a large responsibility.

7 Just to give you a bit of information on  
8 CDRH, our mission is to promote and protect the public  
9 health. We do that through ensuring that medical  
10 products that come onto the market are safe and  
11 effective, and we also have a responsibility for  
12 reducing any unnecessary exposure from medical,  
13 occupational, and consumer products from devices that  
14 -- and products that emit radiation.

15 We carry out that responsibility in five  
16 major ways, as you can see. We evaluate product  
17 before it goes on the market. We evaluate the  
18 performance of those products once it is in the  
19 marketplace through various surveillance mechanisms.

20 We have a laboratory science organization  
21 that is involved in some of its own research, looking  
22 at methods and product and the impact of some of these  
23 methods on the devices we regulate.

24 We participate in audits and inspections  
25 of product in the manufacture of those products and

1 looking at the validation of methods and in the  
2 validation of manufacture. Most importantly, we do  
3 quite a bit of communication with the industry, with  
4 health care professionals, with academia, and others.  
5 That is how we carry out our mission.

6 Next slide, please. While we are  
7 responsible for a vast array of devices from large  
8 medical systems to in vitro diagnostic devices, our  
9 focus for today and products under major consideration  
10 fall into three categories: Those specific products  
11 that come in contact with human tissue, and our  
12 interest today, is specifically neurological medical  
13 devices, the cranial drills, the implantables,  
14 electrodes, biopsy needles and endoscopes.

15 Next slide. We are also interested in  
16 some medical devices and products for general  
17 indication. These are the surgical instruments,  
18 medical suction tips, biopsy forceps, and products of  
19 that nature.

20 Our third category -- next slide, please -  
21 - that we are interested in hearing about are the  
22 medical devices used for sterilizing these products.  
23 These are your dry and steam sterilizers, the liquid  
24 chemical sterilants used in some of these systems and  
25 independent of systems, as well as the sterilization

1 accessory trays.

2           Next slide. With those areas in mind, our  
3 Center is particularly interested in hearing the  
4 discussions which relate to decontamination of  
5 products, and we hope to learn today what the  
6 published data can -- what published data can be used  
7 to develop methods for decontamination.

8           We hope to hear from you and learn from  
9 the discussions what the limitations are in using this  
10 data in the development of procedures. We are  
11 interested in the discussion of methods that can  
12 assure us of the sterility of medical devices,  
13 particularly regarding the bacteria and viruses, and  
14 we also hope to learn which of these approaches we can  
15 use in designing and interpreting TSE studies -- TSE  
16 inactivation studies.

17           To help facilitate that discussion, our  
18 Center staff is going to present to you some  
19 background information as well as provide some  
20 specific questions for your consideration and  
21 discussion.

22           Again, welcome, and thank you from CDRH  
23 for helping us with this challenging issue. Thank  
24 you.

25           CHAIRPERSON PRIOLA: Thank you, Ms. Gill.

1 Dr. Martha O'Lone will now present the background  
2 material for this.

3 CDR. O'LONE: Good morning. I am Martha  
4 O'Lone. I am not a doctor. I am a Commander in the  
5 Public Health Service. I am also a nurse, and I have  
6 20 years experience as a neuroscience nurse, but  
7 currently I am a medical device reviewer in the Office  
8 of Device Evaluation, Infection Control Devices  
9 Branch.

10 Next slide. These are the objectives that  
11 I have for this morning: Basically, to provide  
12 background on sterilization validation for medical  
13 devices, and then, hopefully, to obtain some panel  
14 guidance on how to design and interpret sterilization  
15 validation studies for medical devices after exposure  
16 to TSE material.

17 Next slide. These are the basic questions  
18 that we hope the panel will be able to provide some  
19 help with this morning.

20 For prion contaminated critical medical  
21 devices: What is the acceptable sterilization process  
22 for prion removal and inactivation? What endpoint is  
23 appropriate? Is Log reduction of infectivity  
24 appropriate? Is there an indicator agent for prions?

25 Next slide. I will be covering the

1 following items in my presentation: Spaulding  
2 classification of medical devices, which I know we  
3 talked a little bit about yesterday; sterilization  
4 methods; medical device reprocessing steps; health  
5 care sterilization processes; medical device  
6 reprocessing review; validation; and then the  
7 available recommendations and guidances that we have.

8           Next slide. The science behind instrument  
9 classification has been described by Dr. Spaulding and  
10 was reviewed yesterday in our discussion as well. The  
11 Spaulding instrument classification is based on risk  
12 of infection and the area of the body where it is  
13 being used.

14           This classification is used in medical  
15 device reviews to determine if devices should be  
16 subject to sterilization or high level disinfection  
17 before use, depending on the area where the device  
18 will contact, as shown here. The medical device, a  
19 product classification that the FDA uses, aligns with  
20 the Spaulding classification.

21           Critical devices are the devices that  
22 normally enter sterile body tissue, as we have talked  
23 about before. Surgical instruments such as scalpels,  
24 neural burrs, are an example of devices that would be  
25 subject to sterilization prior to use.

1           Most of this presentation will center on  
2 critical devices because a primary area of concern for  
3 CJD such as brain tissue are considered sterile body  
4 tissue. When medical devices are used, they are  
5 reused, as determined by their design and materials,  
6 but most heavily by the ability to validate that these  
7 devices can be reprocessed.

8           Next slide. This is also not a new slide.  
9 After considering the Spaulding classification and  
10 medical device sterilization validation reviews, the  
11 potential level of resistance of the infectious  
12 organism is considered, and this order of resistance  
13 provides additional information that should be  
14 considered when determining the resistance to  
15 reprocessing and the level of resistance that should  
16 be validated for a review of a medical device that is  
17 to be reprocessed for multiple use.

18           We did discuss yesterday that bacterial  
19 spores are considered the most resistant  
20 microorganisms and that prions are thought to be of  
21 higher resistance than spores. What this slide does  
22 not emphasize is that the level of resistance does  
23 depend on the rigor of each of the medical device  
24 reprocessing steps, especially the sterilization  
25 method applied to each of the items in this descending

1 order.

2           Next slide. Our goal is to learn about  
3 inactivation of prions. The steps for medical device  
4 reprocessing may indicate -- may include, excuse me,  
5 the items in both cleaning, high level disinfection  
6 and/or sterilization.

7           Cleaning is crucial for subsequent  
8 reprocessing. The goal is to reduce bioburden by  
9 removal of organic and inorganic clinical  
10 contamination. Next is high level disinfection and,  
11 although high level disinfection is mentioned, the  
12 focus again is still for this discussion on critical  
13 devices which would be reprocessed after exposure to  
14 potential or known CJD.

15           Sterilization for critical device  
16 reprocessing is defined by AAMI, the Association for  
17 Advancement of Medical Instrumentation, as a validated  
18 process used to render a product free of all forms of  
19 viable microorganisms.

20           Currently, the sterilization endpoint is  
21 to kill spores, but as the previous slide showed,  
22 resistance to prions is thought to be higher than  
23 spores.

24           Next slide. The use of the sterilization  
25 processes that are used in health care settings to



1 reprocess medical devices that are currently on the  
2 market and available to the user -- The FDA has  
3 reviewed devices according to these methods. Also as  
4 Ms. Gill explained, we also review sterilizers for  
5 steam and dry heat as medical devices as well.

6 I want to bring your attention again to  
7 steam or moist heat sterilization as the most common  
8 method for prion deactivation and removal. It is also  
9 the most common method for sterilization of medical  
10 devices.

11 For gravity displacement cycles, steam is  
12 used at a temperature of 121 degrees Centigrade for  
13 20-30 minutes. The pre-vacuum cycles use steam  
14 temperatures of 132-134 degrees Centigrade for three  
15 to five minutes.

16 It is important to remember that in the  
17 U.S. these sterilization cycles are fixed in health  
18 care, and it is rare for the health care user to  
19 reprogram the sterilizers. This would be significant  
20 when discussing recommendation for reprocessing  
21 devices that are contaminated or potentially  
22 contaminated with CJD.

23 Next slide, please. If the manufacturer  
24 wants to label their product reusable, FDA will ask  
25 the manufacturer to follow items in the FDA 1996

1 Reusable Label Guidance.

2           This guidance recommends clear  
3 instructions to the user, so that the user can  
4 properly follow the manufacturer's recommendations.  
5 Instructions must include appropriate microbicidal  
6 processes for the device, and these reprocessing steps  
7 must be feasible. For example, users don't have  
8 access to radiation. So that is not a viable method.  
9 It may be an over-example. The reprocessing  
10 instructions must be validated.

11           Next slide. As has been stated, for  
12 medical device reprocessing the sterilization process  
13 should demonstrate a spore (BI) kill to achieve a  
14 sterility assurance level of  $1 \times 10^{-6}$  or a 12 Log kill  
15 as its endpoint for conventional critical medical  
16 devices.

17           For medical devices exposed to potential  
18 or known CJD, the current approach to sterilization  
19 validation for medical device reprocessing with spores  
20 does not provide information that can be applied to  
21 removal or inactivation of prion.

22           Next slide, please. These questions  
23 remain for prion contaminated critical medical  
24 devices: What are acceptable sterilization processes  
25 for prion removal/inactivation? What endpoint is

1 appropriate? Can Log reduction be applied to  
2 determine safe use of a medical device and prevent  
3 transmission of prion infectivity? What indicator  
4 agent similar to the use of spores should be used for  
5 safe validation of sterilization of prion contaminated  
6 medical devices? Can prion contaminated medical  
7 devices and the equipment used in reprocessing in  
8 health care facilities be safely used?

9           Next slide, please. CDRH requires a virus  
10 validation study for some medical devices that  
11 incorporate animal derived tissue. Since virus  
12 validation studies for the medical devices with animal  
13 derived tissues were discussed at this panel's June  
14 2002 meeting, I will not cover it in detail.

15           For medical devices incorporating animal  
16 derived tissue, the objective is a final product that  
17 is below one infectious particle per  $10^6$  devices.  
18 This is similar to a SAL level of  $1 \times 10^{-6}$  in  
19 traditional sterilization processes.

20           In the case of bacterial fungi, there are  
21 published methods by the FDA, but for virus validation  
22 there are published standards but no accepted methods.  
23 Virus validation is a step by step evaluation of an  
24 overall inactivation processes that is carried out in  
25 a controlled manufacturing setting, while the

1 sterilization of reusable critical medical devices is  
2 performed in health care settings.

3           Next slide, please. With bacterial  
4 inactivation or traditional sterilization validation  
5 of medical devices, it is a full scale sterilization  
6 process. These are the highlights for virus  
7 validation.

8           Virus validation is a model or scaled down  
9 approach with different steps in the manufacturing  
10 process to inactivate the virus. Then the steps are  
11 summarized for a total inactivation process.

12           Next slide, please. I want to conclude by  
13 providing information for current recommendations  
14 available for device reprocessing after exposure to  
15 CJD. Yesterday Dr. Taylor presented the WHO  
16 recommendations for reprocessing CJD contaminated  
17 medical devices.

18           The WHO recommendations begin with  
19 incineration for disposable instruments and those  
20 exposed to high infectivity tissues such as brain.  
21 One of the most stringent methods is listed on this  
22 slide.

23           For heat resistant instruments, immerse in  
24 1N sodium hydroxide, heat in a gravity displacement  
25 autoclave at 121 degrees C for 30 minutes or 132

1 degrees C for 3-5 minutes. Rinse in water, and then  
2 the routine sterilization process.

3 Yesterday Dr. Brown, Dr. Stan Brown,  
4 mentioned his findings and recommendations on device  
5 material compatibility with reprocessing in sodium  
6 hydroxide and sodium hypochlorite. These findings  
7 included the potential for damage or residue on the  
8 medical device materials tested.

9 These recommendations do not include  
10 information about the unique challenges in device  
11 reprocessing, such as design materials and the  
12 intended use. These recommendations also do not  
13 provide an endpoint or indicator for the heat  
14 resistant instruments, nor do they address a  
15 validation method.

16 Next slide, please. Recently CDC's  
17 HICPAC, the Health Care Infection Control Practice  
18 Advisory Committee, has also been finalizing their  
19 draft recommendations for CJD contaminated devices.  
20 These guidelines are not final.

21 This slide addresses the recommendations  
22 for critical or semi-critical medical devices exposed  
23 to high risk tissues and/or high risk patients. They  
24 do provide some different information in Option Number  
25 3 or numbered 3, to clean thoroughly, then autoclave

1 at 134 degrees C for 18 minutes in a pre-vacuum  
2 sterilizer or 132 degrees C for one hour in a gravity  
3 displacement sterilizer.

4 Option Number 3 does incorporate clearly  
5 the concerns about the importance of cleaning. The  
6 HICPAC guidelines do begin to address other concerns  
7 in the last point on the slide, especially discard  
8 contaminated medical devices that are impossible or  
9 difficult to clean.

10 That concludes the information I have at  
11 this time, and hopefully, it will give you enough  
12 background to continue with discussion and follow-up  
13 with the questions that will be provided by Dr. Durfor  
14 later. Thank you very much.

15 CHAIRPERSON PRIOLA: Questions for  
16 Commander O'Lone? Dr. Bailar?

17 DR. BAILAR: A little simple mathematics:  
18 I assume that you would like for this standard of one  
19 infectious particle per  $10^6$  maximum to apply to any  
20 prion disease as well as to anything else.

21 What is the prevalence of CJD or maybe the  
22 prevalence of any prion disease in an asymptomatic  
23 stage in the U.S. population likely to come to  
24 surgery? I would guess that the prevalence is  
25 something higher than one in  $10^6$ .

1 CDR. O'LONE: What is the prevalence of?

2 DR. BAILAR: The prevalence of

3 asymptomatic CJD or other prion disease?

4 CDR. O'LONE: Well, to the best that I can  
5 understand from reading about the epidemiology of CJD,  
6 that number is unknown. I don't know if someone has  
7 some better information that they could answer with  
8 that.

9 DR. BAILAR: I wouldn't expect a precise  
10 answer, but if the risk is greater -- If the  
11 prevalence is greater than 1 in a million, then your  
12 chance of hitting that  $10^{-6}$  risk with a single  
13 exposure is going to exceed your guideline.

14 CDR. O'LONE: Well, first I have to say  
15 that I don't know if  $10^{-6}$  is appropriate for CJD  
16 infectivity. I don't know enough about that. I'm not  
17 sure that that is clear either. I understand what you  
18 are saying otherwise about the mathematics, and we  
19 certainly do want to make sure that the reuse of  
20 medical devices is safe.

21 CHAIRPERSON PRIOLA: I think, Dr. Bailar,  
22 there are a couple of people over here who might be  
23 able to address that more directly. Dr. Schoneburg,  
24 do you want to --

25 DR. SCHONEBURG: Hello. In the United

1 States at CDC we get about one case per million  
2 population per year. So there's what, 284 million  
3 people or so. We get about 284 cases every year  
4 reported to us.

5 Now that's incidence. That's why I'll  
6 just give you some numbers to play with. What we  
7 don't know is -- What that comes out to, by the way,  
8 is about one out of every 9,000 or 10,000 people in  
9 the United States dies from CJD, because this  
10 incidence has been stable for -- well, since 1979,  
11 since we have had that data. If that continues to be  
12 stable like that, you can look at a list of all the  
13 deaths in the United States, and one out of 9,000 or  
14 one out of 10,000 of those will say CJD. Okay?

15 Now how long is the period of infectivity?  
16 That's what we don't really know. Okay? So maybe  
17 each of those individuals who I am counting as a case  
18 were infectious for ten years. I know that Paul Brown  
19 at one time when he was trying to calculate risks for  
20 blood used about a ten-year period.

21 Then the other issue to raise is that,  
22 even though I say one per million per year, there is  
23 a very distinct age related difference in the  
24 incidence. There is practically nobody in the United  
25 States that gets sick with CJD who is a teenager and,



1 certainly, very few cases under 30 years of age.  
2 After 55 years of age, however, the incidence goes up  
3 quite markedly, peaking at around 68 years, and then -  
4 - 68-70 years, and then comes down again.

5 Now people, of course, die from other  
6 diseases as time goes on, and how many of those  
7 individuals might be infectious because they hadn't  
8 had the opportunity to die from CJD yet, we don't  
9 know. But these are some numbers. You would have to  
10 fool with those to get some idea of what the odds  
11 might be of an individual coming to a hospital who  
12 would be infectious and still not symptomatic.

13 The age distribution would be a clear risk  
14 factor. In other words, a child who came in for  
15 surgery -- extremely unlikely, probably wouldn't even  
16 consider that that person would be positive. As you  
17 get into the older population, the risk would be much  
18 higher. But again, we are talking about a relatively  
19 rare disease in the United States.

20 CHAIRPERSON PRIOLA: Dr. Durfor?

21 DR. DURFOR: Charles Durfor, Center for  
22 Devices at FDA. I wanted to address one of the other  
23 questions you raised, which was the issue of one  
24 infectious particle in 106 products. That is a value  
25 that has found great use in terms of viral safety.

1           The issue of what is an acceptable risk  
2   for TSE products is one we bring before this Committee  
3   today. So I don't think you can make that assumption  
4   at this time, what that value would be, but instead  
5   it's one of the questions we are going to ask this  
6   panel to give us guidance on.

7           CHAIRPERSON PRIOLA: Dr. Edmiston?

8           DR. EDMISTON: This has been an  
9   interesting discussion, because we've had a number of  
10  both pragmatic and mechanistic discussions concerning  
11  this issue. But let me talk about where the tires hit  
12  the road.

13           It may be impossible to define a threshold  
14  in this particular scenario, for a number of reasons  
15  that have actually been alluded to by members of this  
16  panel and also by several of the distinguished  
17  individuals who have presented data here. I think,  
18  however, it is important to recognize there are some  
19  parallels here.

20           One of the parallels has to do with  
21  antibiotic resistance in this country. If you look at  
22  how antibiotics are being used in this country, you  
23  look at antibiotic formularies. You could have the  
24  most restricted antibiotic formulary in the country,  
25  but if your infection control practices are abysmal,

1 you are still going to have significant problems with  
2 resistance within hospitalized patient populations.

3           What I would like to point out is, if you  
4 look at the incidence that we have seen of CJD,  
5 especially in health care workers since 1976, one  
6 could make a strong argument that it's been our  
7 infection control practices within these institutions  
8 which have contributed to the low incidence that we  
9 have seen over the past 20-25 years prior to 1975 or  
10 prior to 1970.

11           So I think the issue at hand is very  
12 simple. We have to recognize that, while we can  
13 discuss the possibility of designing assays and  
14 studies that will give us a level of confidence, we  
15 can't forget that this is an adjunctive process in  
16 parallel with our infection control practices.

17           I think that's very, very important to  
18 realize. Dr. Rohwer, I think, you are greatly  
19 concerned by some of our methodologies within  
20 institutions in light of our inactivation studies.  
21 But I can assure you that the FDA is charged with  
22 protecting the health and wellbeing of the general  
23 public at large. That's what my responsibility is at  
24 Frederick Hospital for the patients and the staff  
25 members in that institution. So we take this all very

1 seriously.

2           So I think it's important to realize this  
3 is a two-tiered process. Not only are we trying to  
4 define validation studies and processes that can  
5 reduce the risk, but there are already procedures --  
6 policies and procedures in place which fall outside of  
7 that, which are doing the same.

8           CHAIRPERSON PRIOLA: Dr. Bailar.

9           DR. BAILAR: I think what I'm hearing is  
10 that a single exposure to an apparently healthy  
11 American of past childhood and early adulthood, the  
12 way these things stick to hard surfaces, would result  
13 in a risk of more than one in 106, but maybe that  
14 isn't the right standard to use here. Is that a fair  
15 summary?

16           CHAIRPERSON PRIOLA: I'm not sure that I  
17 understand the question. Exposure of an individual of  
18 any age group to TSE contamination -- is that what you  
19 are referring to? That's different.

20           DR. BAILAR: No. Exposure of an  
21 instrument to an average person off the street who has  
22 no evidence of disease might result in prion  
23 contamination, CJD contamination, of that instrument  
24 because the disease has not progressed to the stage of  
25 being identifiable, and that the risk of that is

1 perhaps greater than one in 106 -- 10-6.

2 CHAIRPERSON PRIOLA: Okay. I don't know  
3 your name.

4 DR. LIN: My name is Chu Lin. I'm the  
5 Vice Chair for Device Branch in the CDRH.

6 I think that the question that Martha  
7 O'Lone present when she mentioned about particularly  
8 when you talk about stuff like this and is the  
9 sterility assurance never over 10-6 -- that is not  
10 what we are talking about. This is the endpoint that  
11 we said is one out of 1 million device would allow for  
12 nonsterile. That's what the concept of SAL 10-6 is  
13 talk about. Okay?

14 That is sort of a accepted part in the  
15 validation field, important medical device, because  
16 there is no way you can sterilize devices completely,  
17 so called sterile, just like pharmaceutical  
18 industries. So that's why you use that concept of  
19 sterility assurance label 10-6.

20 When you talk about diseases, talk about -  
21 - when you have a reusable device manufacturer come to  
22 FDA, said I wanted to report the use of a device or,  
23 by the same matter, for the industrial or the  
24 manufacturer said I can sterilize this medical device.  
25 This is the endpoint that we use to decide whether the

1 device is sterile or not.

2 I don't know whether that answers your  
3 question.

4 CHAIRPERSON PRIOLA: I think part of what  
5 Dr. Schoneburg, I think, was getting at is that that  
6 risk that you are discussing, this one in a million,  
7 since the distribution of CJD in the normal population  
8 is a bell shaped curve with an average highest  
9 incidence at, you know, 55-60 years old, that's the  
10 population where you would be most concerned of that  
11 carryover of that contamination, not -- and it's an  
12 increasing level of risk with age, and a decreasing  
13 level of risk after, apparently, the age of 60.

14 Is that more what you are getting at?

15 DR. FREAS: Microphone, please. That's  
16 getting at it, but that may be an age range where  
17 neurosurgical procedures are more common than in the  
18 rest of the population, too.

19 What I'd really like to know, and I don't  
20 think anybody can answer it, is what is the risk of a  
21 reusable device being used in an apparently healthy  
22 person on the table coming away contaminated with one  
23 of these prion diseases?

24 DR. HELLMAN; I don't know that I can  
25 answer that question. Kiki Hellman, FDA. But I think

1 we straightened out the incidence question, the one  
2 106, and then Larry's comment that about one in 9-  
3 10,000 of the U.S. population. That would translate  
4 to that.

5 I think the concern in a hospital and a  
6 surgical setting is to consider the risk of age  
7 distribution, since certainly, anyone that comes into  
8 the hospital age 55 or over for a surgical procedure  
9 would be the -- perhaps incubating the agent.

10 The concern then needs to be with any  
11 instruments that are used in that age population to  
12 take special care with those instruments, not on  
13 children that come in at 10 or 11 or 12 for  
14 tonsillectomy, for example. There may be older  
15 individuals that come in for a tonsillectomy. You  
16 would be concerned about those instruments.

17 So considering the incidence of CJD in the  
18 U.S. population, what that translates to -- the older  
19 individuals coming into the hospital for surgical  
20 procedure, those instruments that would be used on  
21 that age range population. Does that help a little?

22 DR. BAILAR; It does help, though I don't  
23 think I would want to move to a system where we have  
24 instruments segregated by -- for use in different age  
25 groups.

1 DR. HELLMAN: Oh, no. No, no, no. But  
2 I'm just trying to delimit it for you.

3 DR. BAILAR: Thank you.

4 CHAIRPERSON PRIOLA: Dr. Hogan.

5 DR. HOGAN: Maybe I can provide some  
6 numbers. We actually looked at the issue of  
7 prevalence when we were trying to calculate risk for  
8 corneal transplantation. This is Dr. Bob Kinnean and  
9 myself. If you use the assumption -- and many people  
10 in the audience know about this. If you use the  
11 assumption of 10-year incubation with six months of  
12 clinical disease prior to death, then the prevalence  
13 of symptomatic -- nonsymptomatic patients in the  
14 United States would be about 2,600 per year per --  
15 2,600 per year in the United States period.

16 That's using the data on incidence of CJD  
17 in various age groups. You can break that down to  
18 around 460 in the age group between 60 and 65 a being  
19 the highest incidence. Those numbers are published.  
20 But I think a more important issue here, based on your  
21 question, is how many transmissions have we had in the  
22 United States with neurosurgical instruments using  
23 current methods? As far as I know, it's zero. Can  
24 someone correct me?

25 DR. EDMISTON: And I think that relates to



1 our infection control efforts in being able to  
2 identify patients such as patients in specific risk  
3 groups. That's an important component that we can't  
4 forget, the ability to be able to identify patients.

5 One of the things that we don't have with  
6 CJD patients is an early warning system for these  
7 patients. So we have to use criteria that are very  
8 broad. Quite often, we'll be sending specimens out in  
9 patients who have died at post mortem, and at post  
10 mortem evaluation it's been determined that they have  
11 had CJD. That's the issue that you are most concerned  
12 with right there. That's that population that we are  
13 trying to address.

14 Our neurosurgical colleagues are pretty  
15 attuned to this, and they pretty attuned to it in the  
16 point that they are looking at alternatives in terms  
17 of managing a number of these age populations, and  
18 especially in doing brain biopsies, as indicated  
19 yesterday. There's more and more neurosurgeons that  
20 are moving toward the use of disposable hollow bore  
21 devices, disposable biopsy needles.

22 I think that's an issue that is extremely  
23 important, and that falls into that infection control  
24 arena. So while I may not be able to put an endpoint,  
25 especially in the decontamination phase, I think we

1 have to recognize that there are other mechanisms in  
2 place which are trying to get to the same point.

3 CHAIRPERSON PRIOLA: Okay, thank you,  
4 Commander O'Lone, for your presentation. I think that  
5 leads us, if there are no more questions, to the  
6 public hearing portion.

7 DR. FREAS: As a result of this meeting  
8 announcement, I have received three requests to speak  
9 in the open public hearing. FDA has reviewed these  
10 requests and, because of the scientific nature of  
11 these requests, we are giving each of the next three  
12 presenters ten minutes to make their presentation.

13 I will call them to the microphone in the  
14 order in which I received their request, but if they  
15 have decided amongst themselves a different order,  
16 please let us know before you start speaking.

17 The first speaker I have, based on order  
18 of request, is Dr. Gerald McDonnell, Senior Director  
19 of Technical Affairs at STERIS Corporation.

20 DR. McDONNELL: I am actually going to go  
21 second today. I am going to let Dr. Burke from  
22 AdvaMed give some comments first, and then I will go  
23 through some technical stuff.

24 DR. FREAS: Okay. Our first speaker, Dr.  
25 Peter Burke from AdvaMed.

1 DR. BURKE: Good morning. My name is Dr.  
2 Peter Burke. I am the Senior Vice President, Chief  
3 Technology Officer for STERIS Corporation. I am here  
4 today to be the spokesman for Advanced Medical  
5 Technology Association, more commonly known as  
6 AdvaMed.

7 AdvaMed is the largest medical technology  
8 association in the world, representing more than 1100  
9 innovators and manufacturers of medical devices,  
10 diagnostic products, and medical information systems.  
11 Many of these products are manufactured from materials  
12 derived from ruminants that can be sourced from  
13 countries outside the United States. Thus, the  
14 potential risk of TSE contamination associated with  
15 medical devices is an important issue for AdvaMed  
16 member companies.

17 In fact, manufacturers consider source  
18 control to be the most effective safety control  
19 measure available to mitigate any potential risk of  
20 TSE introduction. AdvaMed members made a  
21 recommendation to FDA last year that the agency adopt  
22 a flexible approach to allow device manufacturers to  
23 determine measures of theoretical risks for medical  
24 devices.

25 Source control was the central pillar of

1 that flexible approach, and we believe that this is a  
2 highly effective step in preventing the contamination  
3 of medical devices and, as importantly, manufacturing  
4 facilities. However, in order to prepare for any  
5 potential risk of TSE contamination, this committee,  
6 CDC, FDA and USDA all face the monumental task of  
7 developing criteria for designing and validating --  
8 most importantly, validating studies intended to  
9 evaluate the effectiveness of TSE decontamination  
10 methods.

11 We commend this effort, and we believe  
12 that it is necessary to ensure the continued  
13 availability of safely reprocessed medical devices and  
14 also medical devices containing animal derived  
15 materials in an environment where the number of TSE-  
16 free countries is declining, and can change overnight.

17 In the United States there are currently  
18 no approved guidelines for decontaminating medical  
19 devices that might potentially be contaminated with  
20 prions, considered the causative agent of TSEs.  
21 Prions are, as we have heard for the last couple of  
22 days, highly resistant to the routine methods of  
23 decontamination and sterilization currently accepted  
24 for medical device processing. The removal of prions  
25 presents a significant challenge to the manufacturers.

1 I will break up my comments into general  
2 decontamination and device control as well.

3 The challenge of decontaminating medical  
4 devices and manufacturing facilities that may be  
5 potentially contaminated with prions has several  
6 components, and it is important to consider the body  
7 of experimental work that has been done.

8 To date, in experimental studies, no  
9 single decontamination method has been shown to be 100  
10 percent effective against prions. Therefore, a  
11 combination of methods is generally recommended.

12 Current decontamination methods are based  
13 on recommendations from the World Health Organization  
14 or WHO. WHO recommendations are based on a review of  
15 the current published literature. The effectiveness  
16 of these methods is difficult to assess. There are no  
17 standardized methods to evaluate the effectiveness of  
18 any given decontamination procedure for prions.

19 Based on our review of the literature used  
20 by WHO, it is difficult to determine which  
21 decontamination methods are truly effective. It is  
22 also difficult to compare studies, as a variety of  
23 prion proteins, such as scrapie, BSE or CJD, were  
24 used.

25 In addition, the studies employed

1 different preparation methods, purified, nonpurified,  
2 homogenates or intact brain, and used different test  
3 methods, some being suspension, others being on hard  
4 surfaces, carriers.

5 Evaluation of decontamination methods also  
6 failed to consider the antimicrobial effects of  
7 biocides and physical/biological processes, which may  
8 vary based on the process parameters -- in other  
9 words, active concentration and temperature.  
10 Consequently, the results of many studies cited in the  
11 literature may not be reproducible.

12 In the United States, the Healthcare  
13 Infection Control and Practices Advisory Committee  
14 known as HICPAC of the Center for Disease Control &  
15 Prevention developed draft guidelines as part of their  
16 Guidance for Disinfection and Sterilization in  
17 Healthcare Facilities. These guidelines, which are  
18 yet to be approved, are also based on WHO  
19 recommendations. AS a result, they, too, fail to  
20 include a standardized method of evaluation.

21 If we are to answer the question of which  
22 method should be employed, we must first have a  
23 mechanism to compare the available methods. In  
24 addition, the panel must recognize that, in the  
25 current absence of globally accepted standard methods

1 for evaluation, additional studies would likely be  
2 necessary to achieve more definitive global  
3 guidelines. We believe that today's proceedings are  
4 an important first step to do so.

5           Currently, contamination of manufacturing  
6 facilities is a theoretical risk. If the products  
7 were to be contaminated with TSE agents, the  
8 decontamination of manufacturing facilities, in order  
9 to limit the potential for cross-contamination of  
10 medical devices or other regulated products, presents  
11 major problems for manufacturers, with a considerable  
12 logistical challenge.

13           Since to date no single method of  
14 decontamination has been proven 100 percent effective  
15 against prions, any FDA requirements to decontaminate  
16 manufacturing equipment and facilities should take  
17 into consideration the potential risk of cross-  
18 contamination from contaminated materials and the  
19 potential for transmission of TSEs based on patient or  
20 user contact. Decontamination methods should be based  
21 on this risk assessment and whether the process  
22 selected has been established as being effective under  
23 the specific use conditions.

24           If effective methods are identified, other  
25 considerations would come into play. For example,

1 would the method be compatible with the medical device  
2 production and manufacturing equipment? It's an  
3 interesting question.

4 Another question would be identified  
5 decontamination methods should be compatible with  
6 surfaces being treated to minimize damage to  
7 manufacturing equipment. How frequently should one  
8 apply such methods following each manufacturing run,  
9 after each single lot or at some other determined  
10 frequency? So there is an issue of frequency here.

11 How does the implementation of prion  
12 decontamination methods impact equipment  
13 qualifications and processes from a validation  
14 perspective? Again, will it be necessary to requalify  
15 all manufacturing lines?

16 The answers to these questions are  
17 important, as they will impact the day to day  
18 manufacturing of medical devices, which are so  
19 critical to health care today. There is the potential  
20 that any new and possibly onerous requirements on  
21 medical technology manufacturers could limit  
22 manufacturers' ability to provide needed quantities of  
23 life saving medical products in a timely way to the  
24 patients who need them.

25 AdvaMed strongly encourages that any



1 discussion about development of standardized  
2 decontamination methods to reduce any potential risk  
3 of BSE cross-contamination take these considerations  
4 into account.

5           Furthermore, it may be appropriate to  
6 consider alternative approaches for those medical  
7 technologies that do not come in contact with human or  
8 animal contact. Material control for these products  
9 through the use of standard quality systems must not  
10 be overlooked as an alternative approach to the  
11 implementation of any new decontamination procedures.

12           Material control processes for these products  
13 already address the identity, traceability, handling,  
14 and disposal of materials within their quality  
15 systems. Assurances provided by these systems provide  
16 a viable alternative to facility decontamination.

17           Let's look at device issues. Another  
18 component of the decontamination challenge is the  
19 impact of various decontamination methods on a device.  
20 Can that device withstand being subjected to new and  
21 potentially rigorous decontamination and processes,  
22 above and beyond the current regimen of safe and  
23 normal sterility practices, and still retain  
24 performance integrity to remain safe and effective for  
25 its intended use? The answer is, obviously, very

1 complex.

2           Several of the current WHO recommendations  
3 for medical device reprocessing will cause severe  
4 damage to common medical device surfaces. I think  
5 we've seen some of those in the presentations already.

6           For example, WHO's recommendation for  
7 using 1 N NaOH can severely damage aluminum and  
8 stainless steel components when used in an autoclave,  
9 and even the autoclave could be damaged from the  
10 internal chamber perspective.

11           The impact of current decontamination  
12 processes on devices and in vitro diagnostics, known  
13 as IVDs, is unclear. IT is likely they would not  
14 stand up to these extreme decontamination practices.

15           Most IVDs contain some sort of animal derived  
16 materials, much of which is derived from ruminants.  
17 These materials are key to the performance of IVDs.  
18 In many cases, the materials have been developed to  
19 yield certain unique performance characteristics. If  
20 required, decontamination practices could literally  
21 destroy or inactivate this ingredient. IN that case,  
22 most IVDs would be ineffective.

23           Since these devices are not intended to  
24 contact either the human body or animals, requirements  
25 for decontamination procedures would be superfluous.

1           Where decontamination is a viable option,  
2   the method of decontamination is an important  
3   consideration. The method used must be comparable  
4   with the material of the device -- or compatible with  
5   the material of the device. When a combination of  
6   procedures is required to decontaminate a device, the  
7   effect that each one has individually and in  
8   combination must be answered.

9           The decontamination process must not  
10   render the device unsafe for its intended use. We  
11   encourage the Advisory Panel to take into account the  
12   unique concerns associated with the decontamination of  
13   medical devices whose original origin of design never  
14   contemplated cleaning and sterilization after exposure  
15   to potential TSE causative agents.

16           In conclusion, materials of animal origin  
17   provide countless functional and clinical benefits for  
18   treating many serious diseases and medical conditions.  
19   The potential risk for cross-contamination of surfaces  
20   form materials of animal origin or transmission of  
21   TSEs onto medical device applications requires the  
22   development of decontamination processes that are  
23   effective and compatible for their intended use.

24           AdvaMed stands ready to work with the CDC,  
25   FDA and USDA to ensure the availability of safe

1 lifesaving and life improving medical devices and  
2 technologies that can incorporate animal derived  
3 materials. However, we believe that any effort to  
4 develop a methodology to evaluate the effectiveness of  
5 methods for removing TSE contamination from medical  
6 devices must be done on a global stage.

7           Medical devices cross boundaries. They  
8 are worldwide. This effort must cross the same  
9 boundaries and pull together government agencies,  
10 manufacturers, researchers and other thought leaders  
11 from around the world.

12           AdvaMed strongly -- has already proposed  
13 to FDA that government and industry cosponsor a  
14 workshop on decontamination processes to obtain a  
15 clear picture of the state of the art for such  
16 practices. Such a workshop would allow for critical  
17 review of existing international guidelines for prion  
18 decontamination for practical application in the U.S.,  
19 including the need for validation of proposed methods  
20 and compatibility with medical devices.

21           The workshop would establish support for  
22 a research agenda on prion decontamination, including  
23 efficacious testing methodology, confirmation of  
24 effectiveness of proposed processes. Lastly, a  
25 dedicated working group of stakeholders should be

1 established to work with FDA on this issue, and  
2 support development of policies and guidelines for  
3 safe and effective decontamination practices.

4 Thank you for considering our comments.  
5 Our members strongly support the efforts to develop a  
6 standardized methodology for assessing current and  
7 future decontamination procedures to reduce the  
8 potential risk of TSE contamination in medical devices  
9 and medical device manufacturing facilities. I thank  
10 you for this opportunity to speak to you.

11 DR. FREAS: Thank you for your  
12 presentation. Our next speaker will be Dr. Gerald  
13 McDonnell, Senior Director of Technical Affairs at  
14 STERIS Corporation.

15 DR. McDONNELL: Thank you very much for  
16 the opportunity to talk to you today. I am going to  
17 talk about decontamination of surfaces contaminated  
18 with prions. The background to this is that I work  
19 for STERIS Corporation, who are a leading supplier of  
20 infection control and surgical support products  
21 worldwide.

22 For that reason, we often get questions on  
23 how do we decontaminate surfaces against prions from,  
24 say, a medical device perspective or from a  
25 pharmaceutical or life sciences perspective, or even

1 from a research perspective in research labs. So this  
2 is, of course, of great interest to us.

3 Can I have the next slide, please. I  
4 think this has been well reviewed during the last few  
5 days. But, certainly, I think we can definitely say  
6 that human tissues and contaminated surfaces can  
7 transmit TSEs and, despite how rare these diseases  
8 are, we have actually seen clinical cases and they  
9 have also been shown experimentally.

10 I will use a reference from the paper that  
11 Dr. Weissmann spoke about earlier, that prions are  
12 readily and tightly bound to stainless steel surfaces  
13 and, I think you will agree, to other surfaces, based  
14 on his presentation this morning, and can transmit the  
15 disease to recipient mice after even short implant  
16 times.

17 Next slide, please. Now this slide looks  
18 familiar, because you have seen it before. A lot of  
19 us use it and I have even published this myself. But  
20 there is one important point I needed to make here.

21 Prions do demonstrate resistance to  
22 routine methods of decontamination and sterilization.  
23 But we often think of prions as being another  
24 microorganism.

25 So when we think about how do we kill

1    them, well, we keep increasing the temperature. We  
2    keep increasing the time. That may not necessarily be  
3    the case, because you ask a biochemist how he wants to  
4    kill a protein, it's a little bit different to the way  
5    he might kill a microorganism. I think that is a very  
6    important consideration.

7                    Next slide, please. I'm going to take you  
8    through some of the questions that we have been asked  
9    and that we have asked ourselves when considering the  
10   current guidelines.

11                   The first is cleaning. When I first  
12   looked at this, my question is, well, could I actually  
13   increase or decrease the risk by cleaning; because,  
14   yes, you do get physical removal from the surface, but  
15   then where does it go, or can it bind to other  
16   surfaces?

17                   Because it is a lipophilic soil, in  
18   comparison to some of the results that Dr. Rutala  
19   presented yesterday, he showed you lots of data with  
20   aqueous soils, but these are not aqueous soils, and  
21   these are a lot more difficult to remove from the  
22   surface.

23                   So when we think about cleaning with  
24   prion, we may need to make specific recommendations of  
25   what we mean, what types of products should be used in

1 that case. We have seen a lot more discussion on what  
2 actually happens then when they go down the sewer and  
3 into the sink and other things like that, which is, I  
4 think, getting more discussion in Europe right now.

5           There's also some recommendations that are  
6 actually not specific to the U.S. but I thought were  
7 worthwhile mentioning. In Germany, we had cases where  
8 aldehyde-based cleaners were used, which seems like a  
9 contradiction of terms, but it actually brings up an  
10 important point also, in that not only cleaners, but  
11 we also use disinfectants that do have cross-linking  
12 or fixing activity. I think we need to think about  
13 with recommendations whether they should be used or  
14 not in cases of high risk or in cases where TSEs are  
15 expected.

16           The use of alkaline cleaners has been  
17 recommended, because they are extremely good at  
18 removing proteins from surfaces, and they are a part  
19 of standard recommendations in countries like France  
20 and Germany.

21           Next slide, please. Steam sterilization:  
22 I think this has already been well looked at. But it  
23 is true that the data is conflicting, and it is not  
24 completely effective. I think this is one point where  
25 we do see a major difference between what we think



1 microbially and what we think biochemically, in that  
2 there is some very nice data that has been published  
3 by both Ernst and Race from Rocky Mountain Labs and  
4 from Taylor -- from David Taylor in Edinburgh that, as  
5 you increase the temperature, you can actually get  
6 more resistance. I think that is something that we  
7 need to consider also.

8           Next slide, please. Now here is another  
9 example with sodium hydroxide. This comes from a  
10 paper we published recently. That's device damage.  
11 Well, you can see the obvious effect of doing sodium  
12 hydroxide here with some devices in Canada, and I  
13 think you would all agree that you wouldn't be very  
14 happy to hand them over to a surgeon for use.

15           Sometimes it is not the damage that you  
16 can see. It's the damage that you cannot see.  
17 Generally speaking, over multiple cycles, we really do  
18 need to look at the effects of what that actually  
19 means. If a device was to break during a procedure  
20 due to damage, then that could actually be more  
21 dangerous than the actual decontamination against  
22 TSEs.

23           The safety concerns not only apply to the  
24 device but, of course, they also apply to the person  
25 that is doing the reprocessing. If you can imagine a

1 nurse that's trying to handle a tray of sodium  
2 hydroxide that is cold going in, potentially hot  
3 coming out, we need to be very particular about what  
4 we recommend from a safety point of view of handling,  
5 if we do recommend sodium hydroxide in a health care  
6 facility.

7           Next slide, please. So in summary, TSEs  
8 can be transferred to medical devices and other  
9 surfaces. Recommendation: Decontamination methods  
10 need to be verified to be prionicidal compatible and  
11 safety, just like any other decontamination method  
12 that is currently regulated in this country.

13           Further, alternative decontamination  
14 technologies need to be looked at also. I think you  
15 have heard a lot about existing ones today, and I will  
16 try and point out some new ideas as we move on to the  
17 next slide.

18           What I'd like to do next is just to point  
19 out some data. This is where I get a little bit more  
20 excited. The decontamination research that I've been  
21 involved in includes two different things. There's  
22 test methodology development and trying to verify and  
23 validate that methodology as being practical, as well  
24 as then using that methodology to look at  
25 decontamination technologies, both existing and

1 developing.

2                   Next slide, please. This method should be  
3 very familiar to you right now, and I think it is one  
4 of the best published methods that we have seen. We  
5 have also used this method and tried to optimize it in  
6 any way we can to make it more practical from a  
7 validation point of view.

8                   It's a very simple method. This  
9 contamination or the preparation of a brain  
10 homogenate, contamination of stainless steel wires.  
11 I will mention something here of the sort of things we  
12 have looked at during validation.

13                   We have looked at the amount of material  
14 that remains on the surface by using a homogenate or  
15 also by putting that instrument directly into the  
16 brain that's contaminated a number of times. The  
17 amount that we see by doing that inoculation or  
18 simulating surgical procedure compared to using a  
19 homogenate is the same, which we thought was  
20 important.

21                   There's a drying step, and you can see me  
22 moving toward more of our worst case scenario here  
23 that I think is important. Then you can expose it to  
24 liquids or gases or steam or any other process. It  
25 gets inserted into a test animal, in most cases a

1 hamster in this case, but you can also use transgenic  
2 animals in this case, depending on the TSE in use or  
3 the PrP in use, and then incubation of the animals.

4           Next slide, please. Let me give you a  
5 typical study design, and this is a TSE strain. It's  
6 scrapie and going into Syrian hamsters, using  
7 stainless steel wires as the device. The test  
8 inoculum is 10 percent brain homogenate exposed for  
9 one hour, and then dried for 16 hours at room  
10 temperature.

11           There are 14 control groups, and this is  
12 what makes these experiments very large. There is a  
13 tremendous amount of controls. But in addition to the  
14 usual positive controls, which includes dilution of  
15 the material, there is also negative controls; and I  
16 have also done some wash-off controls as well to try  
17 and identify how easy it is for the protein to be  
18 removed, and then actually to look at decontamination  
19 methods to verify existing as well as developing ones.

20           Next slide, please. This is a typical  
21 curve of what we've seen with positive controls.  
22 While you've seen these sort of things before, like  
23 me, you are probably very -- I'm very interested in  
24 the top part of this graph to look at. This is, of  
25 course, mortality over serial dilutions, what actually

1 happens over that endpoint, and our animals are still  
2 under incubation at this time. But as far as we have  
3 incubated so far, we are no longer seeing infection  
4 after a 6 Log reduction.

5           Next slide, please. This is interesting.  
6 These are the results from the wash-off controls. I  
7 Think this does mirror what Dr. Weissmann said earlier  
8 on. What we have looked at here is just by doing  
9 rinses in PBS. What we have done is taken them a  
10 third dilution, a fifth dilution, and you see the mean  
11 mortality with a rinse or with no rinse.

12           I think you will agree that, at 280 days  
13 incubation or over nine months, there really is no  
14 difference. So I think that does verify that these  
15 proteins are very well attached to the surface  
16 afterward, which changes our perspective of what we  
17 would normally expect for protein removal from a  
18 surface that would normally be aqueous.

19           Next slide, please. Some autoclave  
20 studies: These were not a surprise to me, but may be  
21 a surprise to some of you. Now there is no cleaning  
22 in this case, but when I look up that porous load  
23 autoclave cycles of 134 for 18 minutes, you have  
24 approximately a 4 Log reduction. But if you take  
25 those same stainless steel wires and immerse them in

1 water and then put them into the autoclave, you get a  
2 greater than 6 Log reduction.

3 I think what actually is happening is that  
4 the protein during a porous load cycle can get  
5 shocked, and it basically collapses onto itself, which  
6 makes it more difficult to penetrate, while in water  
7 it allows it to loosen up and then lets the heat  
8 actually have its activity.

9 If you looked at just during what most  
10 people would do routinely in the hospital, an  
11 enzymatic cleaner followed by gravity drain cycle at  
12 121 degrees, 20 minutes, you actually get a greater  
13 Log reduction than by the more aggressive 134 degrees  
14 for 18 minutes.

15 Next slide, please. Let's look at some  
16 cleaning studies. What sort of effects do we see with  
17 cleanings? From what I know, I think this is the  
18 first time that cleaning has actually been looked at  
19 in this assay.

20 If you look at a formulated cleaner, an  
21 enzymatic cleaner which has been formulated to be  
22 compatible in a medical device, its generally used  
23 formulation includes surfactants, enzymes, other  
24 things that makes it work very well. We are looking  
25 at approximately a 4 Log reduction. But we have also

1 managed to investigated alkaline cleaners which, I  
2 think, are becoming very interesting in that not only  
3 do we see a 6 Log reduction which can make sense,  
4 because you're getting a lot ore physical removal from  
5 the surface -- that's what these products are good at  
6 -- but it is also -- we are also showing that at low  
7 concentrations that they are actually breaking down  
8 the prion molecule, which I think is very important.

9           Next slide, please. Then some further  
10 technologies: We have investigated a phenolic  
11 disinfectant which had been previously published as  
12 being effective. It did show a 6 Log reduction on the  
13 surface. That wouldn't be for use in a medical  
14 device. It's more of a routine decontamination sort  
15 of product.

16           There is also a formulated oxidizing agent  
17 which we have tested, which gave approximately 4 Log  
18 reduction, and then an internal control of sodium  
19 hydroxide.

20           Next slide, please. I thought I would  
21 leave you with that, if you haven't seen it before.  
22 I think it reminds me to say that at the same time we  
23 have to remember that we have to keep guidelines  
24 practical for use in hospitals. I will ask you to  
25 remember the nurse in the hospital actually has to do

1 these things, and to make her life as easy as  
2 possible. Thank you very much.

3 DR. FREAS: Thank you, Dr. McDonnell. Our  
4 next speaker is Dr. Richard Marchand from the  
5 University of Montreal.

6 DR. MARCHAND: I am Richard Marchand. I  
7 am an associate professor in infectious disease at the  
8 University of Montreal, and I work in an affiliated  
9 University hospital. I also work as a consultant to  
10 different companies in the field of infections  
11 control, and here in this case it is TSO3, which takes  
12 care of my expenses for this visit. TSO3 stands for  
13 Technology Sterilization with Ozone.

14 Next, please. Now I want to show you the  
15 bullets of the take-out message I would like to give  
16 to the Committee, and at the same time give examples  
17 of the problems, why I plea here for a forum for the  
18 industry and a forum to discuss standards.

19 Fourteen months ago I received in my  
20 office the medical chief of my division in panic  
21 because there was a patient that came back to the  
22 hospital. He had been operated for heart surgery  
23 three months before, and came back with the signs of  
24 CJD, which was confirmed, and he died several weeks  
25 ago.



1           So, yes, it happens in institutions. It  
2 happens in hospitals where we have to deal with CJD  
3 cases or possible contamination. Now when you try to  
4 look at solutions and find solutions which are  
5 practical for hospitals, you want to find solutions  
6 for prion inactivations, and there is no definition of  
7 minimal performance requirements, no definition in  
8 terms of endpoint.

9           Is Log reduction, as said Ms. O'Lone,  
10 good? In fact, if it is not predictive of loss of  
11 infectivity, why would we do these things? There is  
12 also a lack of -- or a need for prion inactivation  
13 indicator. If we don't have minimum performance  
14 requirements, nobody can design an indicator that can  
15 measure, because there is no performance requirement  
16 to be measured.

17           If you want to evaluate new technologies,  
18 how can you do it if you don't have worst case  
19 scenarios which include or do not include washing  
20 techniques?

21           So as you heard this morning, prion  
22 inactivation can be looked at by many different ways  
23 than just the conventional way of living  
24 microorganisms to kill. If you look at prions, for  
25 instance, as slow acting carcinogens, because they are

1 not living, which can give a kind of brain cancer many  
2 months after a long period of incubation -- If you  
3 look at the slow toxin and you use that aspect to look  
4 at it, you will focus more on washing and cleaning  
5 techniques. You will focus more on molecules that can  
6 modify charges to prevent absorption on surface.

7           You will focus on passivation technologies  
8 for metals and plastics to prevent them from  
9 absorption, and you will look at cell lines, because  
10 you know that with toxins there is no zero cut in  
11 terms of infectivity or activity. There is no zero  
12 thing. You just go and decrease -- decreasing  
13 activity, and you accept the concept that the surface,  
14 like a metal, can act as a catalyst, as it does for  
15 polymers, and we are talking about molecules that  
16 behave, in a sense, like polymers here.

17           So next slide, please. Yes, I have been  
18 working with my colleagues at the University on fungal  
19 prions for over five years now. These molecules  
20 behave like prions, spontaneously polymerized beta  
21 leaflet are heat resistant. They are not infectious  
22 to humans, and we can modify by mutation to increase  
23 their resistance to heat, and they can be useful for  
24 process indicator. But actually, we cannot build them  
25 and make them, s because we don't know how much we do

1 we put in there. Is it to be inactivated in one hour,  
2 30 minutes, two hours, six hours? There is no minimal  
3 performance requirement that tell us how to build them  
4 and give us goals, and we don't have any forum to  
5 discuss what could be a goal or a minimal requirement  
6 performance -- performance requirement for that thing.

7           Next, please. There are also new  
8 technologies that are in the pipeline. Ozone is one,  
9 but you have plasma glow discharge technology, some  
10 other plasma technologies, and some chemicals that are  
11 coming out.

12           These molecules have high redox potential,  
13 to the point they get oxidized material, including  
14 proteins up to CO2 and in reality, challenges by  
15 protein are much less than oil substance and lipids  
16 that can be done.

17           So when you want to tell these proteins  
18 which type of prions can be used, as you see, there  
19 are many strains. All of them behave differently.  
20 There is no guideline on one type of strain in  
21 particular, and where do you discuss again? To which  
22 firm do you address to find which one to test? Which  
23 animal do you use to test? Is it an animal that  
24 develops a disease like the human one or is it an  
25 animal that is highly sensitive? What do you

1 prioritize in terms of sterilization or inactivation,  
2 and what is to be measured, as we discuss again? Is  
3 it reduction of infectivity or reduction of protein  
4 mass or whatever?

5           Once again, we need a goal to measure. We  
6 need a forum to discuss that, because these are highly  
7 technical things to debate, because they vary from one  
8 technology to the other, one inactivation technology  
9 to the other.

10           Next, please. Now for new technology  
11 assessment, surface sterilization process which are in  
12 the pipeline and will be available very soon depends  
13 on clean instruments. Dr. Rutala showed us how it is  
14 important to clean, but what is properly clean?

15           I asked two years ago to my CSR staff to  
16 bring the best cleaned instruments they can find, and  
17 out of these 40 percent, under a binocular microscope,  
18 were capable of showing us presence of organic  
19 material. They were horrified about it, because they  
20 thought they were clean.

21           So visual inspection is not in a hospital  
22 system a very good marker of absence or presence of  
23 organic material. What is properly clean? I don't  
24 know. So how can we define a proper challenge if we  
25 do not include cleaning processes in the whole

1 process, because it is part of sterilization.

2 So we cannot just look at sterilization  
3 with a process sterile in itself, and that includes  
4 with these new processes the previous step, which is  
5 cleaning.

6 So we are back to the worst case scenario  
7 definition. What is a worst case scenario? What is  
8 plausible? Is it a chunk of brain put in a bottle or  
9 a soup, a chunky soup-like material extract that we  
10 put in a bottle for a surface process? It won't work.  
11 None of the actual surface processes will work.

12 So we are back to worst case scenario  
13 definition. It's needed to test, to evaluate a new  
14 technology. Next, please.

15 Why do we need these guidelines? Because  
16 these studies take years sometimes, if we wait years  
17 for the animal to develop a disease. They are very  
18 expensive, and actually the industry, to invest  
19 millions need a minimum of consensus, because they  
20 won't be able to claim performance, and they won't be  
21 able to sell with a claim.

22 So if the industry wants to -- and it  
23 wants to get involved with prion problems -- it needs  
24 guidelines. Next, please.

25 So I will put my university professor hat

1 here. I can say that, even three years ago, the  
2 industry was not ready, from my standpoint, to get  
3 involved in the prion inactivation proposal of  
4 standards. It is now. I believe it is, and if we can  
5 identify a forum where there is a lot of people there  
6 that can help us to agree on some definitions, agree  
7 on the worst case scenario problem, agree on a lot of  
8 thing -- and this cannot be left to the regulatory  
9 bodies alone, because all the instruments are so  
10 diverse that the industry must be involved right from  
11 the beginning, because each specific instrument can  
12 have different properties and different behavior in  
13 any type of sterilization.

14 So the industry must get involved, and we  
15 have no doubt about that. So if we can identify a  
16 forum which can be, for example, an international  
17 workshop as proposed by AdvaMed or anywhere else, and  
18 propose standards -- For instance, can we replace the  
19 sterility assurance level, which refers to a  
20 probability of an instrument being contaminated, by a  
21 safety assurance level, a probability of transmission  
22 of disease of one in a million?

23 Is this the concept that will have legal  
24 issues, because the definition of sterility is a legal  
25 definition, in a sense. But can we change some

1 concepts and agree on that, to give us guidelines and  
2 a work frame to develop new technologies.

3 We will have to live with the concepts of  
4 limited knowledge, best attempt, acceptable risks. As  
5 my colleague here said, acceptable risk is one here,  
6 because there is no zero risk with prions. We cannot  
7 -- It goes down -- The risk goes down with the  
8 exposition to the sterilant, but it won't be zero,  
9 never.

10 So what is an acceptable risk? If the  
11 risk to take your car back and forth from home to work  
12 every day on a yearly basis is one in 200,000, is a  
13 risk on one in a million acceptable for transmission  
14 of a disease? What is an acceptable risk in life, and  
15 can we do and develop technologies in accordance with  
16 that? Thank you very much.

17 DR. FREAS: Thank you, Dr. Marchand, for  
18 your comments. Is there anyone else in the audience  
19 who would like to address the Committee at this time?  
20 There will be another open public hearing after lunch  
21 today. Dr. Rohwer, could you make a quick comment?

22 DR. ROHWER: I just want to point out that  
23 in Dr. O'Lone's slides, her review of the WHO  
24 recommendations, there is no recommendation for three  
25 to six minutes at 132 degrees. The minimum time there

1 for any of the 132 degree autoclavings, especially  
2 with a force load autoclave, was one hour.

3 DR. FREAS: Thank you. Okay, that closes  
4 the open public hearing then.

5 CHAIRPERSON PRIOLA: I think we will take  
6 a break before discussing the questions, and reconvene  
7 in 15 minutes. That's 10:40, roughly.

8 (Whereupon, the foregoing matter went off  
9 the record at 10:29 a.m. and went back on the record  
10 at 10:45 a.m.)

11 CHAIRPERSON PRIOLA: Okay. So we are  
12 going to have questions presented to us now by Dr.  
13 Charles Durfor from CDRH, FDA.

14 DR. DURFOR: Good morning, Committee  
15 members. Before I begin, I would like to thank all of  
16 the presenters who have led up to this session. It  
17 will make this a very fruitful discussion for the  
18 Committee.

19 Just to give you a sense of what our  
20 thought process is leading to these questions, first  
21 Ms. Gill presented for you information about the broad  
22 spectrum of medical products that we are looking at,  
23 medical devices. As you consider the questions that  
24 are about to be presented to you, I hope you consider  
25 the breadth of these products, be they in their design



1 -- needles are not endoscopes -- be they in  
2 composition, ceramics, plastics, metals, different  
3 types of metals, be they in intended use.

4           So there is a great breadth here that we  
5 hope that you will be able to give us specific  
6 guidance in areas as well as some general comments.

7           Ms. O'Lone's presentation was focused on  
8 giving you information about general methods for  
9 validating the sterility of products, and we saw two  
10 different techniques. We saw methods by which  
11 bacterial contamination is eliminated and how that is  
12 done, and then we also saw comments in terms of virus  
13 validation studies for products.

14           While these two approaches are somewhat  
15 different -- in the case of bacterial validation  
16 studies, they are done on full scale material, and  
17 virus validation is often done scaled down -- they do  
18 have one important similarity, and that similarity is  
19 that, generally, data that looks at the sterility of  
20 a product, either for bacterial and microbiological  
21 infection or virus contamination, it is done on a  
22 product by product basis.

23           We do that, because often there is  
24 differences in composition or the way products are  
25 manufactured. So product by product information in

1 these two areas has been sort of the way things are  
2 done, which leads us to the topic today, which is a  
3 little different, but it's the question at hand.

4 That is the issue of applying -- When do  
5 we need product by product information for TSE  
6 products or medical devices for reprocessing?

7 We certainly recognize that the TSE  
8 inactivation studies will be most likely far more  
9 expensive, more lengthy in time, and the number of  
10 facilities equipped to do it may actually be reduced.

11 So that is certainly not lost on us, and  
12 that is certainly also not to belittle the cost, the  
13 time, and the effort required to do viral and  
14 bacterial validation studies, but we recognize that.  
15 With that in mind, we are coming to you today to ask  
16 questions that will essentially give us some of the  
17 following information.

18 So if I could have the first question,  
19 please. While you are reading this question, let me  
20 give you my thought process behind it. That is,  
21 essentially, there is significant published  
22 literature. Studies do take time to make more  
23 information.

24 So the first question is: Given the case  
25 where the type of product you are dealing with, the

1 type of medical device, either composition, indication  
2 or design, is sufficiently similar to what is already  
3 in the literature, and we have some level of  
4 confidence, what are the situations where we probably  
5 may not need additional new studies that we could  
6 apply particular published literature, published  
7 guidances to ensure that a product would be  
8 appropriate and safe for reuse?

9           Second question: Then we reach the flip  
10 side of that question. What are the situations -- and  
11 that is 2(a). What are the situations where a product  
12 may be different, either in design, composition or  
13 intended use, where it would raise concern that you  
14 may not be able to directly apply what is already in  
15 the published literature and the published guidances?  
16 When do we think it is appropriate to think about  
17 having a new inactivation study performed, that we  
18 need that information?

19           2(b) then asks you probably the most  
20 difficult of all question, which is how would we then  
21 go about designing such studies? In your discussions,  
22 it is my hope that you will provide some information  
23 for us, some guidance, about relevant models. We have  
24 heard a lot about different TSE strains, different  
25 animal readout and other readout systems.

1           Clearly, considering how the product is  
2   used in TSE inactivation study method of exposure --  
3   we've seen numerous ways of doing that. How does the  
4   specifics of a medical device, be it composition,  
5   design or intended use -- how might that then impact  
6   the way you would design a study with regard to  
7   cleaning or even the method you use for inactivation?

8           Third question: The last two questions,  
9   in many respects, are related, and you may need to  
10  jump back and forth. In some respects, we recognize  
11  that. But the third question is a key question that,  
12  I think, a lot of people are wrestling with, and I'm  
13  sure you will as well, which is the question that was  
14  posed earlier.

15           How much inactivation -- How much  
16  reduction in infectivity is sufficient? That may well  
17  play to question 4(a) that you will see in a minute.  
18  That's why I was unwilling at this point earlier on to  
19  say that a one in a million or one in one million  
20  devices threshold is appropriate.

21           You may, as a committee, feel something  
22  else is more appropriate, and we welcome to hear your  
23  comments.

24           The other issue, item 3(b), is the issue,  
25  if you will, of surrogate endpoints. Right now animal

1 infectivity stands as the gold standard, but this is  
2 clearly a lengthy in time and costly process. So if  
3 there are other ways that we can use endpoint readouts  
4 for TSE inactivation studies, we would certainly  
5 welcome that comment.

6           If there are at this point none that you  
7 feel that are appropriate, it would be very helpful  
8 for us to have guidance from you as to what you think  
9 an appropriate threshold to consider would be for, if  
10 you will, establishing the validity of a surrogate  
11 endpoint.

12           The last question: As I said, the third  
13 question and the fourth question are somewhat related,  
14 and we recognize that. In this question we are asking  
15 would you consider what you know, what you've heard in  
16 the last day and a half and what you already know from  
17 your experience -- to consider what might be the  
18 anticipated level of infectious material present in  
19 different tissues and how that might then be related  
20 to its presence on a medical device.

21           Then 4(b) asks for guidance on how this  
22 level of infectious material should then impact your  
23 comments in terms of designing studies again.

24           So that, in a sense, is what we are after.  
25 We are trying to get a sense of how well does the

1 literature represent all products? What products does  
2 the literature not perhaps be totally appropriate for,  
3 and you need new studies? Then how -- If you do need  
4 new studies, how would you go about designing those  
5 studies? Thank you very much.

6 CHAIRPERSON PRIOLA: Thank you, Dr.  
7 Durfor. These questions are not for voting. They are  
8 for discussion. So the CDRH is really interested in  
9 the discussion, whichever direction it may take, that  
10 we have. I think we all realize that -- and as Dr.  
11 Durfor just reemphasized -- this is an extremely  
12 complicated, difficult issue to balance.

13 The published data with the requirements  
14 of the medical community, with the differences in  
15 devices, how do you design and provide advice for  
16 these sorts of questions.

17 So I think we just have to -- Everybody  
18 just has to give their opinion and debate back and  
19 forth, and see what we can come up with. I think  
20 there are some common things that can be considered.

21 So if we could open --The first question  
22 is already up there, and that is: What information in  
23 the published literature should be viewed as  
24 supportive data for establishing the methods and  
25 procedures for reprocessing medical devices that might

1 be TSE contaminated? Dr. Petteway, would you like to  
2 start?

3 DR. PETTEWAY: I'd just like to make a  
4 comment. I think that one of the speakers mentioned  
5 that the data that's published now was not  
6 reproducible. Maybe that might need some  
7 clarification. That data probably is, in most cases  
8 or all cases, reproducible data.

9 I think that the point was it's likely not  
10 transferable. So that in the context in which the  
11 data was generated, that's the context that you have  
12 to interpret it. In other contexts that you would  
13 apply whatever sterilization, you have to now  
14 interpret that in that context. I think that may be  
15 the issue.

16 CHAIRPERSON PRIOLA: I think that is an  
17 excellent point. That is partly what I meant by  
18 common ground. Where there are certain things that  
19 through all those studies the presence of what  
20 sterilization or sodium hydroxide are consistent. Dr.  
21 Edmiston?

22 DR. EDMISTON: I think the last speaker,  
23 Dr. Marchand from the University of Montreal, really  
24 set the tone for this discussion. I think most of us  
25 here are fairly keen on knowledge in terms of the

1 removal, disinfection, sterilization of biological  
2 entities, living entities from the surfaces of a  
3 variety of devices, but we are talking in many ways a  
4 physical entity.

5           We are not talking about a traditional  
6 infectious entity. I think, with that in mind, that  
7 is going to really couch a lot of our discussion.

8           You know, I don't even know where to start  
9 on this, to be perfectly honest with you. I mean, I  
10 have several pages of notes that I've taken throughout  
11 this entire discussion this morning, but I think there  
12 are some real key issues here.

13           It is obvious to me that there is a myriad  
14 of data that has shown, using a variety of markers,  
15 that you are capable of inactivating these agents.  
16 The issue is very little of that is applicable to the  
17 types of devices that we are talking about in the  
18 clinical environment.

19           We started moving in that direction  
20 yesterday afternoon, and with the presentations of Dr.  
21 Weissmann, to moving in that direction. I think my  
22 suggestion would be that we need additional data and  
23 studies within that arena utilizing those  
24 methodologies.

25           I really think all four questions are



1 interrelated, and I think it's really very difficult  
2 to separate out all four questions, because of this  
3 tremendous degree of commingling of our consensus  
4 here.

5           So I would propose that, while there is  
6 significant data out there showing levels of  
7 inactivation, I would suggest that we don't have  
8 enough data or the right kind of data looking at  
9 inactivation of these particles on the types of  
10 materials that we are specifically interested in,  
11 those materials, as Martha and Ms. Gill pointed out,  
12 the types of materials that we see, especially in a  
13 neurosurgical arena.

14           If I move very quickly and let someone  
15 else speak, on one of the slides that Martha  
16 presented, sterilization validation, she indicated  
17 what is the acceptable sterilization process for prion  
18 removal inactivation? I like the term acceptable.

19           It's the other issues that fall under that  
20 which none of these previously published studies  
21 really address. I think that's the dilemma that we  
22 are facing. We don't have sufficient data on those  
23 devices or the types of materials in which those  
24 devices are composed of to really say, based on  
25 question 1, we can submit a consensus.

1 CHAIRPERSON PRIOLA: Dr. Bailar?

2 DR. BAILAR: The morning's presentations  
3 were very informative and very stimulating, including  
4 the last three from the public. They lead me to one  
5 comment and one question.

6 The background for the question is my  
7 sense from the discussion that people think about  
8 these TSEs as getting sort of plastered down on hard  
9 surfaces where they get stuck, and they are hard to  
10 remove.

11 I wonder if anybody has looked at the  
12 possibility that they are, in fact, getting into micro  
13 defects on the surface where they can lurk hidden  
14 until they come forth. And if that is, in fact, the  
15 case, it might explain why higher temperatures which  
16 would expand a metal and tend to seal those up are not  
17 as effective as slightly lower temperatures. Maybe  
18 somebody could comment on that later.

19 The question -- The comment really is in  
20 the form of a request to FDA. If I understand that  
21 10-6 standard, it is in terms of the presence of an  
22 infective agent. It is not in terms of transmission  
23 or in terms of risk to patients.

24 I wonder if whether, for TSEs, that  
25 standard should be reviewed and possibly recast. I'm

1 not arguing about the 10-6 number, but rather about  
2 whether it is referring to the right thing. So FDA  
3 might want to give that some thought.

4 CHAIRPERSON PRIOLA: Dr. Gambetti?

5 DR. GAMBETTI: I agree that there are now  
6 probably not sufficient data that are really directly  
7 pertinent to surgical -- neurosurgical instruments --  
8 experimental data, I mean -- to really come to a  
9 conclusion that would really apply directly to that  
10 instrument. But the question is do we have --  
11 Ideally, we should have these experiments done, but  
12 can we wait for them?

13 Do we have -- That's what I would like to  
14 know, whether we have to come up with some direction  
15 with what we have now or do we have the luxury to wait  
16 for the result of really experiments -- experiments  
17 that really try to reproduce the conditions of  
18 surgical instruments in an operating room.

19 DR. WOLFE: The reason I asked Dr.  
20 Weissmann after his wonderful presentation what he  
21 would recommend for use in a hospital is that the  
22 answer to that question is the at least attempt, not  
23 in this forum but at a local institution, to make the  
24 leap from the experimental evidence to what is on the  
25 ground.

1           I assume that at the VA Hospital in  
2 Baltimore that there are some procedures that Bob  
3 Rohwer could tell us have been put into place as a  
4 result of what he knows. I would like -- It's not a  
5 complicated request. It's to try and collect from  
6 what is going on in the country right now, in  
7 Cleveland, in Baltimore, in other countries, what  
8 operationally is being done. That's the first  
9 request.

10           It seems to me there are three sets of  
11 variables. One set of variables is the devices  
12 themselves. I mean, the really elegant,  
13 straightforward, common sense presentation by David  
14 Asher and Stan Brown yesterday started telling us that  
15 some of the things that people think theoretically  
16 would be good to sterilize wreak havoc with certain  
17 kinds of instruments.

18           David Asher just told me that that  
19 electrode that Dr. Weissmann took off his experiments  
20 from that infected two subsequent patients had all  
21 kinds of nooks and crannies. That's exactly what John  
22 Bailar just said. You would expect that, if there are  
23 nooks and crannies, that when you re-sterilize it,  
24 there are even greater possibilities for hiding  
25 things.

1                   So variable A is: What is the nature of  
2 the device that might make it more likely to harbor  
3 infectious agents and/or be destroyed or messed up  
4 with a cleaning procedure?

5                   Two, which is one of the slides that  
6 Lillian Gill had this morning, is sterilization  
7 devices themselves. Are we going to invent or think  
8 about modifications of or brand new sterilization  
9 devices that could be certified or at least shown,  
10 based on scientific evidence, to be able to get rid of  
11 prion-like materials?

12                   Third is procedures. I mean, it seems  
13 that the output of this discussion in the four  
14 questions and subparts is what do you do in a  
15 hospital, taking into consideration the kinds of  
16 devices you are using in surgery, neurosurgery and  
17 surgery, the sterilization equipment that you are  
18 using, and the procedures, using existing known  
19 things, whether sodium hydroxide or bleach or whatever  
20 else.

21                   At the end of this discussion, whether  
22 it's today or the next time, hospitals would like to  
23 know what we think -- a modification of WHO or  
24 whatever -- should be done. It is going to involve  
25 those three elements.

1           From FDA's regulatory perspective, they  
2 obviously could put out regulations that would say, if  
3 you are going to use neurosurgical or, because of the  
4 variant-CJD, going into other tissues, a general  
5 surgical device, you should be able to get rid of  
6 little nooks and crannies or have to throw it away.

7           It could put out a regulation that says,  
8 if you are going to claim your sterilization equipment  
9 is capable of getting rid of prions, it should meet  
10 certain standards. But it also can put out guidances  
11 parallel to the WHO guidance that says the combination  
12 of all these should be done in the following way.

13           I'm just raising these issues to try and  
14 focus a little more on these different elements that  
15 we are considering.

16           CHAIRPERSON PRIOLA: Dr. Bracey?

17           DR. BRACEY: We have had, certainly, some  
18 elegant presentations today, and some discussion of  
19 risk. For me, it really factors in on the risk.

20           Yes, it's possible to treat surfaces to  
21 inactivate the prion, but on the other hand, what is  
22 unknown is the detrimental effect of those procedures  
23 on such devices and the risk of having them within the  
24 medical environment.

25           So in essence, we are dealing with what at

1 this point seems to be a relatively small risk. With  
2 taking this other step, we may introduce new hazards  
3 that are at this point unknown.

4 So I would at this point urge caution.  
5 And again, as we have heard, I think, expressed here,  
6 we need to have more data on the impact of these  
7 interventions on all the various devices that we are  
8 speaking of, because again as my colleague here  
9 mentioned earlier today, there just doesn't seem to be  
10 a high incidence of problems related to this topic,  
11 this risk today. The risk seems relatively low.

12 So, again, I am quite concerned about  
13 introducing a whole new set of hazards or risks  
14 without more data.

15 CHAIRPERSON PRIOLA: Well, I think that is  
16 exactly what the CDRH is asking us to do, in one way,  
17 is to give them a starting point to start assessing  
18 this in regards to all the different medical devices  
19 that they have to regulate and be concerned about.

20 The starting point, it seems, for them is  
21 to ask simply, with what is available now that's  
22 published, can there be some general recommendations  
23 for how they can begin to design and establish studies  
24 to look at these issues of inactivation, and whether  
25 what is published in the literature is directly

1 applicable, it's probably not. As Dr. Gambetti said,  
2 there are so many different variables, but there are  
3 common themes.

4 Inactivation with bases tend to be better,  
5 far better, than with acids. Wet sterilization --  
6 Obviously, that came through very strong -- is better.

7 So for starting points for studies, those  
8 are the sort of things that could be considered:  
9 Oxidizing agents, protease -- protein degraders,  
10 things like that, enzymes. That's where they have to  
11 start to begin, I think, to think about exactly what  
12 everyone here has said, if I've understood the  
13 comments so far.

14 In part -- I mean I don't know what  
15 everyone else thinks, but I think that the available  
16 literature does give them a direction in terms of  
17 start looking at base -- inactivation with bases that  
18 aren't quite so caustic and dangerous as NaOH and  
19 might be able to be more easily handled in a hospital  
20 environment, because that is another consideration,  
21 looking at new enzymatic treatments in a hospital  
22 environment, things like that. Yes, Dr. Wolfe?

23 DR. WOLFE: I fully agree, but I also --  
24 just going back to the first point I was trying to  
25 make. I think that the translation from the published



1 literature into practices and procedures in hospitals  
2 would be very telling. Those practices and procedures  
3 are probably themselves not necessarily published.

4 So I would not necessarily expect that in  
5 the U.K. the answer to the question I asked Dr.  
6 Weissmann, that has actually been published anywhere.  
7 I wouldn't necessarily expect that in Baltimore you  
8 have actually -- the hospital has published how they  
9 are translating your research into on the ground.

10 So I think that it would not be that  
11 difficult to at least collect that to use as an  
12 adjunct to the published literature which -- I agree  
13 with you -- gives lots of clues, lots of information.  
14 I also agree with Dr. Bracey's suggestion that we  
15 don't want to do something that would be a step  
16 backward by creating a greater -- you know, having the  
17 treatment be worse than the disease, so to speak. But  
18 I think that those kinds of things have probably  
19 already been taken into consideration, hopefully, in  
20 those places that have changed their own procedures,  
21 which is probably most hospitals in the country, some  
22 different than others.

23 It would be interesting to see, for  
24 instance, if there are significant areas of difference  
25 between hospitals in terms of what they are doing

1 right now and, if so, are these science based or are  
2 these sort of whim or hopes or whatever. I think it  
3 would be very illuminating.

4 CHAIRPERSON PRIOLA: Dr. Stroncek?

5 DR. STRONCEK: Based on what this  
6 Committee decides and the policies implemented for,  
7 you know, possible TSE infection of blood donors, it's  
8 been very cautious. They have really been extreme to  
9 avoid any transmission through blood donations. You  
10 know, we have excluded donors and created lots of  
11 extra costs.

12 Well, I think in this situation there is  
13 some good data that there are ways to inactivate the  
14 prions, and that people are using them on a regular  
15 basis in a research setting. Yes, there is always a  
16 need to get more data and do more studies, but I think  
17 we are at a point where some recommendations can be  
18 made.

19 You know, it's been said that what the  
20 hospitals are doing now is working, because we are not  
21 getting any transmissions, and I'm really not  
22 convinced about that, because it's often that, because  
23 of the long incubation period and because people that  
24 go in the hospitals are often sick and don't live very  
25 long after that, that there may well be many cases

1 that's being transmitted that we don't know about.

2 So I guess I would feel like -- feel more  
3 comfortable if the FDA starts to move forward on this  
4 question.

5 CHAIRPERSON PRIOLA: Dr. Khabbaz.

6 DR. KHABBAZ: Yes. My sense from this --  
7 I find myself supportive of the comment of the  
8 recommendation of Dr. Edmiston and yesterday Dr.  
9 Gambetti of the need for additional studies.

10 I was quite impressed by the elegant  
11 presentations and how much of the approaches taken and  
12 the methods that are out there, and I agree that they  
13 can be used. But my sense is that what we have in  
14 terms of going with caution, as was just said, are the  
15 WHO recommendations and the CDC draft recommendations  
16 that are in line with the WHO recommendations.

17 What I heard today, some concerns. Are  
18 they too stringent, and are there some downsides to  
19 them? I think, you know, the only way to get away  
20 from that stringency is to have some studies designed  
21 that are directly pertinent to the procedures and to  
22 the instruments.

23 So we have an idea of the approaches, but  
24 to be able to step back, I think we will need studies.  
25 I was also heartened by the industry offer for

1 partnership, and I think, as the FDA moves forward to  
2 support or design studies, I think that kind of  
3 partnership and bringing together -- not just looking  
4 at published literature but bringing together experts  
5 from academia and industry is going to be important,  
6 I think, to try to standardize approaches and studies.

7 DR. EDMISTON: I think the problem the FDA  
8 has in this arena is, as was correctly pointed out,  
9 there have been a number of studies showing that you  
10 can inactivate these agents, but then there's the  
11 validation component. There's the validation  
12 analysis.

13 If you think about a hospital, how a  
14 hospital works and how it manages its central  
15 processing, we use biological indicators to suggest to  
16 us that everything is working fine. But we don't have  
17 those types of devices available or biological or even  
18 physical indicators that may be available for prions.

19 So the issue is how do we translate that  
20 to the practical perspective? Is it appropriate to  
21 try and discuss this in the context of all four of  
22 these statements or are we really limited to going  
23 through one question at a time?

24 CHAIRPERSON PRIOLA: Oh, I think the  
25 conversation is already roaming all over all four

1 questions. So I don't feel any need to go one and the  
2 two.

3 DR. EDMISTON: What we really -- If we are  
4 going to establish validation studies that industry  
5 and both the private sector hospitals can live with,  
6 then we are going to need some consensus and not the  
7 consensus from this panel. We are going to need the  
8 consensus from experts who are knowledgeable about  
9 this issue.

10 I think the models -- What I'm really  
11 concerned within terms of validation is do we need an  
12 animal model or can we live with a cell culture model?  
13 If we can live with a cell culture model, that can  
14 corroborate with an in vivo model such as a mouse  
15 model. If that is acceptable, then we need to place  
16 that into the discussion. But we can't decide that in  
17 this Committee.

18 I think the data is very clear that there  
19 is some evidence that there are some corroborations  
20 between in vivo and in vitro models, and I think we  
21 need to address that.

22 We also need to address the threshold  
23 value, the endpoint value. If you look at Dr.  
24 Weissmann's data, as I inquired about that, I would  
25 suggest that one would be looking at thresholds of

1 zero, but then that is not an acceptable risk.

2 We are almost dealing with almost a  
3 chemical entity in that, the same way the EPA or some  
4 of the other agencies discuss exposure to certain  
5 environmental contaminants. We may be moving in that  
6 direction with TSE. So we need a consensus there.

7 We also need consensus on other issues in  
8 terms of what are the optimal -- I'm not going to use  
9 the word sterilization; I'm going to use the word  
10 decontamination -- the optimal decontamination  
11 strategies.

12 I can live with the CDC guidelines as they  
13 are written, and I have a little more confidence than  
14 you do in terms of our abilities to continue to see  
15 few cases in the near future, based on some of our  
16 infection control processes. But I'm afraid this  
17 Committee as a group is really not competent nor  
18 knowledgeable to provide that kind of information at  
19 this time.

20 Where we are competent is to recognize our  
21 deficiencies, and I think we need to look at those  
22 individuals who have done these studies in the past,  
23 look at endpoints, look at threshold, look at  
24 validation assays, so that that information can be  
25 provided to the FDA; because they are in a position

1 where they have to make a call, and I think they are  
2 very uncomfortable with making that call based on what  
3 is currently available. Am I off target on this?

4 CHAIRPERSON PRIOLA: If I understand  
5 correctly -- and maybe CDRH and FDA can help me here -  
6 - these questions don't have to do with regulation by  
7 the FDA. These are posed by the CDRH asking us for  
8 ways to help them to design studies to decontaminate  
9 medical equipment.

10 So I think with FDA -- In regard to FDA  
11 making regulations or recommendations, that doesn't  
12 apply to this topic, maybe to Topic 4. To CDRH, maybe  
13 you can again clarify. This is Dr. Durfor.

14 DR. DURFOR: Yeah. I'm not sure it's easy  
15 to separate the issue of regulation and design of  
16 studies. I think what is important is providing  
17 information such that -- and taking advantage of the  
18 wealth of knowledge on this Committee to understand --  
19 I mean, you could view it as regulation in the  
20 following manner. That would be that someone wishes  
21 tomorrow to come in with an application to say we wish  
22 to claim that we can sterilize scalpels from TSEs.  
23 That's a real world situation.

24 I'm not sure whether you view that as  
25 regulation or designing studies, but it would be

1 exactly what we would hope you folks could help us  
2 with in terms of when is a published literature  
3 supportive. When is the published literature -- What  
4 is it about a product that might make you say, gee,  
5 you know, I really feel uncomfortable with what's out  
6 there; maybe we should have them wait a period of time  
7 until there are more data.

8 CHAIRPERSON PRIOLA: Dr. Gambetti.

9 DR. GAMBETTI: It looks to me that these  
10 series of questions and the information, the amount of  
11 information that we have received, is very complex,  
12 actually too complex to approach.

13 I would recommend that we simplify the  
14 issue. One example of a question that I think we  
15 could deal with is whether all the information that we  
16 have heard yesterday and today is sufficient to come  
17 up with a procedure of decontamination that we think  
18 is safe.

19 For example, as we have heard, washing the  
20 instrument, treating with sodium hydroxide, autoclave,  
21 and then regular sterilization -- Do we think that the  
22 evidence that we have heard so far, although did not  
23 apply directly to a scalpel used in a neurosurgical  
24 procedure, but certainly stainless steel wires is  
25 fairly close. Are those -- the information available



1 now sufficient to tell us that -- to reach a consensus  
2 that there is a procedure that gives very reasonable  
3 assurance that the instruments are decontaminated or  
4 we don't have enough -- we don't even have that.

5 That is my question. Do we have already  
6 enough information to say that, if we apply a certain  
7 procedure, we have very good reasonable evidence that  
8 this will decontaminate the instrument that may be  
9 infected, or we don't have any information really at  
10 this point that is applicable to the surgical  
11 instruments?

12 CHAIRPERSON PRIOLA: Dr. Bailar?

13 DR. BAILAR: Again, two points. I'd like  
14 first to come back to the comment I made earlier about  
15 micro defects. I am talking about what looks like  
16 hard, polished, smooth, perfectly clean surfaces, but  
17 still have countless cracks and pits that might be  
18 just big enough for a protein to hide in.

19 The answer might make a difference,  
20 because how you go after something on the surface  
21 might be different from how you would go after  
22 something that is lurking just below the surface. I  
23 don't know that's the case, but I find it an  
24 interesting question that might be worth some thought  
25 on the part of FDA or some thought on the thought of

1 experimenters who could look at this.

2           The second thing is that I do accept the  
3 notion of some level of residual risk. FDA quite  
4 properly considers the whole complex mix of costs,  
5 risks and benefits. These have to kept in balance.  
6 There are costs and risks associated with higher  
7 expenses for processing things or for new equipment,  
8 from withholding things that the public might on the  
9 whole benefit from.

10           On the other hand, I don't think these  
11 should generally be in perfect balance. We have a  
12 long history in which regulation has forced technology  
13 in directions that have been previously considered  
14 unattainable. It's just the way the world works.  
15 Thank you.

16           CHAIRPERSON PRIOLA: Dr. Bracey?

17           DR. BRACEY: In terms of process, I think  
18 there are really sort of two schools of thought in  
19 terms of how to handle these materials and trying to  
20 look for perhaps a simple aspect. The issue of  
21 reducing the burden of tissue prior to initiating the  
22 sterilization or decontamination is something that  
23 perhaps the Committee could consider.

24           That is, would we endorse reducing the  
25 bulk prior to initiating the decontamination act,

1 because in essence there are disparate approaches, and  
2 I sense that is one thing that we could perhaps  
3 handle.

4 CHAIRPERSON PRIOLA: Dr. Wolfe?

5 DR. WOLFE: Some of you will be tired of  
6 my saying this, but it's in the context of acceptable  
7 risk. On one hand, we have never, fortunately, had a  
8 case of blood transmission, and there are some  
9 theories as to how buffy coat injected intercerebrally  
10 can cause disease, but there are no cases, and a  
11 number of very cautious, I think, mainly appropriate  
12 steps have been taken to sort of cut off that kind of  
13 possibility.

14 On the other hand, despite the fact that  
15 the U.K. banned cadaveric dura mater 15 years ago, and  
16 Japan about a decade ago or almost a decade ago, this  
17 country, this agency still says it's okay to stake a  
18 piece of dura mater from someone who well may have  
19 been incubating CJD on someone else's head, and we  
20 have not zero on the case of blood but 110 or 114,  
21 depending on how you are counting, known cases of CJD  
22 that have been transmitted.

23 Now I'm not mentioning it just because I  
24 am again making a plea to get this stuff off the  
25 market, but more to put in context, and sort of

1 responding to Dr. Bracey's statement -- putting in  
2 context the acceptable risk.

3           There already are some decisions made on  
4 prion infected or potentially prion infected material  
5 in terms of its acceptable risk, and I think the two  
6 ends of the spectrum really are dura mater and blood:  
7 One, no transmission, a lot of precautions which --  
8 and the reason I raised yesterday, are we collecting  
9 data on what would it mean if we deferred Canadian  
10 donors or people, is that that could tip in the  
11 direction of more harm than good, depending on the  
12 benefit and risk balance. On the dura mater, it just  
13 seems like a no brainer, so to speak. Bad pun, but it  
14 should be taken care of.

15           Here we are somewhere in between. We have  
16 some cases. There are more from the old days. Dr.  
17 Asher assured me that we can't go back far enough for  
18 some of those early cases to find out what kind of  
19 sterilization techniques were used on them that still  
20 resulted in transmission, but I just want to put it in  
21 that kind of perspective so that, whatever we decide  
22 and whatever evidence based validation procedures and  
23 so forth, that we actually do something that makes  
24 sense.

25           CHAIRPERSON PRIOLA: Dr. Edmiston.

1 DR. EDMISTON: I'll make one more comment.  
2 You know, everything that we have been doing in  
3 medicine over the past ten years is evidence based,  
4 and there is probably nothing wrong with having  
5 evidence based decontamination processes.

6 I would like to suggest that the way we  
7 approach this is that we pull together a panel of  
8 experts, and not us, but a panel of experts who are  
9 knowledgeable about both in vitro and in vivo assays,  
10 who are knowledgeable about material science surfaces  
11 -- I really enjoyed hearing Dr. Brown's presentation  
12 yesterday. It was one of those things I was looking  
13 for. They are moving in the right direction -- and  
14 also a group of experts who have the knowledge of  
15 endpoint determination and risk.

16 I think the risk issue is very important.  
17 I am comfortable with the policies and procedures that  
18 we've had in place in many of our institutions, but I  
19 think for the FDA's perspective to be able to license,  
20 to look at new technologies as they come down the  
21 road, there has to be some mechanism to put in place  
22 validation studies, of which there really is no  
23 mechanism at this time.

24 So this is going -- I hate being on  
25 committees where you can't a decision. I absolutely

1 hate it, but I think in this case you are making a  
2 decision. That decision would be to convene a panel.  
3 It has to be international, because I've loved sitting  
4 here and listening to my colleagues from Europe  
5 present some of their findings, to be perfectly honest  
6 with you, many of which I wasn't even aware of. But  
7 I was really impressed that they bring an awful lot to  
8 this process.

9           So I think it should be a highly collegial  
10 process. It should involve our colleagues both in  
11 Europe and the United States, and those individuals  
12 who have a focus and maybe even a proprietary interest  
13 in this particular area.

14           CHAIRPERSON PRIOLA: Kiki, did you want to  
15 say something?

16           DR. HELLMAN: Yes. First of all, I am  
17 heartened by this discussion. It is always difficult  
18 to take data from the research setting and apply it to  
19 the real world, and I think that this particular  
20 meeting has been very helpful, because I think we have  
21 really brought it to focus in an area sometimes where  
22 we might face it as individuals, as patients in a  
23 hospital needing a particular procedure.

24           So in that sense, we can personalize it.  
25 I think that these comments have been very helpful.

1 I think there are some -- and getting back to it, to  
2 put it into perspective, there are some data out there  
3 that are good data, that tell us a lot about  
4 decontamination of these agents, and I don't remember  
5 who of the panelists made the comment, but maybe it's  
6 the context in which these studies were done.

7           Let's look at all of these different  
8 studies and see if they are comparable from the same  
9 context, from lab to lab. Look at procedures and see  
10 if there is internal consistency, and then look at  
11 these procedures, bearing in mind the different  
12 instruments and procedures that are done in a hospital  
13 setting and see whether there are some design  
14 characteristics in instruments that need to be looked  
15 at with regard to sequestering infectious material.  
16 Here, it could be TSEs. In the future it could be  
17 something else.

18           So that industry then would have a very  
19 important role to play in the developing of  
20 instruments and other devices that would also reduce  
21 the transmission potential.

22           So that I think, certainly, there is  
23 information from basic research. We need to take a  
24 look then at the instruments per se. We need to take  
25 a look at how those research data can be applied and

1 translated, as Dr. Wolfe said, to the hospital  
2 setting.

3 Do we need to do something else in the  
4 hospital setting? We need to take into consideration  
5 the individuals that are going to be working in the  
6 hospital, and we need to also take into consideration  
7 the industry who is going to be reprocessing. Some of  
8 the reprocessing is done in the industry; some is done  
9 in the hospital.

10 So we are talking about a problem not only  
11 in the research setting, not only in the hospital  
12 setting, not only in the industry setting, in a number  
13 of different settings; and it might be very helpful to  
14 have more of a dialogue to see what is applicable in  
15 these different settings, and see if we can't come to  
16 agreement with some definitive guidance, if you will,  
17 or guidelines, if you will, that will actually assure  
18 all of us that the next time we go into the hospital  
19 to have our appendix removed or something else done to  
20 us, we can feel fairly comfortable that we will walk  
21 out and walk out better for it.

22 CHAIRPERSON PRIOLA: Dr. Marchand, do you  
23 have a comment?

24 DR. MARCHAND: It's a comment about the  
25 washing techniques. The most -- The biggest variable



1 in the hospital set-up is the washing techniques. Now  
2 let's just hypothetically accept a risk level of one  
3 in a million, and we may find out that if we wash  
4 properly and sterilize with our normal process, we can  
5 go below this hypothetical risk and not having to  
6 change any process.

7 So I would suggest to the committee here  
8 to have data on what is residual organic material on  
9 instruments as it has been published recently in some  
10 GIC journals, and some groups are doing at  
11 Northwestern Case University, and see how they are  
12 effective, these washing techniques, and maybe we just  
13 -- this committee could end up with just  
14 recommendation reinforcing washing at the end, if a  
15 risk level is accepted. This can be a reality.

16 CHAIRPERSON PRIOLA: Dr. Rutala?

17 DR. RUTALA: Yes. I just wanted to make  
18 two clarifying comments. One comment responds to a  
19 question from Dr. Bailar regarding surface topography  
20 and essentially the pitting associated with stainless  
21 steel.

22 Certainly, we and other investigators have  
23 looked at stainless steel, and you are absolutely  
24 correct. There is a great deal of crevice and cracks  
25 and pitting associated with stainless steel. Not only

1 can proteins reside in those crevices, but  
2 microorganisms can also, and you can actually see a  
3 difference in results.

4           It's one of those factors that affect the  
5 efficacy of disinfection and sterilization, depending  
6 upon the quality of the stainless steel, depending  
7 upon how much protection that those crevices and  
8 cracks provide. So you can actually get differing  
9 results based upon stainless steel composition.

10           To Dr. Wolfe's comment, we certainly agree  
11 completely with, of course, the importance of  
12 practices in hospitals in the United States, and make  
13 those practices evidence based practices. The draft  
14 CDC guideline that was mentioned a couple of times has  
15 almost 1,000 references.

16           So just the issue regarding disinfection  
17 and sterilization of prion, there are 60 references.  
18 All the papers that were cited at this meeting were  
19 referenced in that guideline.

20           So we are incorporating into U.S.  
21 hospitals, and have been for really years, the  
22 information, and that is incorporated by, of course,  
23 evidence based guidelines that are promulgated not  
24 only by organizations such as the Centers for Disease  
25 Control but other infection prevention organizations,

1 to include the Association for Practitioners of  
2 Infection Control and Epidemiology, SHEA, the AORN  
3 Organization and so forth.

4 So we agree completely for the need for  
5 additional research, additional research that would  
6 reflect clinical practice. But until those additional  
7 studies are available, we have incorporated the basic  
8 inactivation studies into the existing guidelines.

9 CHAIRPERSON PRIOLA: Dr. Durfor.

10 DR. DURFOR: Thank you. One of the  
11 concerns about sort of addressing all of the questions  
12 at once is that some issues may be left unresolved and  
13 undiscussed. If it would be possible and if it would  
14 be acceptable to this Committee, I would like to then  
15 direct your attention to question 2(b), if we could  
16 have that slide.

17 Essentially, I think that follows just  
18 what we heard, which is to draw upon this Committee's  
19 experience in terms of what aspects you might offer us  
20 in terms of how studies could be designed.

21 CHAIRPERSON PRIOLA: Thank you. Actually,  
22 I was just going to get to that. Thank you, No,  
23 that's okay.

24 Getting back to what Dr. Bailar had  
25 mentioned earlier about the differences in medical

1 equipment and micro pitting and what not, I think the  
2 first part of question 2 -- 2(a) -- discussed what  
3 aspects of the medical device and its use should be  
4 considered.

5 I think that perhaps, in my mind, the  
6 important thing is the use, and that is that was  
7 defined by, I think, Ms. Gill in her presentation  
8 where they said their primary consideration concerns  
9 have to do with neurological medical devices.

10 So that any device that is used in a  
11 potentially high risk patient that is having a  
12 neurological procedure, that device should be  
13 considered at risk. The aspect -- What aspect of that  
14 device is at risk is, I think, almost impossible to  
15 determine without direct experimentation on the  
16 materials that make up that device.

17 I think Dr. Weissmann stated -- suggests  
18 very strongly that different materials will adhere  
19 prions equivalently, plastic versus steel, for  
20 example.

21 So it may be that with that data in mind,  
22 that examining every specific material in a specific  
23 medical device might not be that helpful, but just  
24 assuming that any medical device that is used for a  
25 neurological procedure is at risk, and determining

1 what sterilization procedure would best be used for  
2 that device might be a primary issue.

3 I'm sorry. You are?

4 DR. CERENAKOVA: I am Larisa Cerenakova  
5 from the American Red Cross. I still would like to go  
6 to the first question, if it is possible, because I  
7 have some comments to that. Is it possible?

8 CHAIRPERSON PRIOLA: Well, if you are very  
9 brief, because we have moved on to the second  
10 question.

11 DR. CERENAKOVA: Yes, I will be brief. I  
12 would like to divide this question on three different  
13 parts, because how the question is posed, it is not  
14 really clear.

15 If we start from the end of the question  
16 about the procedures which will be used, you know, for  
17 operation we suspected CJD. In my opinion, if we know  
18 that this case is suspected of CJD, this device should  
19 be probably destroyed or not used for another patient.

20 The second part of the question raised the  
21 issue about -- Can you go back, because -- It will be  
22 brief, but it might give just a clue what I would like  
23 to say. The second part of the question was dealing  
24 with the procedure itself.

25 I think the question should be raised in

1 it if procedures which are used presently in the  
2 hospitals are sufficient to decontaminate the prions.

3 The third part of the question deals with  
4 the published data which will support this or that.  
5 I believe that we have sufficient amount of data from  
6 epidemiological studies, from infectivity studies in  
7 mice and now we heard a lot of the studies which were  
8 done in terms of the contamination procedures.

9 In this case, it's just things that it  
10 will be probably necessary for the FDA or whoever is  
11 working this to put all these three things together  
12 and make some recommendation or to raise the question  
13 properly so that it will be properly answered.

14 CHAIRPERSON PRIOLA: Thank you. Is there  
15 any discussion from the Committee about either (a) or  
16 (b) of question 2? Dr. Hogan?

17 DR. HOGAN: Well, yeah, getting back to  
18 this, in terms of neurosurgical instruments I think  
19 the questions are -- everybody is addressing this --  
20 whether or not you are going to -- An instrument  
21 that's been used on a known CJD patient or probable  
22 CJD versus routine inactivation. It's a very  
23 different approach.

24 The problem with neurosurgical instruments  
25 is, more and more, they are going toward titanium

1     instead of stainless steel on some of the finer  
2     instruments. They stand up to rigors much better.

3             So I think I would encourage the  
4     researchers to look not only at gold and stainless  
5     steel but also titanium. It may be the same, but that  
6     is going to be important in terms of validation in the  
7     future.

8             Secondly, all of these instruments, with  
9     a few exceptions, the ones you use on the skin, are  
10    extremely complex with lots of nooks and crannies,  
11    lots of hinge points, lots of serrations. So there's  
12    a lot of issues about what can hide. The same is true  
13    in ocular tissues -- ocular instruments.

14            So this is a very complex question, and  
15    that just gets at the instruments that are metal.  
16    What about the neurosurgical endoscopes that cost  
17    \$20,000 each? How are you going to routinely  
18    inactivate those -- deactivate, decontaminate? Those  
19    typically are -- There's some water and some chemical  
20    inactivation/deactivation now in those as well, but if  
21    you subject them to the kinds of things that we've  
22    been talking about here today, they will be destroyed.

23            So this is a very difficult question, and  
24    I would urge again -- To second Dr. Edmiston's  
25    encouragement as well as industry's, I think we need

1 a forum with experts that are from the industry,  
2 individuals that use these instruments, hospital  
3 personnel that have to decontaminate them, and  
4 researchers that do the validation studies to come up  
5 with some guidelines, because I don't think that I  
6 certainly have any competence in making any  
7 suggestions for this. I'm just hopeful that that will  
8 occur in whatever way.

9 DR. EDMISTON: I think, in terms of your  
10 response about question 2, I think the agency in the  
11 presentation by Ms. Gill has clearly defined the  
12 instruments that we should be concerned with,  
13 neurosurgical instruments, especially those that fall  
14 within the critical risk category.

15 CHAIRPERSON PRIOLA: Getting back to what  
16 you said, that you don't feel comfortable giving out  
17 recommendations based upon what we heard, certainly,  
18 in the TSE field we heard from really just about all  
19 of the experts on inactivation that we have in the TSE  
20 field that have worked on this problem for quite a  
21 long time and quite deliberately, Dr. Rohwer or Dr.  
22 Taylor, Dr. Somerville, Dr. Weissmann.

23 What you heard basically summarizes, as  
24 far as I know, what we know in the TSE field for  
25 inactivation and its uses against different strains of



1 agent. So that can be used, I think, again, for a  
2 basis of beginning to give at least a framework for  
3 people to think about designing validation studies,  
4 which I think in part is what's being asked here.

5 In terms of a question that came earlier,  
6 which was the endpoint versus -- endpoint in vivo  
7 assay versus in vitro titration, that is also  
8 something that we struggle with constantly in the TSE  
9 field.

10 Actually, Dr. Weissmann's assay is the  
11 closest we have ever gotten to what people have in  
12 bacteriological and viruses, which is the titer assay,  
13 an in vitro titer assay.

14 So studies that try to design ways to  
15 titer the prion infectious agent should be strongly  
16 encouraged, but it should be acknowledged that they  
17 are extraordinarily difficult or we would have one by  
18 now that would work with every strain.

19 So you're right, it's an incredibly  
20 complicated problem on every level, starting  
21 scientifically and just all the way up the ladder.

22 DR. EDMISTON: I think the consensus  
23 component is extremely important, and I'll tell you  
24 why: Because you may actually come to a threshold  
25 level, an endpoint level, but in the real world that

1 stage still may result in infection. So that's the  
2 problem that occurs here, and that's why it is  
3 extremely important to have the consensus of the true  
4 professionals who work day in and day out in this  
5 activity, because there is going to be this level of  
6 accepted risk.

7 I'm going to tell you something. We all  
8 need to be sitting at the same table, and we need to  
9 agree, even during those periods of disagreement, but  
10 in the final analysis we need to agree on how we  
11 should validate these procedures. I suspect it's not  
12 going to be an easy process, but at least we are  
13 fortunate that we are dealing with a rather small  
14 group of professionals as opposed to the group that  
15 was involved in developing the Vancomycin Use  
16 Guidelines in 1994.

17 So I think we are fortunate that most of  
18 these individuals are sitting right here in the room.

19 CHAIRPERSON PRIOLA: Dr. Hogan, did you  
20 have a comment?

21 DR. HOGAN: No. I fully agree with you.  
22 My problem is, though, none of these individuals are  
23 standing up and talking about this. They have  
24 presented their data, but they have not given --  
25 outside of the question that Dr. Wolfe asked of Dr.

1 Weissmann -- their opinions on these guidelines.

2 That's my point.

3 In ending, I just would encourage -- We've  
4 seen a lot of stuff about strain differences, 263k.  
5 I think that I would encourage you to continue to try  
6 and find neuroblastoma cell lines that you can put  
7 301v into, so that we can really get the most robust  
8 strain, the worst case scenario model as a useful tool  
9 for validation.

10 CHAIRPERSON PRIOLA: Boy, I wish it were  
11 that easy. No, I think it should be strongly  
12 encouraged, and rest assured that a lot of people are  
13 working to do just that in many, many laboratories.  
14 It's a problem that -- I mean, I'm working on it now,  
15 and we've come up with -- We have different ideas and  
16 different ways, and it's unbelievably difficult to get  
17 to work, for reasons that we don't understand, because  
18 the basic nature of the agent is still vague.

19 That gets to Dr. Bailar's question about  
20 whether this agent can hide out in nooks and crannies.  
21 Who knows, because we don't know what the infectious  
22 size is, really, in any way.

23 In terms of the validation issue, which  
24 gets to this question 3, the magnitude of log  
25 reduction versus surrogate markers, does anyone have

1 any comments on that, on a potential surrogate marker?  
2 One of the gentlemen who discussed it -- I think it  
3 was maybe Dr. Marchand in the public hearing --  
4 brought up fungal prion proteins, for example.

5 Is there anything that we can think of  
6 other than infectivity or PrPres that might be  
7 sufficient? I can't, offhand. I mean, I think that's  
8 a question that there's just not enough scientific  
9 data to even begin to address.

10 What about validation studies, limits  
11 infectivity? Yes?

12 DR. LIN: If I can give you some  
13 background, I think that Dr. Chuck Durfor -- in his  
14 presentation he very much give you some background as  
15 to why we asked that question. Maybe I will use it  
16 the other way or maybe explain why we asked that type  
17 of a question.

18 I heard so many people talk about it,  
19 including Dr. Wolfe pointed out real life situation.  
20 I think that's exactly what we question right now.  
21 Actually, you already point out -- Several speakers  
22 already point out from yesterday and this morning two  
23 recommendations.

24 One is -- One is organization,  
25 recommendation for prion decontamination. The other

1 one is that Dr. Rutala pointed out a serious  
2 recommendation on -- which is different.

3 Now in terms of real life situations, we  
4 have a manufacturer come in to us, say, well, make a  
5 recommendation or here is a recommendation -- make a  
6 recommendation, say that this method is as good to  
7 decontaminate those contaminants, TSE contaminate  
8 device or potential decontaminate device.

9 So they want to come to us, say, well, can  
10 we use those methods to decontaminate those device,  
11 the neurosurgical device? Now the question that we  
12 say, well, then you need the validations. Now they  
13 say, well, now how do I validate? You don't have  
14 guidelines. Right?

15 How do we validate? What kind of endpoint  
16 are you accept? And that is our real life situation,  
17 and that is the reason we ask your input to hear  
18 whether you can give us some guidelines based on what  
19 current -- We heard so many presentations. For  
20 example, we talk about a spike -- spike was unknown or  
21 bacterial spore. Then the endpoint and the whole  
22 cycle. But in this TSE situation, what kind of a  
23 spike agent you can use?

24 I heard people mention about that you can  
25 use infected wire as a surrogate or you can use a

1 fungal, as Dr. Marchand pointed out, a fungal protein  
2 as a potential surrogate indicator agent for those  
3 validation studies, and that is where maybe, if the  
4 Committee or the panel can give us some guidelines in  
5 that area.

6 CHAIRPERSON PRIOLA: I'm sitting here  
7 thinking about -- When you say, you know, validation  
8 -- some of these validation studies include following  
9 what happens to a spore that's taken through the  
10 process. Of course, the big, big difference between  
11 that and the TSEs is the lack of a rapid assay to find  
12 out if you have anything left.

13 So, certainly, it's been discussed here  
14 many, many times that you can spike these solutions  
15 and then look for infectivity later, but that will  
16 take minimally one year. That's minimally.

17 So the lack of an in vitro assay makes it  
18 very difficult to determine what sort of marker you  
19 could use for a validation study that is based on  
20 infectivity or even PrPsc. I have my doubts. I know  
21 that it says here would the presence of PrPres be  
22 acceptable, and there is a very good correlation with  
23 PrPres. When it is present, there is infectivity, but  
24 you don't have to be able to see it to have  
25 infectivity. So my opinion on that is, no, I would

1 not use PrPres as a surrogate marker.

2 So then in my mind the question becomes  
3 can you think of another way to seed with a surrogate  
4 marker that you can take through the same procedure to  
5 see if you can get something that is not PrPres but  
6 acts like it and use that as an endpoint, which was  
7 why I just sort of asked if anybody had any wildly  
8 strange ideas about it.

9 But the difficulty, of course, is the  
10 assay. That is something that most definitely can't  
11 be resolved here. Would anybody else like to comment  
12 in the Committee? Any ideas?

13 DR. PETTEWAY: Dr. Priola, down here on  
14 the end. Yes, your comment about PrPres and not using  
15 that as a marker -- PrPres is, in fact, a surrogate  
16 marker. There is no absolute indication that it is  
17 the agent. It is a surrogate marker, and we correlate  
18 with that marker.

19 The issue that you are addressing is that  
20 there could be infectivity that could be important for  
21 transmission that is not associated with PrPres. Is  
22 that --

23 CHAIRPERSON PRIOLA: That's possible, or  
24 that -- That's true. I think that's true. You will  
25 get disagreement from people in the field as to that.

1 I think it has absolutely been shown that you can go  
2 through and try to detect PrPres and not see it at all,  
3 and you get infectivity. The issue is, is it because  
4 it's not the infectious agent or you're just not  
5 sensitive enough in detecting it.

6 DR. PETTEWAY: That's right. And that  
7 kind of brings me to what I've been hearing. You hear  
8 a lot about validations, and we need to validate. I  
9 think that, as someone who kind of struggles with this  
10 whole issue of what validations are and are not, at  
11 least from the context of our processes in the plasma  
12 industry we really can't validate that any infectious  
13 agent is removed by a process.

14 What we can do is we can provide  
15 scientifically valid data that provides assurance that  
16 that agent would be inactivated or removed during  
17 processing. I think that's probably what is going to  
18 happen here, especially with using materials that are  
19 representative in medical devices, that the bottom  
20 line is that you will be able to provide  
21 scientifically valid data that supports a minimization  
22 of risk, which kind of brings me to the next point.

23 It's actually in the subsequent question,  
24 which is -- Oh, we are 3 now. Good. It says: "If  
25 so, what magnitude of log reduction would be



1 considered safe?"

2 Well, safe is kind of absolute, and I  
3 don't think that we can assure absolute safety by  
4 doing what we are calling validation studies. What we  
5 can do is provide some assurance for the minimization  
6 of risk of transmission based on whatever the process  
7 is. I think that's what we need to keep in mind.

8 It could be that 2 logs of reproducible  
9 robust reduction would, in the end, provide a safe  
10 product. Four logs may. So I think we don't want to  
11 get mixed up in trying to recommend numbers without  
12 understanding again the context and what the starting  
13 risk really is.

14 CHAIRPERSON PRIOLA: Dr. Edmiston?

15 DR. EDMISTON: You know, I think that in  
16 some way is how some of the other governmental  
17 organizations function. The EPA -- they look at the  
18 relative risk, threshold levels. In the air pollution  
19 industry, they look at relative risk, threshold  
20 levels.

21 This is a bit different from what we have  
22 been working with in the past. You know, we are  
23 looking at trying -- We use a spore, a bacillus spore  
24 or other spores, as a marker of the effectiveness of  
25 our sterilization process.

1           We don't have that kind of marker present,  
2    which is a concern for me, because those of us who  
3    would be using a device that industry may present, and  
4    they may have a validated procedure -- what do we have  
5    in-house to validate their validation or do we have to  
6    validate their validation? Are we excluded from that  
7    by virtue of the complexity of this particular entity?  
8    These discussions will come up.

9           So I think you are absolutely correct in  
10   that we are going to have to deal within the realm of  
11   relative risk. This is why we really need to have a  
12   very finite discussion on some of these issues  
13   relating to infectivity and relative risk.

14           CHAIRPERSON PRIOLA: Dr. Bailar?

15           DR. BAILAR: Since 3(a) is up there, I  
16   would like to comment that I think it's simply  
17   miscast. What matters is not how much is taken away  
18   but how much is left. Reduction from, you know, 10-1  
19   to 10-3 may not be any help, because there is enough  
20   left for everybody to get sick anyway. 10-10 down to  
21   10-12 won't help either, because the risk is so small  
22   to begin with that there can't be much benefit from  
23   it.

24           I'd like to see that changed to -- the  
25   thinking behind it changed not to the log reduction,

1 but to what is left.

2 CHAIRPERSON PRIOLA: Dr. Weissmann, would  
3 you make a comment?

4 DR. WEISSMANN: I think one should proceed  
5 in two stages. I think one should reach a consensus  
6 on the best possible recommendation we can make at  
7 this time, and call it a tentative recommendation, or  
8 provisional.

9 The real test, I think, has to be very  
10 pragmatic. I have proposed in the U.K. without any  
11 success, I should say, that the way to go about it is  
12 to use -- Well, we were thinking of metal beads, the  
13 aggregate surface of which correspond to a surgical  
14 instrument, say 10 square centimeters. Expose that to  
15 infected brain or brain infected, for example, with  
16 variant-CJD, put it through the recommended procedure,  
17 and plant it into primates, and see whether, let us  
18 say, among 20 primates all of them remain healthy.

19 I mean, this is the pragmatic way, because  
20 we can't necessarily speak of reducing 6 logs or 8  
21 logs, because we don't really know what we start with.  
22 But here is the really pragmatic thing. You loaded  
23 the metal with the maximum infectivity you could  
24 expect to get on it. You go through a procedure, and  
25 you show that all the animals survive it.

1           That is, by far, the worst case scenario,  
2 because nobody is going to expose a surgical  
3 instrument to an infected brain homogenate, and nobody  
4 is going to leave the instrument for 12 months in the  
5 brain of a patient.

6           So it seems that kind of test would be the  
7 worst possible scenario. If the procedure is  
8 effective, then I think it's a pragmatic way of saying  
9 the procedure is valid.

10           CHAIRPERSON PRIOLA: And something like  
11 that would also have the advantage, you could pick the  
12 materials you want. That would represent whatever  
13 medical device was going to be used. So the endoscope  
14 issue, for example. Yes, that's very true.

15           Other comments from the Committee? One  
16 thing I was thinking about after what Steve was saying  
17 about validation studies is that all the experiments  
18 we heard yesterday with the gelatin industry and those  
19 sorts of studies where they are using transmission of  
20 infectivity to animals as sort of -- as the criteria  
21 for whether they have cleared the agent from the  
22 spiked tissues.

23           That seems to me to be the approach that  
24 can be taken here for these medical devices, using the  
25 sort of model system that Dr. Weissmann has used with

1 his metal rods and the suggestion he just made. Would  
2 that be something that industry could deal with?

3 DR. PETTEWAY: Yes. In other words, those  
4 were scientifically valid studies. We call them  
5 validation studies that mimicked the manufacturing  
6 process. Identified parts of the process or methods  
7 that could inactivate or remove prions, and by doing  
8 that, assured based on what the load, the theoretical  
9 load, would be or, in this case, the real load, that  
10 there was a margin of safety. That's what you are  
11 trying to achieve, is margin of safety.

12 Just let me comment. You guys have seen  
13 that with removal -- you know, with studies that we  
14 have done with removal, we were able to correlate the  
15 removal of PrPres and infectivity in the same sort of  
16 experiments. Clearly, from the inactivation  
17 perspective, I think studies that Dr. Kempf presented  
18 with inactivation by sodium hydroxide, that would also  
19 be very -- I think, very effective, if we were able to  
20 do that. We don't have that now.

21 So you're right. So there is not a  
22 corollary with these procedures, inactivation or  
23 degradation of PrPres or loss of signal and  
24 infectivity.

25 I just have one more comment relative to

1 Dr. Bailar's, that, yes, from the perspective of  
2 transmission, we are concerned about what is left  
3 over. I think that's clear. But from the perspective  
4 of trying to define the potential of methods or  
5 processes to reduce what's left over, we are left with  
6 measuring the magnitude of the capability of that  
7 method to inactivate prions.

8 Through long experience with viral  
9 validation, the only assurances we have are if we have  
10 a significant or large capability to inactivate. That  
11 is where this sort of 4 log number comes up that we  
12 all work with and you see in the literature.

13 So it's still, I think, very important to  
14 establish that a method has a capacity to inactivate  
15 or remove, in this case, prions relative to a process.

16 CHAIRPERSON PRIOLA: While still, in this  
17 case, maintaining the integrity of the device that is  
18 going to be reused.

19 DR. PETTEWAY: Exactly. I mean, if you  
20 destroy it or destroy a product -- and that's why I  
21 think the comments that have been made as we will  
22 never get to an absolute -- This is about doing our  
23 best that we can to minimize risk.

24 CHAIRPERSON PRIOLA: Thank you. I think  
25 that's really worth reemphasizing, that we can't get

1 to an absolute based upon what we have.

2 Are there any other comments on the first  
3 three questions by anyone? Dr. Wolfe?

4 DR. WOLFE: Just a comment on Dr.  
5 Weissmann's suggestion, which is that the beauty of  
6 the suggestion is that, by varying A, the surface  
7 area, and B, the metal that the spheres are made out  
8 of, you really could bridge the gap between some of  
9 these other experiments and things that at least more  
10 closely approximate the device that's going in there.  
11 So I think that that is a very fruitful thing to  
12 pursue in terms of (quote) "validation."

13 CHAIRPERSON PRIOLA: Shall we move on to  
14 question 4 then, which I think we have already -- I  
15 mean, we've touched on all of these during this  
16 discussion.

17 DR. WOLFE: I think we have discussed  
18 question 4 thoroughly, really. I doubt whether we can  
19 add anything else.

20 CHAIRPERSON PRIOLA: Yes, I think that's  
21 right. Are there any other comments or suggestions by  
22 members of the Committee? Anyone? Yes, my second  
23 question was going to be to the CDRH. Does the CDRH  
24 have any comments or requests?

25 DR. DURFOR: We would really like to thank

1 you. We recognize this has been a very challenging --  
2 Oh, I'm sorry, Stan.

3 DR. BROWN: Considering question 4(a), one  
4 of the things we were trying to get at is the level of  
5 infectivity in terms of how far down -- We talked  
6 yesterday about brain spinal cord, maybe spinal  
7 column. We've talked about tonsils. We've talked  
8 about eyes.

9 From the types of tissue to which these  
10 instruments are exposed, can you give us any  
11 guidelines as to how far down the neurological tree we  
12 go before we stop worrying about TSE contamination?  
13 That's sort of the subtle little bit about that first  
14 part of 4(a).

15 CHAIRPERSON PRIOLA: The neurological  
16 tree?

17 DR. BROWN: You're talking about, you  
18 know, what nerve root level, optic nerve, auditory  
19 nerve. If we are doing a cochlear implant, we are  
20 connecting to the auditory nerve. We are doing eye  
21 surgery, we are getting the optic nerve. What  
22 neurological level do we begin to say we don't need to  
23 worry about contamination, because it's a low risk  
24 tissue?

25 So that's part of the matrix that David



1 Asher had with high risk tissue, low risk tissue, high  
2 risk patient, low risk patient. Is there any kind of  
3 guidance you can give us in terms of the level of  
4 tissue risk?

5 CHAIRPERSON PRIOLA: Right. Dr. Gambetti,  
6 do you want to address that question?

7 DR. GAMBETTI: While I have just a rule of  
8 the thumb, I would say from what we have seen,  
9 certainly, as far as I remember, everything that is  
10 inside the head, inside the cranial is considered to  
11 be high risk.

12 Then things that also are outside, as we  
13 learned recently, in addition to the remainder of the  
14 central nervous system like the spinal cord and the  
15 dorsal root ganglia. But in addition, also other  
16 things that are outside the cranium, like the  
17 olfactory epithelial, as we learned recently.

18 So as a rule of the thumb, I think  
19 everything that's inside the cranium, spinal cord,  
20 dorsal root ganglia, are at very high risk. Then  
21 depending on the form, other. As we know,  
22 lymphoreticular system in variant-CJD, is obviously  
23 also, and the GI tract also I would consider high  
24 risk. For sporadic instead, I think we are with all  
25 what is inside the cranium and possibly other tissue

1 directly connected with the central nervous system.

2 CHAIRPERSON PRIOLA: So you would agree --  
3 Someone -- I'm sorry, I forget who -- presented that  
4 sort of list of decreasing risk.

5 DR. GAMBETTI: Goes from here to here,  
6 everything, and then down the spinal cord, dorsal  
7 ganglia in the sporadic form. In variant-CJD  
8 lymphoreticular system, GI tract, and perhaps even  
9 more that I am missing now.

10 CHAIRPERSON PRIOLA: Any other comments  
11 from the Committee? Dr. Stroncek.

12 DR. STRONCEK: You know, there's some --  
13 There's been a lot of discussion about the need to  
14 keep instruments wet, contaminated instruments wet and  
15 material not drying out, and someone brought up the  
16 point that, well, maybe these solutions might be  
17 contaminated, too. But based on the fact that  
18 whatever the hospitals are doing now with these little  
19 solutions that instruments are put in, it's not  
20 causing any problems. We don't have to worry about  
21 disposal of the solutions that instruments are washed  
22 in, do we?

23 CHAIRPERSON PRIOLA: Well, I think you  
24 have to consider it, but I think also that is  
25 something that would be incorporated in any study that

1 is going to do this. If you have a rinse, you can  
2 check the rinse for infectivity.

3 I think you can never -- I know we  
4 certainly discount it in our lab. Dr. Durfor, would  
5 you like to comment?

6 DR. DURFOR: I would like to thank the  
7 Committee and all of the attendees here. This has  
8 been recognizably a very difficult area, and we didn't  
9 expect people to be able to give us all five fingers  
10 and say this, this and this. We wanted to hear your  
11 thoughts, and I think we did. I very much appreciate  
12 that.

13 I think the call for a forum of generally  
14 collecting people together to discuss everything from  
15 issues of assay development to clinical risk to  
16 clinical practice -- I think that's a very wise thing  
17 that we will have to seriously consider taking  
18 advantage of. So we want to thank the Committee very  
19 much.

20 CHAIRPERSON PRIOLA: Okay. If there are  
21 no other comments or questions, then we can adjourn  
22 for lunch, and return at 12:50.

23 (Whereupon, the foregoing matter went off  
24 the record at 12:06 p.m.)

25



1 procure and process tissue.

2 Next slide, please. As I said, some of my  
3 slides you will have seen before, but I am going to  
4 review them in the context of human tissue for  
5 transplantation. Just to say at the beginning that,  
6 while FDA regulates human cells and tissues, another  
7 Federal agency, HRSA, regulates or oversees organ and  
8 bone marrow transplantation.

9 Okay. This is the chart that you have  
10 seen many times before in which the WHO classifies  
11 various organs and tissues into high, low and no  
12 detectable infectivity. Eye is considered a high  
13 infectivity tissue, and the WHO does not differentiate  
14 between the different parts of the eye.

15 Most of the tissues that CBER regulates  
16 fall into the "No Detectable Infectivity" category.  
17 Next slide.

18 This slide is not in the handout. On the  
19 other hand, the CJD incidence panel uses the three  
20 categories of high, medium and low or no detectable  
21 infectivity, and they separate CJD from variant-CJD,  
22 and also they distinguish certain parts of the eye  
23 from other parts.

24 So for instance, retina -- and we could  
25 also add optic nerve -- is considered a tissue of high

1 infectivity for both CJD and v-CJD, but other ocular  
2 tissues such as cornea, sclera, lens, fall into the  
3 medium infectivity category for both CJD and v-CJD.  
4 Blood and other tissues fall into the low or no  
5 detectable infectivity category.

6           Next. My presentation is really a follow-  
7 up on a previous TSEAC meeting which was held on June  
8 26th of last year. At that time, we asked the  
9 Committee to consider approaches to reduce the risk of  
10 TSE transmission by cells and tissues -- which we have  
11 an acronym -- HCT/P transplants.

12           At that time, we were concerned about the  
13 pooling of tissues from different donors, particularly  
14 bone tissue. So we presented three approaches, the  
15 first being to screen the donor for risk factors for  
16 and clinical evidence of TSE disease. The second was  
17 to control recovery and processing to prevent  
18 contamination, and the third was to use manufacturing  
19 steps that remove or inactivate TSE agents.

20           Next slide. We asked the Committee last  
21 year several questions and, as is not uncommon, the  
22 question about control of processing was not voted on,  
23 but rather the Committee reworded it and presented a  
24 charge to the FDA.

25           The charge that the Committee put forth

1 is: Do the Committee members recommend that FDA  
2 define validated inactivation procedures for TSE  
3 decontamination of instruments and surfaces, and  
4 propose methods for removal and/or inactivation of TSE  
5 agents from HCT/Ps that may be contaminated by TSE  
6 agents, differentiating high risk from low risk  
7 tissues?

8 Then the Committee proceeded to vote on  
9 their own question, and there was a unanimous YES  
10 vote.

11 Next slide, please. So this session is  
12 really a follow-up on trying to answer that question  
13 posed to us.

14 The U.K. CJD Incidence Panel Report  
15 describes TSE infectivity in ocular tissue. In  
16 studies with scrapie infected hamsters, if we  
17 considered the brain to have greater than 10<sup>7</sup> ID 50  
18 per gram, they found that the optic nerve and retina  
19 had approximately the same level of infectivity as  
20 brain, but cornea, choroid and lens had -- could have  
21 three logs less infectivity.

22 They also discussed a patient with  
23 variant-CJD in which they compared level of PrPSc in  
24 brain, retina, optic nerve, and other parts of the  
25 eye. In the patient the retina had 25 percent of the

1 brain level, the optic nerve 2.5 percent. In all of  
2 the other ocular tissue there was no detectable PrPSc,  
3 and the level of detectability of the test would mean  
4 that there is less than 0.25 percent of the brain  
5 level.

6           There have been reported transmissions of  
7 TSE by tissue transplantation. There are numerous  
8 case reports of transmission by dura mater. The  
9 latest figure we have about the number of dura mater  
10 transplants per year is a '97 figure of 4500, but we  
11 think that that number has gone way down at this time.

12           Cornea: There are approximately 50,000  
13 transplants per year worldwide with three cases  
14 reported. One was a definite case reported in the  
15 U.S. in 1974, and both the donor and recipient had  
16 autopsies at which spongiform changes consistent with  
17 CJD were seen.

18           Then this is a correction of what appears  
19 in the issue summary and some of the handouts. Other  
20 handouts were corrected by hands. There was a  
21 possible case in Japan in 1994, and the reason they  
22 called it possible is that, although the recipient had  
23 an autopsy consistent with CJD, there was no medical  
24 history and no autopsy performed on the donor.

25           Then in 1997 there was a probable case in



1 Germany in which an autopsy was performed on the  
2 donor. However, the slides were not available to  
3 confirm the diagnosis of spongiform encephalopathy  
4 consistent with CJD. The recipient also did not have  
5 an autopsy, but from the neurologic symptoms and EEG  
6 findings, her disease was consistent with CJD.

7 For other tissues, there are approximately  
8 more than 850,000 transplants per year, and no known  
9 cases of TSE transmission.

10 Next. Now I am briefly going to review  
11 the final rules, proposed rules, guidance, and draft  
12 guidance that FDA has in place concerning human cells  
13 and tissues for transplantation.

14 We have been regulating human tissues  
15 since 1993, and in 1997 the rule was finalized, and a  
16 guidance document accompanied it. But this rule was  
17 focused primarily on screening and testing of donors  
18 of musculoskeletal, skin and ocular tissue for HIV and  
19 hepatitis.

20 This rule does have one section which  
21 requires that eye and tissue establishments have  
22 validated procedures to prevent contamination and  
23 cross-contamination of tissues during processing, but  
24 there are no other GMP-like requirements in the 1997  
25 final rule.

1           Since '97, we have been -- we put forth a  
2 proposed approach and have been implementing that with  
3 three rules. One of them has finalized the  
4 registration and listing rule. The other two are  
5 proposed and are in the process of being finalized.

6           A donor suitability rule, a proposed rule,  
7 was issued in '99, and it includes screening for TSE  
8 including CJD, and a proposed good tissue practice  
9 rule was issued in 2001.

10           Last year we published a guidance on  
11 validation to clarify our expectations of that one  
12 section in the 1997 final rule, and this guidance  
13 contained a statement that currently there are no  
14 validated methods for TSE -- to prevent TSE  
15 contamination and cross-contamination, but as  
16 validated methods become available, we would expect  
17 you to use them.

18           Also in the same year we came out with a  
19 draft guidance on CJD, vCJD, and that was presented to  
20 the Committee at the June 2002 meeting.

21           The discussion today will help FDA provide  
22 draft guidance that will accompany the GTP rule, once  
23 finalized, and the guidance would explain how to  
24 interpret some of these GTP requirements.

25           Next slide, please. Just briefly to show

1 you what is contained in the GTP proposed rule, these  
2 are GMP-like requirements, but unlike true GMP they  
3 just focus -- they are designed or focus on prevention  
4 of communicable disease transmission.

5           So, for instance, there are sections on  
6 facilities in which we would expect that contact  
7 surfaces are disinfected between donors, or some other  
8 method used to prevent contamination and cross-  
9 contamination; that equipment be cleaned and  
10 maintained, instruments decontaminated and sterilized  
11 as appropriate; supplies and reagents verified to meet  
12 specifications and not contaminated; that there would  
13 be tracking of which instruments, equipment and  
14 reagents were used in the processing of a particular  
15 HCT/P; and then the environment would be controlled  
16 and monitored.

17           Next, please. Then I'm just reviewing  
18 again the WHO Consultation. To start our, as we  
19 already heard, instruments should be kept moist after  
20 use, and they may be mechanically cleaned. But to  
21 answer some of the previous comments, I would like to  
22 mention that the WHO Consultation does say that, if  
23 you clean prior to TSE decontamination, the cleaning  
24 materials must be treated as infectious waste, and the  
25 cleaning station must undergo TSE decontamination.

1           Then as you know, the Consultation lists  
2 ineffective and sub-optimal decontamination methods  
3 and effective decontamination methods. It also  
4 discusses what to do with instruments based on the  
5 donor risk category and the particular tissue that the  
6 instrument has contacted.

7           So, for instance, in cases of confirmed or  
8 suspected TSE, high and low level infectivity tissues  
9 would require additional TSE decontamination. Tissues  
10 with no detectable infectivity would be routinely  
11 cleaned and disinfected.

12           Donors at risk of TSE, such as those that  
13 have received dura mater transplant or pituitary  
14 growth hormone -- For those donors, if the instrument  
15 contacted low or no detectable infectivity tissues,  
16 they would be routinely cleaned with no additional TSE  
17 decontamination. For high infectivity tissues and  
18 donors at risk for TSE, additional TSE decontamination  
19 is recommended.

20           Next, please. Then not to bore you too  
21 much, but this and the following slides go through the  
22 TSE decontamination methods mentioned in Annex 3 of  
23 the WHO recommendations, and they are in order of  
24 decreasing effectiveness and severity on the  
25 instrument.

1           So for instance, incineration should be  
2 used for all disposable instruments, and it is the  
3 preferred method for all instruments exposed to high  
4 infectivity tissues.

5           Next, please. Then if incineration is not  
6 practical, there are six methods listed for most  
7 effective to least effective, and notice that the  
8 decontamination is done first, and then the instrument  
9 is subject to routine sterilization.

10           The first five methods -- next, please --  
11 involve either sodium hydroxide or sodium  
12 hypochlorite. The sixth method does not. It just  
13 says autoclave at 134 degrees C for 18 minutes.

14           Next, please. Then since we are also  
15 considering contact surfaces, the WHO recommends that  
16 for cleaning surfaces that you flood the surface with  
17 2N sodium hydroxide or undiluted sodium hypochlorite  
18 for one hour and since with water, but if that is not  
19 possible, you thoroughly clean the surface and  
20 possibly use one of the partially effective methods.

21           Next, please. Then in the CJD Incidents  
22 Panel there is a detailed discussion of the number of  
23 decontamination cycles that an instrument undergoes.  
24 A decontamination cycle is defined as, first, physical  
25 cleaning such as in a mechanical washer, followed by

1 inactivation of any remaining infectious material --  
2 for instance, by autoclaving.

3           The point to be made here is that it is  
4 the first cleaning and the first autoclaving where  
5 significant decrease in infectivity occurs.

6 Subsequent cleaning and subsequent autoclaving  
7 decreases infectivity by smaller amounts.

8           So, basically, the conclusion is that the  
9 first cleaning in autoclaving will remove -- will  
10 reduce infectivity at least 105-fold, and then a  
11 statement is made that most instruments that have  
12 undergone 10 decontamination cycles are unlikely to  
13 pose a significant risk.

14           Next. So in the context of ocular tissue  
15 for transplantation there are several other factors to  
16 consider other than what you have heard about so far.  
17 First of all, a risk assessment was presented at the  
18 June 2002 meeting, but it was emphasized that there  
19 are certain unknown variables in any risk assessment,  
20 and the two that particularly stand out and are  
21 applicable today are -- The two variables that we  
22 don't know much about are the extent of TSE agent  
23 reduction during processing and the extent of possible  
24 cross-contamination.

25           You should realize that one important risk

1 is having an insufficient supply or availability of  
2 evaluable tissue. Eye banks are considered small  
3 entities, and they have limited resources. So any  
4 recommendations -- That should be kept in mind when  
5 you make recommendations.

6 Also, the corrosive effect of sodium  
7 hydroxide and sodium hypochlorite on the longevity of  
8 stainless steel instruments used in procurement and  
9 processing of ocular tissue should be kept in mind.

10 Following me, the next speaker, Ellen  
11 Heck, will discuss the details of procurement and  
12 processing of ocular tissue and surgical instruments.  
13 However, I am going to quickly present the questions  
14 so you can keep them in the back of your mind as you  
15 hear that.

16 Next slide, please. There are four  
17 questions and, as Dr. Asher alluded to yesterday,  
18 basically the four scenarios are ocular tissue with a  
19 known TSE donor, ocular tissue where there is no  
20 suspected TSE -- that is, routine -- and non-ocular  
21 tissue, other low risk tissues when you have a donor  
22 with known or suspected TSE, and fourthly, low risk  
23 tissues where you do not suspect TSE, such as in the  
24 routine situation.

25 So all of these questions are prefaced by

1 this statement here, that you have to consider current  
2 practices using conventional methods of cleaning  
3 facility work surfaces, equipment, and instruments  
4 used in the recovery and processing of HCT/Ps.

5           You also should keep in mind the other  
6 precautions currently in place, which were discussed  
7 last year, such as donor screening for CJD and  
8 variant-CJD, also that aseptic techniques are used in  
9 procurement and processing of tissues, and third,  
10 concerns about availability or supply of tissues.

11           So keeping that in mind, the first  
12 question -- Next slide -- asks: With regard to the  
13 recovery and processing of ocular tissue from donors  
14 later discovered to have TSE or possible TSE, does the  
15 Committee believe that surgical instruments used in  
16 recovery and processing should be destroyed by  
17 incineration, if practical?

18           Next. If destruction of instruments is  
19 not practical, does the Committee believe that, at  
20 this time, there exist established, effective methods  
21 that are adequate for decontaminating instruments and  
22 surfaces?

23           Then if so, please comment on the specific  
24 methods listed in the WHO Guidelines. In particular,  
25 does the Committee consider that only those WHO



1 methods that use sodium hydroxide or sodium  
2 hypochlorite are adequate?

3 Next. If so, should such methods be  
4 employed by eye banks in the circumstances noted  
5 above?

6 E says: Does the Committee believe that  
7 the number of decontamination cycles -- again, a  
8 decontamination cycle is mechanical cleaning and  
9 autoclaving -- performed on the instrument after the  
10 index donor tissue was recovered and processed should  
11 determine whether or not additional specified TSE  
12 decontamination procedures are needed?

13 Then the second question, as I mentioned -  
14 - next slide -- is: With regard to ocular tissue,  
15 should we have additional TSE decontamination  
16 procedures used routinely, even when TSE has not been  
17 suspected in the donor?

18 Next. Should similar decontamination  
19 procedures be used for instruments and surfaces used  
20 to recover and process other tissues with a low risk  
21 of TSE infectivity from cases of known or suspected  
22 TSE?

23 Finally, these other tissues with a low  
24 risk -- should these additional decontamination  
25 procedures be used routinely, even when TSE is not

1 suspected? Thank you.

2 CHAIRPERSON PRIOLA: Thank you, Dr.  
3 Solomon. Our next speaker is Ms. Ellen Heck.

4 MS. HECK: Well, I thank you for the  
5 opportunity to come and talk to you today from one of  
6 those very small entities that Dr. Solomon referenced  
7 in her talk; because we are sort of a small entity in  
8 the greater world of science, and yet we feel like we  
9 have a place in it, in that we do try to restore sight  
10 to around 49,000 Americans each year. So we are very  
11 conscious of that responsibility.

12 Am I going to get slides or am I not?  
13 Okay. Oh, we're going to get them all at once. Okay.

14 First slide, please. Certainly, we are  
15 aware that we could have an increase or an outbreak,  
16 but we haven't had one in the United States since  
17 1974.

18 Next slide, please. And we think that  
19 that is worth mentioning again. We could have a  
20 failure to detect a case by current screening. We  
21 know that not everyone is symptomatic. However, I  
22 think it is important to emphasize that we do, for the  
23 most part -- Over 90 percent of the tissue delivered  
24 in the United States is carefully screened by medical  
25 history screening questions.

1                   Next slide, please. And these questions  
2 take in a combination of factors which help us to  
3 reduce those individuals who might be in a phase of  
4 symptomatic CJD, looking at memory loss, inappropriate  
5 responses, confusion, and certainly, motor changes.  
6 This helps us to eliminate a number of cases. We  
7 automatically defer anyone who is in this category.

8                   Next slide, please. And in addition, we  
9 defer those patients with Alzheimer's, not because we  
10 think Alzheimer's is infective, but because we are  
11 afraid we might miss some diagnosis there or there is  
12 enough confusion about the diagnosis between  
13 Alzheimer's and CJD that we might inadvertently take  
14 a case.

15                   We also look very carefully at any seizure  
16 disorder and brain tumors, or any neurological  
17 disorder, biochemical or otherwise, and this is where  
18 the eye bank's medical director plays a very large  
19 role in the screening process for us.

20                   Next slide, please. So what do we have  
21 then in the processing safety for eye banks at the  
22 current time? Well, we have what I consider to be  
23 four things that are important as we look at what next  
24 steps may be appropriate for eye banking.

25                   We have environmental control with a flow

1 hood, with our flow cleaning, with our barrier drapes,  
2 and I'm going to show you a little bit more about that  
3 in a moment.

4 Our graft isolation, I believe, is  
5 important, because there is no batching in eye  
6 banking. We do not process multiple samples at one  
7 time. All the processing is from one single donor,  
8 and it is in a limited quantity, and all of our grafts  
9 are for single patient use only.

10 Then, of course, we get to the sterile  
11 instruments where most of the emphasis has been  
12 through this meeting, and the aseptic techniques.

13 Next slide, please. We do a routine  
14 cleaning of our hood, and we do our in-laboratory  
15 incisions under a hood, but I want to make a  
16 differentiation for you. Hearing that we are just  
17 cleaning our hood for ambient contamination. We do  
18 not feel that our hood is ever necessarily  
19 contaminated with eye banking samples, and that is  
20 because --

21 Next slide, please. -- we are going to use  
22 barrier draping inside our hood, as well as the  
23 cleaning of the hood which took place before. We, of  
24 course, are wearing protective apparel, and as you can  
25 see here in the actual handling and removal of

1 corneas, we are not talking about a type of procedure  
2 where there is a great deal of residue or exudate or  
3 moisture or transmission or splatter. This is a very  
4 contained procedure. So there is limited  
5 contamination, and the barrier dressing that was under  
6 the hood then is going to be incinerated.

7           Next slide, please. What we do with our  
8 instruments is pretty much what you heard over and  
9 over today, to some extent. We do gross cleaning. We  
10 do moist transport. We do steam autoclaving. Then we  
11 go through recleaning, re-disinfecting, packaging, and  
12 again some steam autoclaving.

13           I would like for you to just take a look  
14 at the size of these instruments. This, particularly  
15 with these scissors, will give you some idea about the  
16 delicate nature of these. We are not talking about  
17 large instruments that will withstand a lot of  
18 manipulation and a lot of treatment.

19           Next slide, please. For that reason, we  
20 have gone with the increased sterilization method.  
21 Again, I remind you, we do not normally consider these  
22 to be patients at risk for CJD. We don't take known  
23 cases. We don't take any symptomatic case, and so we  
24 don't feel like that we are, in large part, dealing  
25 with what you would consider someone who is at

1 potentially high risk for CJD.

2           We do, of course, realize that someone can  
3 slip through that even so. So we go ahead with the  
4 increased sterilization, which is not without some  
5 compromise even then to our instruments and our  
6 equipment. We've found that our equipment breaks down  
7 a little bit more readily when we are sterilizing at  
8 this high temperatures, and we have had to have it  
9 serviced a little more frequently, but that's a  
10 reasonable expectation and cost to assume.

11           Our instruments get dull a lot faster,  
12 about four times as fast as they do when we use the  
13 121 for 30 minutes, but that, too, can be reasonable.

14           Next slide, please. We are concerned  
15 about the reasonableness of this, because eye banks  
16 are not sophisticated laboratories. We don't have the  
17 same facilities as a hospital decontamination unit or  
18 a research laboratory. So handling caustic chemicals  
19 is a risk to our personnel that we are quite concerned  
20 about.

21           Next slide, please. And with the sodium  
22 hypochlorite, we are very concerned about our  
23 instruments, because our instruments' sharpness is a  
24 big factor in the effectiveness of using sterilizable  
25 instruments.

1           It's not just whether you can see  
2   corrosion on the surface, but whether or not the  
3   instrument remains sharp enough to do the excision in  
4   a manner that renders the corner maximally effective  
5   for the surgeon when he punches his corneal button at  
6   the time of surgery.

7           Next slide, please. So I wanted to look  
8   at some of the cost factors that might be involved  
9   with other types of considerations. To do this, we  
10  wanted to break down the eye donor population in the  
11  United States by categories of age, since we've been  
12  hearing some concern that the age from 55 to 65 or  
13  maybe from 55 to 70 is the age range that you are most  
14  interested in, or maybe 60 to 70.

15           So as you can see, these are our primary  
16  donor categories. That's where the most of our tissue  
17  comes in.

18           Next slide, please. And our current  
19  sterilization protocols with some attrition of  
20  instruments built in gives us a cost per year in the  
21  over-60 age range of \$247,000-plus . If you go down  
22  to 40, you've got 400, if you use only one set. Many  
23  of use two sets, because we are interested in  
24  controlling any microbiological cross-contamination  
25  from one eye to another. So the number of instrument

1 sets is doubled.

2 So in those figures, you would say that,  
3 to use the sterilization at this figure for all of the  
4 instrument sets used in the United States in the past  
5 year, our instrument cost -- sterilization cost was  
6 about \$1 million.

7 Next slide, please. Now we are looking at  
8 disposable instruments, but disposable instruments  
9 have a couple of limitations which we have not yet  
10 overcome. They are not as precise or of high quality  
11 as our currently stainless steel instruments. So we  
12 do have some surgical compromise in getting the  
13 corneal button removed, but we can -- we are still  
14 exploring this.

15 The other limitation we have right now is  
16 that there is not a quality supplier who can at  
17 present provide enough instrument sets to meet all of  
18 the need if we were to go to even just above the age  
19 of 40. If we say, well, we don't worry about the ones  
20 below 40, but we are going to use disposable  
21 instruments for every donor above 40, right now that  
22 supply is not available.

23 Next slide, please. In addition, we take  
24 on some fairly significant added cost when we do that.  
25 If you remember, in the first slide I told you our



1 cost was about a million dollars.

2 If we used disposable instruments for all  
3 of the donors currently in the United States, we are  
4 looking at something around \$4 million. So it is a  
5 significant increase in cost when we are not quite  
6 sure what our increased risk is or what we are  
7 actually preventing.

8 Next slide, please. That just goes over  
9 the differences. It's a \$3 million difference, if we  
10 do it for everything. If we do it only above the age  
11 of 60, it's somewhere between nearly \$800,000 and \$1.5  
12 million.

13 Next slide, please. So we have, we  
14 believe, some current safeguards in place which we are  
15 anxious to hear your comments on and to see what you  
16 think we can do that will improve these. But we feel  
17 like that this is a major component of our safety, is  
18 our screening and asking the appropriate questions,  
19 and rejecting those individuals who may have a  
20 suspicion of having a transmissible spongiform  
21 encephalopathy.

22 We think that our environmental control is  
23 relatively good, because we have a contained area, and  
24 we do not have contamination within the surfaces that  
25 we use. We do use disposables and incinerate the

1 drapes to contain that, and disposable personal  
2 protective apparel.

3 Our grafts are isolated. So the numbers  
4 of exposures would be limited. And finally, we are  
5 looking at ways to deal with the decontamination and  
6 sterilization, but we feel like adding the moist  
7 transport, the mechanical washing of these solutions,  
8 and then the sterilization seems to be adequate for  
9 what is known right now.

10 Final slide, please. You have a lot of  
11 things to consider, and I'm glad it's you and not me,  
12 because if nothing else, it's reconvinced me today I  
13 don't want any CJD. I never did. I'm sure of it now,  
14 but I do want to be able to continue to meet the needs  
15 of those 49,000 people who want corneal transplants  
16 and will not be able to see without them.

17 Thank you very much.

18 CHAIRPERSON PRIOLA: Thank you, Ms. Heck.  
19 Are there any questions for Ms. Heck from the  
20 Committee? Yes, Dr. Bracey?

21 DR. BRACEY: Yes. You stated that you  
22 defer greater than 90 percent of the donors by  
23 history. I guess my question is why not 100 percent?

24 Then following up on that, in the world of  
25 blood banking, you know, often the medical directors

1 will have to make some decisions. But those decisions  
2 are becoming more and more limited in order to promote  
3 uniformity in selection. I wonder, do you have  
4 uniform criteria for screening?

5 MS. HECK: Yes, sir. Well, to answer your  
6 first question, there are still some states, my state  
7 being one of them, who have a law called the Medical  
8 Examiner's Law that permits the medical examiner to  
9 release tissue to an eye bank without consent of next  
10 of kin, if there is no known objection.

11 In such cases then, there is no medical/  
12 social history interview, because there is no  
13 interaction with the family. We personally do not  
14 utilize that law and have not for over a decade. It  
15 is still used, however, by a very small percentage of  
16 eye banks across the country. That is something,  
17 certainly, for the Committee to consider.

18 Most of us have gone away from it, because  
19 we feel that, although not 100 percent reliable, a  
20 medical/social history does give us an extra degree of  
21 safety and should be employed.

22 The second question: We do have standards  
23 promulgated by the Eye Bank Association of American  
24 under which we screen. We use a 40 -- I think it's 47  
25 questions now, which are very similar to the ones that

1 you do in blood banking as part of that screening.

2 The medical director's role is primarily  
3 to help us evaluate things that are uncovered either  
4 during that screening or in evaluation of the medical  
5 chart where we feel like our technical expertise is  
6 not sufficient and that we need to get the medical  
7 background involved.

8 DR. BRACEY: I would only comment that I  
9 would agree with you that that gap needs to be closed,  
10 as far as the history piece.

11 CHAIRPERSON PRIOLA: Dr. Hogan.

12 DR. HOGAN: Since its inception, this  
13 issue about records has begun. That gap has closed.  
14 Used to be a lot more eye banks that were utilizing  
15 medical examiner tissue. So that is decreasing over  
16 time. However, I should point out that the majority  
17 of medical examiner eye tissue comes from accident  
18 victims that are young. That is under the age of 40.

19 So there are decreased risks for sporadic  
20 CJD, and there are much better cornea donor -- corneas  
21 that are a lot better. So it's a problem of getting  
22 rid of that population, although it is gradually  
23 going.

24 MS. HECK: But, Dr. Hogan, if I may, we do  
25 take tissue from medical examiners' cases. We simply

1 approach the family for consent. So the issue would  
2 be the percentage that was lost due to decline from  
3 the family, not the percentage of losing all of the  
4 tissue under the age of 40.

5 CHAIRPERSON PRIOLA: Dr. Bailar.

6 DR. BAILAR: How many corneas per year  
7 would you need in a steady state? That is, if there  
8 were no backlog, how many new needs do you have coming  
9 up per year?

10 MS. HECK: We transplant approximately the  
11 same number of corneas for the last three to five  
12 years, and that has been fairly steady at around 49-  
13 50,000 corneas. Now one of the things that we are not  
14 in a position to evaluate right now, and certainly may  
15 have impact on what we are going to need, is the high  
16 degree of refractive surgery that is going on in this  
17 country today with all of the laser surgery that is  
18 being performed.

19 We may see two things happen. We may see  
20 some corneal failures as a result of the surgeries,  
21 which will make them need transplants, and we will  
22 certainly see a large number of individuals who would  
23 have been able to be considered as cornea donors not  
24 being able to be so considered.

25 DR. HOGAN: And I would like to point out

1 that there are 2 million Lasik procedures done each  
2 year, and that is growing as the cost comes down.

3 MS. HECK: So that's a real risk to our  
4 tissue supply.

5 CHAIRPERSON PRIOLA: Okay, thank you, Ms.  
6 Heck.

7 MS. HECK: Thank you.

8 DR. BAILAR: Can I ask one other question?

9 CHAIRPERSON PRIOLA: Oh, sure.

10 DR. BAILAR: What is the total cost of the  
11 procedure of collecting the tissue and of putting it  
12 into a patient, the initial workup, the follow-up and  
13 so forth? How do these costs of \$20 to \$50 fit into  
14 the total?

15 MS. HECK: The total cost of the delivery  
16 of a cornea now probably averages around \$1800. There  
17 are lots of things that figure into the cost, and  
18 every time we have to add a new test or a new  
19 procedure, then that cost does go up. But I think we  
20 have to do education, we have to do -- We have to have  
21 a 24/7 staff. We have to have trained people. We  
22 have to have instruments, etcetera. So it's about  
23 \$1800.

24 DR. BAILAR: That is to collect and  
25 prepare the tissue?

1 MS. HECK: Yes, sir.

2 DR. BAILAR: How about the cost of putting  
3 it in?

4 MS. HECK: It's running, I think, around  
5 another \$2000. So the whole thing comes out to be a  
6 fairly bargain in the way of surgery in today's world.

7 CHAIRPERSON PRIOLA: Okay. Our next  
8 speaker is Dr. Dorothy Scott, who is going to present  
9 the second part of Topic 4, which has to do with  
10 plasma derivatives.

11 DR. SCOTT: So now we are switching from  
12 a high risk tissue to what you might call a lower risk  
13 tissue, which is blood and plasma, in particular  
14 today, plasma derivatives. The issue is the cleaning  
15 procedures, the clearance of TSE agents during  
16 manufacturing.

17 The concern that we are asking you to  
18 address is the use of common equipment for  
19 manufacturing of U.S. and European plasma for plasma  
20 derivatives in the context of variant-CJD risk.

21 Just to set out the issue in detail, many  
22 manufacturers use common equipment to process U.S. and  
23 European plasma, and they are approved to do so as  
24 part of their license or their licensing supplements.  
25 These products include plasma derivatives such as

1 immune globulin, albumin, FactorVIII and FactorIX.

2 At least five major manufacturers of  
3 plasma derivatives are licensed to use common  
4 equipment and facilities for U.S. and European plasma.  
5 However, the donor deferrals, the plasma and blood  
6 donor deferrals, differ between the U.S. and European  
7 countries. So in theory the level of risk may be  
8 slightly different.

9 I am just going to show you these donor  
10 deferrals in the next slide. Here you can see where  
11 the differences lie. These are the U.S. donor  
12 deferrals for source plasma and recovered plasma.

13 There is a difference that I can go into,  
14 but it is basically for technical reasons that the  
15 U.S. recovered plasma has a donor deferral for Europe  
16 from 1980 to the present of five years. It's not that  
17 we think it is any less safe than source plasma. It's  
18 more a matter of differentiating -- Getting a unit of  
19 blood and separating it into a suitable and unsuitable  
20 component is very complicated.

21 The main place where these donor deferrals  
22 differ between U.S. and Europe is in the deferral of  
23 people who have lived in the United Kingdom between  
24 1980 and 1996, the higher risk period for being  
25 exposed to BSE. Our deferrals are for three months or



1 more.

2 In Europe and the various European  
3 countries it's between zero and five years, but  
4 typically it is six months for most countries.

5 In February of this year, the European  
6 Agency for the Evaluation of Medicinal Products  
7 recommended that at least a one-year deferral go into  
8 effect for the European countries, although they may  
9 be more stringent than that.

10 Again, we defer people who have lived in  
11 France for five years or longer. This usually isn't  
12 done in most European countries, and the EMEA is not  
13 recommending this. Of course, the Europeans don't  
14 defer European plasma. That, naturally, would not be  
15 recommended.

16 So you can see, these are where the  
17 differences lie. Can I have the next slide?

18 I just want to point out that the European  
19 risk of coming down with variant-CJD continues to  
20 appear to be low, and that is because they have a  
21 small BSE epidemic relative to the United Kingdom.  
22 Here, we perceive the French risk as being somewhat  
23 higher due to the greater number of v-CJD cases they  
24 have had, which is probably due to the import of  
25 British beef and beef products, especially into

1 France.

2 Next slide. So when you consider  
3 evaluating the risk of TSE transmission through reused  
4 equipment and materials, there are a couple of things  
5 which make this somewhat different from some of the  
6 other scenarios that you have looked at, such as  
7 tissues and surgery.

8 The amount of the agent in the starting  
9 material, which is plasma, is believed to be low, if  
10 it is present at all. So you are looking at low risk  
11 tissue instead of higher risk tissue.

12 In addition to that, there is a high  
13 likelihood that the people who are most risk even in  
14 Europe would be deferred because of people who have  
15 lived in the United Kingdom for extended periods of  
16 time. In addition, there can be clearance of the TSE  
17 agents. At least this has been experimentally shown  
18 for a number of the manufacturing processes.

19 The evaluation of cleaning procedures for  
20 potential chemical inactivation of TSE agents needs to  
21 consider these other influences on the overall risk.

22 Next slide. Still there is a possibility  
23 that cross-contamination could occur. That is, that a  
24 facility may process some potential -- well, some  
25 actually infected plasma, and that the donor would be

1 diagnosed too late to be able to interdict the use of  
2 the plasma or the plasma derivative.

3 In addition, I would like to point out  
4 that there still is a possible unpredictability when  
5 it comes to human TSE outbreaks. For example, we know  
6 that more BSE countries continue to be identified.  
7 Every time this Committee meets, some other country  
8 usually has been added to the list of BSE countries.  
9 Most recently, it has been Canada.

10 Also, there is a concern that people who  
11 are heterozygotes at codon 129 of the prion protein --  
12 that is, methionine valine heterozygotes -- could  
13 develop vCJD but have a longer incubation period.  
14 This is just a theoretical concern, but it would  
15 increase the epidemic size and the number of people  
16 who come down with this disease, if it happens.

17 I would like to point out, but not to  
18 emphasize, that we do have chronic wasting disease of  
19 deer and elk in the U.S., and that the spread to  
20 humans or domestic animals is not impossible. We  
21 think it isn't too likely, because the -- well, for a  
22 number of reasons that I won't go into now.

23 I would like to point out that  
24 decontamination of facility, equipment and enhancement  
25 of safety, if possible, is analogous to the importance

1 of continuing food chain controls, in that if the BSE  
2 epidemic finally worldwide loses steam, and it seems  
3 to be losing steam in most countries, would we stop  
4 having food chain controls or would we continue a  
5 certain level of prophylactic -- give some  
6 prophylactic attention to the possibility that this  
7 could happen again?

8 I think that, when we consider this, even  
9 if we think there is a low risk of contamination, we  
10 don't always know what is coming next. So it is still  
11 a useful thing to consider.

12 Next slide. This is just to point out  
13 that Canada now, even though in this August 2002  
14 website, which is still up, was listed as a Category  
15 II, just like the U.S., for BSE. Of course, now it's  
16 moved into Category III. So it is not entirely  
17 predictable where BSE will occur next and where human  
18 exposures could occur next.

19 Next slide. This is just to show you that  
20 the epidemic of vCJD in the United Kingdom finally  
21 appears to be waning, and this is just -- It's all  
22 from the same paper, and this is looking at all deaths  
23 from vCJD and, statistically speaking now, these are  
24 believed to be going down, presumably as a result of  
25 the food chain controls that were instituted in 1996.

1           Next slide. This is just a list of the  
2 vCJD cases in Europe, and many of these people were  
3 not exposed to -- or did not live or travel to the  
4 United Kingdom in the past. This case has not been  
5 confirmed. It's just been reported in the press, and  
6 it is still being worked up.

7           Just to point out, therefore, that a post-  
8 donation diagnosis of variant-CJD in a European donor  
9 is possible where plasma derivatives may have been  
10 processed.

11           Next slide. I just want to mention a few  
12 additional factors to consider in more detail. That  
13 is that we believe there is infectivity clearance --  
14 at least experimentally, it has been shown -- during  
15 plasma derivative manufacturing.

16           The common manufacturing steps that can  
17 result in TSE clearance include many precipitations,  
18 certain depth filtrations, and column chromatography.  
19 Clearance, as is the case for many of the other  
20 situations that you have looked at today, is process  
21 and manufacture-specific, because it is context-  
22 specific.

23           As most of you who are here recall, we  
24 invited manufacturers to submit clearance data for  
25 their specific processes to us for consideration of

1 labeling claims about TSE clearance in the last  
2 meeting in December of 2003.

3 Next slide. Now I just want to briefly  
4 mention, and you will hear an additional presentation  
5 about this from the Plasma Protein Therapeutics  
6 Association, the cleaning procedures that are typical  
7 between plasma batches, which are called campaigns.

8 Validation of equipment cleaning  
9 procedures is standard for licensure, but this  
10 validation is not TSE-specific. Examples of cleaning  
11 methods that are commonly used are cleaning of  
12 stainless steel tanks, for example, with sodium  
13 hydroxide solutions or hypochlorite solutions, as well  
14 as extensive rinsing.

15 Examples of typical cleaning validation  
16 test methods that are used and specifically requested  
17 for licensure are testing for residual total organic  
18 carbon, testing for residual protein, and also testing  
19 the ionic strength of final rinsing solutions. These  
20 are just examples, but they will be common ones.

21 Next. Just to give you an idea of what  
22 equipment and materials might be reused or might not  
23 be reused in a plasma manufacturing facility, usually  
24 these are reused after cleaning: Plasma pooling  
25 equipment; stainless steel tanks; tangential flow

1 filters; gaskets and tubing, and there's been a lot of  
2 discussion about nooks and crannies and, certain, this  
3 is where you may find nooks and crannies -- we know  
4 from other people's experience that it would be  
5 extremely arduous and difficult and take a long time  
6 to remove and replace all your gaskets and tubing in  
7 between plasma campaigns; sterile filtration and final  
8 filling machinery; affinity chromatography columns;  
9 and some other resins.

10 Things that are usually disposable and are  
11 usually disposed of between plasma campaigns are  
12 sterile filters, depth filters, and some resins,  
13 especially resins that are used for adsorption.

14 I also want to point out that, in the case  
15 of U.S. licensed products, we typically request that  
16 items such as tangential flow filters and affinity  
17 chromatography columns be dedicated for U.S. plasma.

18 Next slide. Just very briefly, I want to  
19 talk about chromatographic column retention of TSE  
20 infectivity, which is a concern that we have, and we  
21 understand that the industry is addressing or  
22 beginning to address this concern as well, from Dr.  
23 Kempf's presentation this morning.

24 This is one of the very few published  
25 studies of plasma derivative processing by Peter

1 Foster from the Scottish National Blood Transfusion  
2 Service in Vox Sang. Here he looked at scaled down  
3 manufacturing processes. So it was a validation style  
4 study.

5 He looked at various resins that are used  
6 in their manufacturing of thrombin, FactorIX. He also  
7 looked at a number of other plasma derivatives, but  
8 these did not happen to have these kinds of resins for  
9 this purpose.

10 So here I have listed what the resin was,  
11 and it so happens that we have a cation exchange  
12 resin, an anion exchange resin, and a Heparin-  
13 sepharose column, which is a type of affinity column.  
14 These are just -- The products are intermediates that  
15 these resins are used to produce.

16 These are the reduction factor of PrPres  
17 that you see here in logs, and the reduction factor is  
18 the titer of the spiked intermediate over the titer of  
19 the resultant fraction. Here you see that the cation  
20 and anion exchange columns both retained a substantial  
21 amount of PrPres. There were not infectivity studies  
22 done as part of this particular work, and Heparin-  
23 sepharose, for some reason, a bit less.

24 Next slide. Dr. Foster commented that  
25 only a small proportion of PrPsc -- I said PrPres, but



1 anyway he did use the hamster model, I believe --  
2 could be accounted for in samples taken over  
3 chromatographic procedures, e.g. about 0.1 percent.

4           What he is saying here is that you can  
5 elute everything, and you do not have mass balance.  
6 The probability that most PrPsc remained bound to  
7 chromatographic matrices emphasizes the importance of  
8 either limiting the reuse of adsorbents or in  
9 developing suitable cleaning procedures.

10           Next slide. This has been demonstrated in  
11 other studies that actually use scrapie infectivity.  
12 However, they did not study the particular processes  
13 that are used for plasma derivatives. But these also  
14 looked at anion and cation exchange columns,  
15 hydrophobic interaction columns, and affinity  
16 chromatography, and typically found that between 10<sup>2</sup>  
17 to 10<sup>5</sup> logs infectivity were retained by such resins.

18           I would also like to point out that some  
19 chromatographic resins, like anion and cation exchange  
20 columns, are fairly robust with respect to alkaline  
21 conditions, and they can actually tolerate 0.1 to 1  
22 molar sodium hydroxide. But there are others which  
23 cannot, because they do not remain chemically stable,  
24 particularly the affinity columns and the hydrophobic  
25 interaction columns.

1           Next slide. So I am coming close to the  
2 questions, which I will introduce now, and then you  
3 will be looking at them again later after the last two  
4 presentations. Again, I just want to emphasize that  
5 we are asking you to consider the overall context of  
6 this risk, and that includes the amount of agent  
7 present in the starting material -- the amount of TSE  
8 infectivity in plasma is believed to be low, if it is  
9 present at all, has not been demonstrated -- and that  
10 deferral of at-risk donors from the U.K., in  
11 particular, limit the number of possible incubating  
12 donors that will be contributing to a plasma pool.

13           In addition, we do have evidence for  
14 clearance by manufacturing processes, and this has  
15 been demonstrated in general for many common  
16 procedures used in plasma derivative manufacturing.  
17 But submission of rigorous and process-specific  
18 studies has been requested by FDA on a voluntary  
19 basis. We have some of these. We certainly don't  
20 have all of these.

21           Next slide. I am just going to introduce  
22 the questions. There are only two, you'll be glad to  
23 know. The first question, which you might feel better  
24 able to give an answer to after the next presentation,  
25 is whether current facility cleaning methods, e.g. the

1 use of solutions of sodium hydroxide or sodium  
2 hypochlorite followed by extensive rinsing cycles, are  
3 adequate to minimize the possibility that an  
4 infectious dose of the vCJD agent may be carried over  
5 from one manufactured lot into the next.

6 Now I realize that I am not showing you  
7 today all of the things that are done in all of the  
8 facilities for all the products. So you may find this  
9 a difficult question to answer in detail.

10 Next slide. The second question is  
11 somewhat like some of your other questions. That is,  
12 are the available scientific data sufficient for FDA  
13 to recommend specific methods for cleaning of  
14 equipment used in the manufacture of plasma  
15 derivatives with respect to TSE clearance or  
16 inactivation?

17 So we are talking about the information  
18 that you have in your papers, your statements, and the  
19 information that you have seen in all of the  
20 introductory lectures or, I should say, presentations.

21 If the answer to this question is yes,  
22 please identify which methods can be recommended. If  
23 the answer is no, please describe what additional  
24 studies might assist in development of such  
25 recommendations.

1           Next slide. The following two talks are  
2 relevant to this issue. Dr. Cristoph Kempf will be  
3 back, this time to talk about typical decontamination  
4 practices for plasma product facilities, not in  
5 reference to TSE but basically what is already being  
6 done.

7           Finally, we will hear about a proposed  
8 PPTA-sponsored collaborative study on inactivation of  
9 TSE agents with sodium hydroxide and sodium  
10 hypochlorite. Thank you very much.

11           CHAIRPERSON PRIOLA: Okay, thank you, Dr.  
12 Scott. Are there any questions for Dr. Scott from the  
13 Committee? Okay, thank you very much.

14           We will move on to the next talk, which is  
15 Dr. Kempf.

16           DR. KEMPF: Thank you. Now as we have  
17 heard, validation is a common procedure, cleaning  
18 validation that has to be done on the equipment.  
19 However, pathogen-specific validation has several  
20 hurdles. May I have the next slide, please.

21           It appears that it has to be done if we  
22 want to do validations on a downscale level, like it  
23 has to be done with virus validations on the process,  
24 which would mean the cleaning process has to be scaled  
25 down. This, in most cases, is absolutely impossible.

1 Physics does not allow it, because rheologic  
2 properties are different on a small scale compared to  
3 a large scale.

4           If you would compare a 3,000 liter tank,  
5 and you would like to scale down as to 1 liter tank or  
6 pipings with one-inch or two-inch diameter to one  
7 millimeter diameter, there is no way you can do this,  
8 and also detection limits from current methods are way  
9 too high to be meaningful.

10           In the next slide, you just want to  
11 illustrate here that downscaling is not always  
12 possible due to rheological properties.

13           Also, the detection limits -- If you want  
14 to study inactivation or removal in a cleaning  
15 relevant way, it would be necessary to be able to  
16 detect something like  $10^{-3}$  infectious units per square  
17 millimeter of surface, which would correspond to about  
18 100 molecules of the surrogate marker PrPsc.

19           Next slide, please. Now what is currently  
20 done, and what do we use? We use cleaning and  
21 sanitizing solutions, several commercial brands. I  
22 just would like to highlight that in most of them you  
23 have alkali sodium hydroxide or you have active  
24 chlorine in it or you have a combination of sodium  
25 hydroxide and sodium hypochlorite.

1           So the two most frequently -- next slide,  
2     please -- used active ingredients are sodium  
3     hydroxide, and this varies between 0.05 to 1 molar.  
4     Temperatures used in cleaning procedures, they go from  
5     4 degrees up to 65, and can be as short as 10 minutes  
6     or go up to several hours in the case mainly of  
7     chromatographic columns, which sometimes is stored in  
8     sodium hydroxide solutions.

9           Sodium hypochlorite is used between 100  
10    and 1,000 ppm, from ambient temperatures to 45  
11    degrees, and durations from one up to 30 minutes.

12           Next slide, please. Now cleaning usually  
13    consists of a prerinsing with tap water followed by a  
14    sanitization either with sodium hydroxide or sodium  
15    hypochlorite or a combination presence of detergent  
16    using this commercially available solutions that I  
17    just showed. That is routinely done between each  
18    batch.

19           Cleaning validation is performed on the  
20    product on contact equipment, and it is done in a way  
21    that the residual total organic carbon is determined  
22    on the swab samples. Swab samples are taken before  
23    and after the cleaning procedure.

24           Next slide, please, which shows you a few  
25    examples, what is used in chromatographic columns,

1 sodium hydroxide from 0.51 molar, 22 degrees for 60;  
2 tanks usually from 0.1 molar, cleaning in place, or  
3 ultra filters from also 0.1 molar up at ambient  
4 temperatures, and cleaning in place, as high as 80  
5 degrees or 60 degrees with sodium hydroxide.

6           Next slide, please. Again, here are  
7 typical cleaning in place procedure. You first rinse  
8 with tap water. then you go with 0.15 molar sodium  
9 hydroxide, 40 degrees, again tap water. Then you use  
10 phosphoric acid, distilled water, and you rinse with  
11 water for injection at 80 degrees.

12           The next slide, please. If you performed  
13 the cleaning validation after such cleaning in place  
14 procedures, those are the typical results that you  
15 get. Precleaning, you can detect total organic  
16 carbon, and post-cleaning you are usually down at the  
17 detection limit. In our case, this is less than 266  
18 parts per million. That's from a swab sample from 100  
19 square centimeters.

20           So you can calculate the reduction factor,  
21 which is approximately 1000-fold or 3 log. We have  
22 yesterday that in some cases it can show cleaning --  
23 or reduction by cleaning up to 4.5 logs. It might be  
24 more. It just depends how dirty you make your initial  
25 start, if you come down to this.

1           The detection limit is placed on  
2 environmental samples taken outside the tank or from  
3 blank -- based on TSE results of the blank. Next  
4 slide, please.

5           If you transform this to manufacturing  
6 process and look what this cleaning validations may  
7 tell you concerning batch to batch segregation, we  
8 have to make assumptions, and I would like to carry  
9 you through some theoretical considerations.

10           If you take a process like IVIg  
11 production, you can separate this process into  
12 different modules. Each module, as we already heard  
13 from Dr. Scott, was also evaluated on its ability to  
14 reduce TSE agent. I am talking about the  
15 manufacturing process and reduction of TSE agent by  
16 different manufacturing steps.

17           So that's the process, how we can divide  
18 it. Next slide, please. You have to make a few  
19 assumptions and look at a few facts. We take that the  
20 cleaning process, according to the total organic  
21 carbon values we obtained from cleaning validation,  
22 reduces the load by 3 logs. This reduction applies  
23 proportional to proteins, including the TSE agent.

24           Next slide, please. Now if you assume  
25 that a production pool would be contaminated with a



1 vCJD donation with one signal, the total load of TSE  
2 agent would be on the order of 5,000 infectious units  
3 or 3.7 log. This is based on the estimation by Brown  
4 that, if any TSE agent would be present in a deceased  
5 person, it would not exceed 20 infectious units per  
6 ml.

7 All TSE agents adhere to the surface of  
8 module one in the production process. We cannot  
9 simulate ways in between. So we have to take the  
10 capacity that the process has to reduce TSE coming to  
11 the final product or we have to assume that 100  
12 percent goes -- sticks to the first module and does  
13 not go three. Everything in between, we have no  
14 values that we could model this situation.

15 Next slide, please. Then we would have  
16 this situation. This vCJD donation would go in the  
17 pool into the first module, leave behind 3.7 log. In  
18 the next batch there is a cleaning in between. It  
19 would be carryover to the second module, but due to  
20 the cleaning, it was reduced by 3 log. So we can  
21 carry this on over this five different modules, which  
22 leaves us at the end with a number that is -8.3 log  
23 that would go into the final product of a given batch.

24 Next slide, please. Now if we take these  
25 values that I just explained and take 2000 liter

1 plasma pool, which results in about 8,000 grams of  
2 IVIg, it would be 3.9 log. Then we would have a  
3 theoretical residual amount of -12.2 log or about 6.3  
4 times 10<sup>-13</sup> per gram of IgG.

5 Now if we -- We should not forget that  
6 these theoretical calculations or these assumptions,  
7 they do not take in account any inactivation that will  
8 occur by the use of sodium hydroxide or hypochlorite  
9 or any other sanitizing agent.

10 Next slide, please, which leaves me with  
11 the conclusion: We believe that the existing  
12 processes in place provide adequate safety to prevent  
13 cross-contamination from one batch to another batch,  
14 which means with the current procedures we have a full  
15 batch to batch segregation.

16 Also, I would remind you what I showed  
17 this morning and we heard also yesterday, that  
18 commonly used sanitization fluids such as sodium  
19 hydroxide or hypochlorite demonstrate the destruction  
20 of the pathogenic agent, TSE. I thank you for your  
21 attention.

22 CHAIRPERSON PRIOLA: Thank you, Dr. Kempf.  
23 Are there any questions from the Committee for Dr.  
24 Kempf? Thank you very much.

25 Our final speaker is Dr. Andrew Bailey.

1 DR. BAILEY: Okay, I'd like to thank the  
2 Committee for the opportunity to present to you a  
3 study which is nearing implementation phase, which is  
4 a study which will be sponsored by the various member  
5 companies of the PPTA and organized through the PPTA.  
6 So first slide, please.

7 So this study will be an investigation of  
8 sodium hydroxide and sodium hypochlorite, but the  
9 study will attempt to be quite a comprehensive  
10 investigation of the inactivation of a mobile TSE  
11 agent using these two commonly used sanitization  
12 agents.

13 Next slide, please. So the purpose of the  
14 study is to look at the influence of various factors  
15 like the concentration of the agent, temperature,  
16 time, on the effectiveness of TSE inactivation and,  
17 from that, to establish a database of inactivation  
18 data which can then be used for more company-specific  
19 cleaning and sanitization studies for removal of prion  
20 agents.

21 Next slide, please. I think it is  
22 important to emphasize again the cleaning and  
23 sanitization is a two-stage process, and that prior to  
24 sanitization the equipment is cleaned either by  
25 rinsing with solutions containing or without

1 detergents in order to remove residual protein, lipid,  
2 etcetera.

3           That is an important stage, because by  
4 reducing the effective protein load for a number of  
5 these sanitization agents, you improve the efficacy  
6 and the robustness of the inactivation potential by  
7 these particular agents.

8           Next slide, please. So why have we  
9 selected hypochlorites and sodium hydroxide? Really,  
10 these are two of the most commonly used sanitization  
11 agents within industry. So as a starting point, these  
12 appear to be the most effective ones to select, and  
13 there is some data already available which I will show  
14 you in the next slides which support that these agents  
15 do have a capacity to inactivate prions.

16           Firstly with hypochlorites, generally just  
17 looking at its mechanism, it is an agent which is  
18 highly reactive against all protein material. It  
19 results in modification of proteins, hydrolysis of the  
20 peptide bonds, and as I've indicated, already has some  
21 demonstrated potential for prion inactivation.

22           Next slide, please. Hydroxide -- we  
23 probably have a more extensive database, and already  
24 with viruses we know that at concentrations of 0.1  
25 molar outputs, it is effective for virus inactivation.

1 The mechanism is, to a certain extent, understood, and  
2 it involves both protein denaturation as well as a  
3 more slower hydrolysis of the protein to break the  
4 protein up, and there is data also for this reagent  
5 that it is effective against the TSE agents.

6 Next slide, please. Now this is a table  
7 which just summarizes a large proportion of the  
8 available data we have for hydroxide, and it shows  
9 increasing concentrations of hydroxide as you go down  
10 the table, different strains of agent which were used  
11 in these studies, and some of the conditions.

12 What you can see from this table is that,  
13 really, a comprehensive picture or a conclusive  
14 picture of what conditions yield complete inactivation  
15 is not very clear to see. There are some conditions  
16 at lower concentrations where you get complete  
17 inactivation, other ones even at quite high  
18 concentrations where you don't get complete  
19 inactivation. It doesn't appear to be related to  
20 strain.

21 So we have here basically a dataset which  
22 is quite difficult to get a clear interpretation.

23 Next slide, please. Very much the same is  
24 true for hypochlorites, and there are some conditions  
25 at quite low concentrations which have yielded

1 complete inactivation of the prion agent, others which  
2 have not yielded complete inactivation. So a similar  
3 picture emerges there.

4 Next slide, please. Before I get into the  
5 actual study, I would just like to present some  
6 considerations which, I think, Bob Rohwer actually  
7 presented in quite some detail yesterday.

8 That is that, with the kinetics of  
9 inactivation, it is important to consider that these  
10 kinetics tend to be biphasic. You tend to have a very  
11 rapid initial rate of inactivation, and this is  
12 followed by a more slower rate of inactivation for  
13 residual infectivity.

14 This is true both for viruses and for  
15 TSEs. We have seen similar behavior, for example,  
16 with parvoviruses with inactivation by hydroxide. The  
17 aim of the industry study really is to try and  
18 investigate both of these phases to get a better  
19 understanding of the initial kinetics and the slower  
20 phase inactivation as well.

21 Next slide, please. So getting into the  
22 study design, we will be using the 263 Hamster scrapie  
23 strain. This is a model that's been extensively used  
24 in other TSE studies. It has a validated Western blot  
25 assay available to look at the surrogate marker for

1 prion PrPres or PrPsc, and also it is quite easy to do  
2 confirmation of these studies by bioassay.

3 The slide material we will be using will  
4 be a 10 percent brain homogenate, but what is still  
5 under discussion is the final concentration of brain  
6 homogenate that will be present in the inactivation  
7 solutions.

8 As I presented on the previous slide, the  
9 protein load by the time you get to sanitization is  
10 probably quite low, and we want to make sure that that  
11 is adequately modeled in the study.

12 Next slide, please. So this just  
13 tabularizes the various conditions that we are looking  
14 to investigate in the study. For both hydroxide and  
15 hypochlorite, we are looking to investigate three  
16 different concentrations of the reagent, covering the  
17 most commonly used concentrations used within  
18 industry.

19 Three different temperatures will be  
20 investigated, and for the various conditions we are  
21 looking to analyze samples with different time points  
22 following inactivation in order to try and get a  
23 better understanding of the kinetics.

24 Now although every box here on the table  
25 is taped, the samples where we are selecting for

1 titration will depend on results from the modular  
2 approach to the study, and I will outline this modular  
3 approach in the following slides.

4           Next slide, please. So the first stage of  
5 the study will be a Western blot investigation of the  
6 initial inactivation kinetics. This will be performed  
7 using the least stringent conditions, i.e. the lowest  
8 concentrations of either hydroxide or hypochlorite at  
9 the lower temperatures.

10           The reason we are selecting these is you  
11 saw some data earlier today which indicated that  
12 already at these lower concentrations we see a very  
13 rapid loss of PrPsc signal in the Western blot assay.  
14 So, really, if we can establish that at the least  
15 stringent concentration of reagent, least stringent  
16 conditions, then we can reasonably expect that under  
17 the more stringent conditions we are going to have  
18 equivalent rates of inactivation, if not slightly  
19 better.

20           The second stage of the assay will be a  
21 confirmation in animal bioassay. This will serve to  
22 confirm some of the initial inactivation kinetics that  
23 we observed with the Western blot studies, but also to  
24 look at a determination of the final inactivation  
25 potential after extended incubation periods, maybe of



1 30 to 60 minutes.

2 Next slide, please. So in terms of the  
3 Western blot studies, as I've indicated, we already  
4 have data that, at these least stringent  
5 concentrations of reagents, we already have rapid loss  
6 of signal, and this will be confirmed prior to  
7 proceeding to the bioassay.

8 As I've indicated, once we have  
9 demonstrated that we do have this initial rapid loss  
10 of signal, we are not going to proceed with any  
11 further Western blot investigations under more  
12 stringent inactivation conditions.

13 Next slide, please. The bioassay  
14 experiments, preliminary experiments will be performed  
15 to determine the toxicity of the samples prior to  
16 titration so that we can determine the appropriate  
17 dilution that we can use for titration.

18 The samples that will be analyzed will be  
19 analyzed by serial dilution, so that we can precisely  
20 identify the titer of the remaining residual prion in  
21 the samples. This will not be a study aimed only at  
22 determining whether we get complete inactivation or  
23 not, but we are looking to determine the actual titer  
24 of scrapie in each of the samples.

25 Next slide, please. Once we have

1 confirmed the initial kinetics, rapid kinetics of  
2 inactivation in the bioassay, the aim is to -- with  
3 select additional samples from the more extended time  
4 points, 15, 30 or 60 minutes -- As I've already  
5 indicated, we would not expect to see significant  
6 differences in the initial phase -- initial kinetics  
7 of inactivation. But what we would be looking to do  
8 is, with extended conditions, maybe with more  
9 stringent concentrations of the reagent or stringent  
10 conditions for inactivation, say, at higher  
11 temperatures, try to identify conditions which maybe  
12 would lead to complete inactivation of the prion  
13 reagent.

14           One of the things we will try and  
15 incorporate into the study, and this is something that  
16 has been the subject of discussion for some years, is  
17 the possibility of extended observation times of some  
18 of the animals. What has sometimes been observed is  
19 following treatment with hydroxide that you can extend  
20 the incubation period for the disease, and we are  
21 looking to possibly investigate that by extended  
22 observation of the animals out beyond, say, the normal  
23 200-day incubation period that you would use for the  
24 hamster animal bioassay.

25           So the final slide, please. So just to

1 conclude, the study will contribute, we believe, quite  
2 significantly to our current understanding of how  
3 factors like temperature, concentration, and time  
4 impact on the inactivation of these agents by sodium  
5 hydroxide and hypochlorite.

6           It will allow correlation of the Western  
7 blot versus the bioassay for the use of the Western  
8 blot in inactivation titer studies. This is something  
9 that was discussed earlier in some of the roundtable  
10 committee discussions. So that will certainly  
11 contribute to our understanding of how good PrPsc is  
12 as a surrogate marker for prion inactivation studies.

13           Finally, it will provide a solid basis for  
14 the companies to go on and do more company-specific  
15 cleaning studies, maybe combined cleaning with  
16 sanitization, which is then the ideal model, I think,  
17 for the kind of cleaning and sanitization procedures  
18 that we use routinely for our plasma products.

19           So thank you for your attention.

20           CHAIRPERSON PRIOLA: Thank you, Dr.  
21 Bailey. Are there any questions from the Committee  
22 for Dr. Bailey? Oh, Dr. Gambetti.

23           DR. GAMBETTI: Just a quick question. Why  
24 do you use Western blot for detection, since there are  
25 claims that ELISA is probably more sensitive in

1 detecting PrPsc? In other words, I would try to use  
2 the detection method that is the most sensitive  
3 available, so you can actually compare that detection  
4 with the bioassay.

5 DR. BAILEY: We have a lot of history with  
6 the Western blot. It's been used for prion removal  
7 studies or the plasma manufacturing processes. So that  
8 was one of the reasons in considering it. But one of  
9 the nice things about the Western blot when you  
10 compare it, for example, with the ELISA is that, when  
11 you have a signal, it is very diagnostic and easy to  
12 interpret with the Western blot; because you have a  
13 band in a very specific position in terms of its  
14 molecular weight, which you don't always have that  
15 high level of confidence with the ELISA assay, for  
16 example.

17 My understanding is that the difference in  
18 sensitivity between the assays is not so great that it  
19 would significantly impact on what we are trying to do  
20 here in terms of look at the initial inactivation  
21 kinetics with the Western blot. But really, the  
22 bioassay, I think, is going to give us the most  
23 comprehensive datasets.

24 The investigations with Western blot  
25 really are just to allow us to identify what the most

1 appropriate conditions would be to go in with in the  
2 bioassay system. From an ethical standpoint, there  
3 are considerations there that we can reduce the number  
4 of animals that we need to use in these kind of  
5 bioassay systems, which has constantly been encouraged  
6 by the ethical committees.

7 CHAIRPERSON PRIOLA: Dr. Bailar?

8 DR. BAILAR: How did you pick these  
9 particular concentrations, given that you could  
10 certainly have gone lower and, I presume, could have  
11 gone a bit higher?

12 DR. BAILEY: These are routinely the kinds  
13 of concentrations that are used in manufacturing  
14 procedures. So they were selected based on  
15 information gathered from the various member  
16 companies.

17 DR. BAILAR: So in the end, you can look  
18 at what is now being done?

19 DR. BAILEY: Yes.

20 DR. BAILAR: But you would have to gather  
21 additional data if you wanted to look at possible  
22 other concentrations outside this range?

23 DR. BAILEY: Yes. I mean, we had to start  
24 somewhere, and this seemed the best place to start.  
25 But, yes, if the data we get from this doesn't

1 demonstrate that some of these conditions are  
2 effective, then we may need to do additional work.

3 DR. BAILAR: I think that is fine to start  
4 with this, as long as you recognize that you may need  
5 to extend the range.

6 CHAIRPERSON PRIOLA: Thank you very much,  
7 Dr. Bailey.

8 We will move on to the open public hearing  
9 portion of the afternoon.

10 DR. FREAS: We have received one request  
11 to speak in the open public hearing portion for this  
12 remaining Topic 4, and that is Dr. Benjamin Herbage,  
13 if you would come to the microphone.

14 We ask all our speakers in these open  
15 public hearings to comment upon any financial  
16 affiliations they may have with the issue they wish to  
17 comment upon.

18 DR. HERBAGE: Hi. I would like first to  
19 thank the Committee. I am Benjamin Herbage, and I am  
20 from the company SYMATESE Biomateriaux. What I would  
21 like to present is the European industrial example of  
22 BSE risk management policy for implantable collagen of  
23 bovine origin.

24 Next slide, please. As you know bovine  
25 collagen is present in many implantable devices,

1 animal study products, grafts, injectable collagen for  
2 aesthetic purpose, tissue -- and other products.  
3 SYMATESE Biomateriaux as a collagen manufacturer has  
4 been supplying the industry for European medical  
5 devices for over 15 years.

6 Next slide, please. But as you know, when  
7 we speak about bovine products, we have to take into  
8 consideration the BSE issue, and for European  
9 manufacturer it is even more critical, as the disease  
10 appeared in the U.K. in the mid-Eighties, and in  
11 France at the beginning of the Nineties.

12 So authorities were prone to issue the  
13 guidance and regulation regarding the possibility to  
14 transmitting -- of transmitting BSE or TSE agent via  
15 medical device. So example of the standards are the  
16 following. European standards for use of animal  
17 tissue in medical device.

18 We also have a specific chapter in  
19 European Pharmacopoeias, and for the specific case of  
20 France you have to submit a file to committee, a  
21 French committee, Pharmaco-Biological Safety, to  
22 address the risk of transmission of BSE into a patient  
23 using a medical device.

24 Next. So the European approach to BSE  
25 management of risk is relying on three different

1 aspects, and it's starting from the risk analysis, of  
2 course, and after that we have two main issues. The  
3 first issue is the sourcing conditions, and with the  
4 chosen tissue, for example, and control of the animal  
5 sourcing; and second main important part is the  
6 capacity of production process to inactivate and/or  
7 remove prions. To assure that, it's to validate the  
8 capacity of the prediction process.

9           So as a bovine collagen manufacturer,  
10 SYMATESE Biomateriaux, always be complied with state  
11 of the art risk control.

12           Next slide, please. So what does that  
13 mean for us in terms of sourcing? We have chosen to  
14 work with calf hides as long as the hides has never  
15 been an infectious tissue, never been -- infectivity  
16 has never been detected into hides; and we have chosen  
17 to work with animals that are very young, younger than  
18 six months old. That has also reduced the risk of the  
19 presence of the prions into that kind of animals.

20           We have a control of crossability. In  
21 fact, we have a crossability control of the animals,  
22 and we have also specification for feeding. That  
23 means we have a specification that a calf needs to be  
24 fed with meat diet only, and we, of course, use  
25 approved slaughterhouse.



1           One point that is particularly of  
2 importance for us is the control of the slaughtering  
3 condition to avoid the possibility of cross-  
4 contamination between tissue of different level of  
5 infectivity. That means for us the use of killing  
6 with intracranial penetration and the removal of the  
7 skin before the cutting -- the opening of the carcass.

8           Before April 2001 we were working with a  
9 French sourcing, and we made a move to U.S. sourcing.  
10 So we are now working with U.S. hides.

11           Next. From the side of the process, we,  
12 of course, assure the dressability of the product from  
13 the hide to the patient, and in our process we include  
14 -- we have included the steps that are known to reduce  
15 or inactivate or remove BSE/TSE contaminations, and  
16 that are mainly different sort of treatments -- on the  
17 product we get, three different treatments, sort of  
18 treatments, and two treatments are one on dermis and  
19 one on the collagen suspension.

20           We have validated the capacity of the  
21 production process to inactivate or remove prions  
22 according to European guideline, and it was an animal  
23 study. It was using a strain of scrapie, and it has  
24 shown that the part of the process investigated had  
25 the capacity to remove the infectivity with 5.3 log

1 reduction. So this was just including one molar sort  
2 of treatment and not the first two treatments.

3 Next slide. So along with WHO control of  
4 sourcing and the processing, we have cleaning  
5 operation that are intended to clear equipment of the  
6 possibility of contamination. That means that we have  
7 batch to batch cleaning with an initial cleaning to  
8 remove proteins, and then treatment, different kind of  
9 treatment, different of the equipment, and followed by  
10 rinsing.

11 Prior to sourcing change we have made the  
12 cleaning of all equipment that were in contact with  
13 collection, according to the WHO recommendation, and  
14 that's what we found. That are the same as the French  
15 Minister recommendation for reusable medical device.

16 That means we choose to use 1 molar sort  
17 of treatment on the equipment, and we have also  
18 validated the capacity of the cleaning operation to  
19 remove proteins.

20 So in summary, the compliance with the  
21 European standards in the use of scientifically based  
22 BSE inactivation methods, low restriction --  
23 collection in Europe. So in conclusion, I will maybe  
24 ask the Committee to maybe think about promoting the  
25 collaboration with other countries like Europe and

1 other countries in order to maybe increase the  
2 collaboration in that field to go to the origin of  
3 harmonization in practice for industry.

4 So I thank you for your attention.

5 DR. FREAS: Thank you for your comments.

6 Is there anyone else in the audience who  
7 would like to address the Committee at this time?  
8 Seeing none, that will end our open public hearing for  
9 this meeting.

10 CHAIRPERSON PRIOLA: We are a little bit  
11 behind time, and we all realize that a lot of you have  
12 planes to catch, who actually live in places that are  
13 accessible from the East Coast on late afternoon  
14 flights, where I don't. So I'd like to get to the  
15 presentation of the Topic 4 questions. So if we could  
16 get those put up, that would be great.

17 The first part of Topic 4 regards the  
18 gathering of ocular tissue from donors that have been  
19 discovered to have TSE or possible TSE. And these are  
20 -- Some of these are votable questions. Some of the,  
21 the FDA is just asking for comments.

22 So the first question is: Considering all  
23 that we have heard, the current practices, the  
24 conventional methods of decontamination, the other  
25 precautions that are in place, that with regard to

1 their covering and processing of ocular tissue from  
2 donors later discovered to have TSE or possible TSE:  
3 Part of this question is does the Committee believe  
4 that surgical instruments used in recovery and  
5 processing should be destroyed by incineration, if  
6 practical? That is in a case of an individual who had  
7 TSE or possible TSE.

8           So it's open to discussion and/or to vote,  
9 however the Committee chooses to go. Any comments?  
10 Yes, Dr. Wolfe?

11           DR. WOLFE: Well, based on the now  
12 described as nooks and crannies discussion, I think  
13 that for the rare instance where this happens there  
14 should be no question, it should be destroyed, period.  
15 I don't think there is any -- There is no argument  
16 against that. It's not going to happen very often,  
17 but it's not worth any kind of chance, if it does.

18           CHAIRPERSON PRIOLA: Dr. Bailar?

19           DR. BAILAR: I think I agree entirely with  
20 Dr. Wolfe. As I understand it, the various  
21 instruments are straightforward, inexpensive, easily  
22 replaceable. I'm not aware of anything that would  
23 cause any difficulty and, given the potential risks,  
24 I think the thing to do is destroy them as quickly and  
25 as effectively and as completely as possible.

1 CHAIRPERSON PRIOLA: Dr. Gambetti.

2 DR. GAMBETTI: Maybe it would be helpful  
3 to know what is practical, a definition of practical  
4 in this context, or what is practical and what is  
5 unpractical?

6 CHAIRPERSON PRIOLA: I think Dr. Hogan can  
7 probably.

8 DR. HOGAN: Sorry. I think I can shorten  
9 this a lot. First of all, how much does one of the  
10 sets cost, Ellen?

11 MS. HECK: About \$500.

12 DR. HOGAN: So these are \$500 a set, and  
13 I can tell you that the current practice in the eye  
14 banks is, if there is any question at all, these are  
15 incinerated. So the answer to this question, I don't  
16 think -- I think it's moot. Am I correct?

17 CHAIRPERSON PRIOLA: Well, then we can  
18 just go ahead and vote for the record, if there are no  
19 objections from the other members of the Committee,  
20 because it does seem pretty straightforward.

21 So let's call for a vote on Question 1-A.  
22 Oh, sorry, Dr. Bailar.

23 DR. BAILAR: Are the words "incineration  
24 of" any special significance here? Are we saying  
25 that's the way it has to be done?

1                   CHAIRPERSON PRIOLA: Well, that's the way  
2 it reads to me, and it's already done. So --

3                   DR. HOGAN: That's the way it is done.  
4 It wouldn't be -- There wouldn't be no attempt to  
5 decontaminate them.

6                   DR. BAILAR: Would this have the same  
7 practical meaning if you took out those two words?

8                   DR. HOGAN: If you took out those words,  
9 it would still happen.

10                  DR. BAILAR: Okay.

11                  CHAIRPERSON PRIOLA: Let's go ahead and do  
12 the vote. Dr. Solomon? Okay, so the word  
13 "incinerate" was in the WHO recommendation.

14                  DR. HOGAN: I would like to just be sure  
15 that I'm correct on this point. If I could just ask  
16 Dr. Patricia Aiken, am I wrong in any way that these  
17 would be, in fact, incinerated?

18                  DR. AIKEN-O'NEAL: I don't know.

19                  DR. HOGAN: This is Patricia Aiken-O'Neal,  
20 who is President of the Eye Bank Association of  
21 America.

22                  DR. AIKEN-O'NEAL: I don't know that our  
23 current medical standards require that. Do they,  
24 Ellen? I know that most eye banks do it.

25                  MS. HECK: The current standards don't

1 speak specifically to it. The problem would be -- and  
2 I just want to clarify the problem. It's not a real  
3 obstacle. But the way that eye banking instruments  
4 are currently processed, it would probably mean an eye  
5 bank would destroy all of the instruments in their eye  
6 bank, because they would not have been able to track  
7 a specific pair of scissors to a specific case.

8           So we're talking probably about \$7-\$10,000  
9 worth of instruments that would be destroyed.

10 However, this is if a case is reported to us, and this  
11 is not something that has happened in every eye bank  
12 would then feel it incumbent to do that, I think, and  
13 would generally turn them over according to the  
14 recommendations of the institution who handles their  
15 disposable waste.

16           CHAIRPERSON PRIOLA: So because this would  
17 be a rare event, impacts on this question in terms of  
18 having to dispose of all of those instruments at one  
19 time?

20           MS. HECK: That would be my feeling,  
21 because we have not had to do it. We would never take  
22 tissue on someone who was a known risk. We simply  
23 don't do it, and it would be a reporting of a risk  
24 after the fact. The likelihood that the instruments  
25 could have been commingled by that chance would be

1 fairly high, and so all of the instruments would  
2 subsequently have to be disposed of unless there is  
3 some cycling of how many times it's been disinfected  
4 during that process that you felt was adequate.

5 DR. HOGAN: Let me just say that, if the  
6 Committee votes yes on this, I'm sure that the medical  
7 standards will be brought to that level.

8 CHAIRPERSON PRIOLA: Dr. Bracey?

9 DR. BRACEY: Yes. Somewhere in all the  
10 materials that we read, I think we read about some  
11 cases of exposure where there were not standards that  
12 required destruction of such materials, but the  
13 hospitals all opted to destroy them. So I think, you  
14 know, this thing is pretty clear.

15 CHAIRPERSON PRIOLA: Let's go ahead and  
16 vote. Bob, do you have a brief comment?

17 DR. ROHWER: Yes, I do. It's very painful  
18 to see instruments thrown away that are a lot better  
19 than the ones we use in the lab, and I wish there was  
20 an option for people to donate these instruments to  
21 TSE laboratories instead of throwing them away.

22 CHAIRPERSON PRIOLA: Actually, that's a  
23 good idea.

24 DR. HOGAN: Be careful what you wish for.

25 CHAIRPERSON PRIOLA: Let's go ahead and



1 call the vote then on this.

2 DR. FREAS: I'll be going around the

3 table. Dr. Gambetti?

4 DR. GAMBETTI: Yes.

5 DR. FREAS: Dr. Bracey?

6 DR. BRACEY: Yes.

7 DR. FREAS: Dr. Ferguson?

8 DR. FERGUSON: Yes.

9 DR. FREAS: Dr. Hogan?

10 DR. HOGAN: Yes.

11 DR. FREAS: Dr. Khabbaz?

12 DR. KHABBAZ: Yes.

13 DR. FREAS: Dr. Edmiston?

14 DR. EDMISTON: Yes.

15 DR. FREAS: Dr. Priola?

16 CHAIRPERSON PRIOLA: Yes.

17 DR. FREAS: Ms. Walker?

18 MS. WALKER: Yes.

19 DR. FREAS: Mr. Rice?

20 MR. RICE: Yes.

21 DR. FREAS: Dr. Wolfe?

22 DR. WOLFE: Yes.

23 DR. FREAS: Dr. Stroncek?

24 DR. STRONCEK: Yes.

25 DR. FREAS: Dr. Bailar?

1 DR. BAILAR: Yes.

2 DR. FREAS: There are 12 voting people at  
3 the table. They all voted yes, and I would like to  
4 get their comments from the industry rep.

5 DR. PETTEWAY: Yes.

6 DR. FREAS: It's unanimous, yes.

7 CHAIRPERSON PRIOLA: Now Part B of this  
8 question is if destruction of instruments is not  
9 practical. So I'm not sure we have to address Part B,  
10 because we've just said it is practical. If there is  
11 no disagreement, we can move on to 1-C.

12 Okay. Well, 1-C is related to 1-B. Does  
13 anybody have any comment on the specific methods  
14 listed in the WHO guidelines? All right. I don't see  
15 any comment from the Committee, which sort of takes  
16 care of 1-D, if I'm reading this correctly, by voting  
17 Yes on 1-A.

18 DR. HOGAN: As I read it, it gets rid of  
19 all of question 1.

20 CHAIRPERSON PRIOLA: Yes, I'm just going  
21 down here. Perhaps we should go on to question 1. I  
22 think, yes, the other caveats in question 1 all relate  
23 to part A, unless there is any objection. Is there  
24 any objection by FDA to that? Okay.

25 All right. So question 2: With regard to

1 the recovery and processing of ocular tissue, should  
2 additional decontamination procedures discussed in  
3 question 1 be used routinely; that is, even when TSE  
4 has not been suspected?

5 Comments and discussion from the  
6 Committee?

7 DR. HOGAN: I'll just make a brief comment  
8 here. I have been working in this area now for about  
9 20 years, and that is the infectivity specifically of  
10 the eye, and I am using this -- looking at the  
11 available scientific literature, which we have to this  
12 date, I really believe that the cornea is not very  
13 infective.

14 It is infectious, but it's not very  
15 infectious. Some of the human data that we have is  
16 flawed. There's three cases that we have of  
17 transmission. The one from Japan never presented any  
18 data at all on the donor, zero. So we don't even know  
19 that that donor had Creutzfeldt. All we know is that  
20 the index case had a corneal transplant. That's not  
21 enough for me.

22 The data that say that corneas from humans  
23 have been transmitted comes from Paul Brown, and there  
24 were four transmission attempts involved. Only one of  
25 them used cornea only. All the other three patients

1 had -- it was either whole eye or retina and lens or  
2 cornea and lens, which -- The lens is more infectious  
3 than the cornea.

4           So there's about 105 logs of infectivity  
5 based on incubation period titers to the cornea as  
6 opposed to 108 in the retina. So the cornea is not as  
7 bad.

8           Now the procedures that are used in the  
9 eye bank, even if a whole eye is a nuclei, not just  
10 the front of the eye, you never go behind the lens.  
11 You are always in front of the lens when you harvest  
12 a cornea. So the more infectious portions of the eye  
13 are not entered.

14           So you have a potentially infectious piece  
15 of tissue there, but it would be at the low end of  
16 things. So first of all, you are screening. So you  
17 are getting rid of a lot of patients that might have  
18 potential. You are already using barrier techniques.  
19 You are already using aseptic techniques.

20           In my sense, I don't think adding  
21 routinely two hours or one hour of sodium hydroxide to  
22 the benches when you're already using barrier drapes  
23 is going to add much. It is going to significantly  
24 slow down the acquisition of material.

25           On one weekend some eye banks can have

1 four or five corneas that they are trying to do, and  
2 it would mean a lot of time, a lot of labor, in  
3 between these cases. That's my take.

4 CHAIRPERSON PRIOLA: Dr. Khabbaz?

5 DR. KHABBAZ: Yes. If I understood  
6 correctly, what's being done is perhaps, even though  
7 least effective, is one procedure that follows under  
8 the WHO recommended decontamination methods, meaning  
9 134 degrees Centigrade at 18 minutes. So it is a  
10 recommended procedure by experts.

11 The one thing that I would recommend,  
12 though, if I heard correctly, is to try to tighten the  
13 screening procedure. There was some discussion of  
14 some states allowing actually inclusion of patients  
15 that should be excluded, based on history.

16 CHAIRPERSON PRIOLA: Yes, history should  
17 be taken at least. Oh, Dr. Solomon?

18 DR. SOLOMON: What they are doing  
19 currently, the 134C for 18 minutes is the least  
20 effective of the six -- The method that is currently  
21 being used, the 134C for 18 minutes is the least  
22 effective of the six methods used, and that is why one  
23 of the questions in subparts of question 1 asked would  
24 a procedure that involved sodium hydroxide or sodium  
25 hypochlorite -- would that be necessary to have an

1     adequate procedure?

2                   CHAIRPERSON PRIOLA: Well, if I recall, I  
3     made some notes when Ms. Heck was talking, and there  
4     were multiple steps to the procedures used, at least  
5     to sterilize these instruments, including keeping them  
6     moist, steam autoclaving, recleaning, disinfecting,  
7     right packaging, reautoclaving.

8                   All of those autoclavings -- are they at  
9     134 degrees for 18 minutes or is it just the final  
10    one? I was a little confused there.

11                   MS. HECK: There's some variation, I  
12    think, among banks currently. The first autoclaving  
13    is at 134 for -- I think we do use 18 minutes, and the  
14    last one is at 121 for 30 minutes.

15                   CHAIRPERSON PRIOLA: And that first one is  
16    on moist instruments?

17                   MS. HECK: Yes. The first one is done  
18    usually in a pan with a small amount of water still  
19    remaining on the instruments in the pan during the  
20    sterilization.

21                   CHAIRPERSON PRIOLA: So I would think  
22    that's pretty extensive and even close to the WHO  
23    recommendations, even if it's one of the less  
24    effective ones.

25                   MS. HECK; The disinfections that are used

1 are commonly used in sterilizing instruments in the OR  
2 like Cydex and all those other subsequent treatments.

3 CHAIRPERSON PRIOLA: Other comments? Dr.  
4 Edmiston?

5 DR. EDMISTON: I have to add my support to  
6 these comments. Actually, I was not aware of how  
7 complete the process is in the eye banks. I have to  
8 give you a gold star from the infection control  
9 perspective, and I really think you are above and  
10 beyond the call in terms of screening the patients,  
11 and then the evidence has been projected about  
12 actually barriering the infectivity by virtue of the  
13 fact you're not entering the eye proper. I'd have to  
14 support the procedures in place.

15 CHAIRPERSON PRIOLA: Other comments or  
16 discussion? Should we call for a vote then on  
17 question 2? So with regard to the processing and  
18 recovery of ocular tissue, should additional  
19 decontamination procedures be used routinely even when  
20 TSE has not been suspected?

21 DR. FREAS: Dr. Gambetti?

22 DR. GAMBETTI: No.

23 DR. FREAS: Dr. Bracey?

24 DR. BRACEY: No.

25 DR. FREAS: Dr. Ferguson?

1 DR. FERGUSON: No.

2 DR. FREAS: Dr. Hogan?

3 DR. HOGAN: No.

4 DR. FREAS: Dr. Khabbaz?

5 DR. KHABBAZ: No.

6 DR. FREAS: Dr. Edmiston?

7 DR. EDMISTON: No.

8 DR. FREAS: Dr. Priola?

9 CHAIRPERSON PRIOLA: No.

10 DR. FREAS: Ms. Walker?

11 MS. WALKER: No.

12 DR. FREAS: Mr. Rice?

13 MR. RICE: No.

14 DR. FREAS: Dr. Wolfe?

15 DR. WOLFE: No.

16 DR. FREAS: Dr. Stroncek?

17 DR. STRONCEK: No.

18 DR. FREAS: Dr. Bailar?

19 DR. BAILAR: No.

20 DR. FREAS: There were 12 voting people

21 who just voted. And now our industry comments?

22 DR. PETTEWAY: No.

23 DR. FREAS: Unanimous, no.

24 CHAIRPERSON PRIOLA: I guess we can go on

25 to question 3, which is a variation of the previous



1 two questions. That is: Should similar  
2 decontamination procedures be used for instruments and  
3 surfaces used to recover and process other tissues  
4 with a low risk of TSE infectivity that are derived  
5 from cases of a known or suspected TSE?

6 So this has to do with low risk tissues  
7 from a patient with or suspected of having TSE. So  
8 this gets, I think, in part to what Dr. Hogan was  
9 approaching. Is that right? With the removal of the  
10 cornea versus the lens versus --

11 DR. HOGAN: Right. I'm not sure that this  
12 question means other ocular tissue, because there is  
13 no other ocular tissues that are utilized. I assume  
14 you mean other like kidneys or something else like  
15 that. But I'm not sure we regulate that.

16 DR. SOLOMON: That's correct. I meant  
17 musculoskeletal tissue, skin, those other types of  
18 tissues other than ocular tissue.

19 DR. HOGAN: And my sense is we've already  
20 talked about a relatively high risk tissue, as far as  
21 we know, compared to those others. But most hospitals  
22 are going to incinerate those instruments, pretty much  
23 regardless of how much they cost, in musculoskeletal.

24 So we haven't talked yet about other  
25 decontamination procedures other than incineration.

1 Maybe you want to bring that up.

2 CHAIRPERSON PRIOLA: So I want to make  
3 sure I understand then. This question doesn't refer  
4 specifically to ocular tissue. This is now a very  
5 general, every other tissue in the -- low risk tissue  
6 in a person? Okay. Sorry, Dr. Bailar?

7 DR. BAILAR: And I assume this applies  
8 when the suspicion of TSE arises after the tissues  
9 have been harvested?

10 CHAIRPERSON PRIOLA: I'm sorry.

11 DR. BAILAR: I assume that this question  
12 applies when the suspicion of TSE applies -- comes up  
13 after the tissues have been harvested? What do you go  
14 back and do?

15 CHAIRPERSON PRIOLA: Dr. Khabbaz?

16 DR. KHABBAZ: It would have to be, because  
17 you wouldn't -- tissues from patients with TSE.

18 CHAIRPERSON PRIOLA: Dr. Bracey?

19 DR. BRACEY: Yeah, these are low risk  
20 tissues, but I guess the question that comes to my  
21 mind is I really don't know the practices of those  
22 other banks. We've had a fairly elaborate discussion  
23 of what the eye banks do, but not really what the  
24 tissue banks do, the other tissue banks.

25 DR. HOGAN: Well, skin is considered a low

1 risk tissue, and Dr. Heck also works in skin  
2 harvesting. Maybe she can help us with that.

3 CHAIRPERSON PRIOLA: Yes, Ms. Heck?

4 MS. HECK: Thank you. I also -- Our  
5 facility is a skin and bone bank, and we have not  
6 customarily sterilized instruments by the higher  
7 temperatures. I have to make you aware that the  
8 dermatome, for instance, could not withstand that.  
9 It's an instrument that would not withstand some of  
10 the treatments that are proposed with sodium hydroxide  
11 or hypochlorite, or the higher level of sterilization.

12 Now we do go through the decontamination  
13 of cleaning regimes and removing gross contamination,  
14 and then sterilizing. But at the present time, to the  
15 best of my knowledge, no one is doing the same  
16 rigorous sterilization that we are performing with the  
17 eye banking instruments on osteotomes or dermatomes.

18 DR. HOGAN: So can I take that comment to  
19 mean that, if you had a case of Cruetzfeldt that you  
20 had used a dermatome on, that you couldn't  
21 decontaminate it. So you would have to incinerate it?

22 MS. HECK: I think that that would be a --  
23 Yes, that would be a consideration, Dr. Hogan. The  
24 parts of the dermatome which came in contact with the  
25 patient, meaning those parts other than the motor,

1 would probably have to be incinerated.

2 Again, this has not been a situation which  
3 we have faced, I'm giving you an opinion based on what  
4 I think would happen, not what we have any data to  
5 support, because we haven't faced it, but we do do  
6 similar screening for tissue retrieval in bone and  
7 skin.

8 CHAIRPERSON PRIOLA: Dr. Edmiston?

9 DR. EDMISTON: Keep in mind, we had a  
10 discussion similar to this yesterday regarding high  
11 risk patients with low risk tissues and that the  
12 option -- There is an option or a number of options,  
13 but one of the options in the CDC guideline is that  
14 those instruments can be reprocessed within the  
15 hospital, and quite often that does occur.

16 CHAIRPERSON PRIOLA: And that's based on  
17 the low risk -- the low infectivity in the tissue.

18 DR. EDMISTON: Right.

19 CHAIRPERSON PRIOLA: Yes, Dr. Schoneburg?

20 DR. SCHONEBURG: I think it might be  
21 useful to review what a low risk tissue is. I've  
22 always regarded skin as basically a no risk tissue.  
23 You have a list, I know.

24 CHAIRPERSON PRIOLA: I've got the list --  
25 or Dr. Solomon?

1 DR. SOLOMON: I was very loose with the  
2 word low. What I meant by low is anything that's not  
3 high. So that would include medium, low and no  
4 detectable.

5 CHAIRPERSON PRIOLA: And this list of  
6 tissues is -- Dr. Gambetti?

7 DR. GAMBETTI: I think here we have to  
8 keep in mind that we are dealing with a moving target,  
9 because tissues that were like the skeletal muscle or  
10 even the spleen in sporadic CJD that were considered  
11 to be essentially clear of prions, now with a better  
12 method of detection with more frequent full autopsies  
13 and, therefore, analysis of these tissues in more  
14 cases of sporadic CJD, prions are detected also in  
15 these tissues occasionally.

16 We know also that the spleen is now a  
17 target organ even in experimental scrapie after  
18 intracerebral injection. So we are dealing with a  
19 moving target. These tissues are -- The probability  
20 that prions, infectious prions, exist in these tissues  
21 are increasing, and already have increased.

22 In our practice, we consider really all  
23 tissues that come from a case of CJD infectious.

24 CHAIRPERSON PRIOLA: Other comments? Yes,  
25 Ms. Heck?

1 MS. HECK: Based on his moving target and  
2 because I do other things, a question occurs to me,  
3 that by and large, as we've looked at femur removal or  
4 skin removal, we've not thought about this as having  
5 a high level of risk. But more and more, we are being  
6 asked to remove vertebra, and I wonder if the removal  
7 of vertebra would constitute a different level of risk  
8 and, if in that case, the instruments which, for the  
9 most part, I see no problem with sterilizing at higher  
10 temperatures -- and these are quite hardy instruments,  
11 osteotomes and hammers -- might be in a different  
12 category if they were used for vertebra?

13 CHAIRPERSON PRIOLA: Dr. Gambetti, do you  
14 have a response to that?

15 DR. GAMBETTI: I don't claim that, for  
16 example, in these condition, tissue -- instruments  
17 have to be destroyed, but certainly I am emphasizing  
18 the need to do thorough decontamination.

19 So I could consider the two possibilities,  
20 that in one case tissue are destroyed by an  
21 incineration, and in the other be simply gone through  
22 a process of decontamination and sterilization.

23 CHAIRPERSON PRIOLA: And that is because,  
24 even though this is your moving target, it's still a  
25 low risk tissue in terms of the level of infectivity?

1                   Well, if an extra decontamination  
2 procedure should be used, should it follow the WHO  
3 guidelines or are there suggestions as to how that  
4 could be done? That was sort of the gist of the B-C-  
5 D-E of question 1, which now comes back to haunt us,  
6 I think, in question 3.

7                   So are there any comments on that from the  
8 Committee?

9                   DR. EDMISTON: Well, let me get this  
10 straight. Let's just sort of enumerate the tissues  
11 now. We're talking about skin. We've discussed eye.  
12 We're talking about skin, talking about bone. Other  
13 tissues are going to be harvested from the site, in  
14 most cases, where the patient has died by usually from  
15 -- quite often by a member of the surgical staff.  
16 They may be flying down there -- Are we talking about  
17 solid organs? Are we talking about solid organs? No,  
18 we're not? Are we?

19

20                   CHAIRPERSON PRIOLA: Dr. Solomon?

21                   DR. SOLOMON: The only reason not to talk  
22 about them is FDA doe snot have jurisdiction over  
23 them. HRSA does.

24                   DR. EDMISTON: So we're talking about  
25 primarily bone and skin. Did I miss anything else?

1 DR. GAMBETTI: Well, I heard the name  
2 skeletal muscle. I heard the word. I don't know  
3 whether we are talking about skeletal muscle and  
4 internal -- other internal organs like spleen, liver,  
5 lung.

6 DR. EDMISTON: I think we need to clarify  
7 what tissues we are talking about, especially because  
8 there are certain tissues that do not fall within your  
9 jurisdiction.

10 DR. SOLOMON: They would be bone, tendon,  
11 ligament, skin, ocular including cornea and sclera,  
12 and also veins, arteries, veins, vascular tissue. It  
13 would include that.

14 CHAIRPERSON PRIOLA: So that would all be  
15 considered low risk tissue with the qualification of  
16 the moving target.

17 DR. GAMBETTI: There is a recent  
18 publication in which the presence of -- at least by --  
19 no, also I think by Western blot of scrapie prion  
20 protein in the vessel wall has been shown. So that  
21 would be -- Again, a situation again one target that  
22 was moving now has been found.

23 CHAIRPERSON PRIOLA: So once again then,  
24 I guess, it gets back to decontamination, that if the  
25 Committee considers this an issue that requires extra



1 decontamination, should it be done according to the  
2 guidelines that have already been laid out by the WHO  
3 or do we have something else to recommend? Any  
4 comments?

5 DR. HOGAN; I would just suggest that it's  
6 one of the higher levels of decontamination using --  
7 if they can't incinerate -- sodium hydroxide plus  
8 sterilization. You can't use hypochlorite on these  
9 instruments. So hydroxide plus sterilization in some  
10 combination, either in hydroxide -- Essentially,  
11 number one or two, I think it is, on the WHO  
12 guidelines. That would be my suggestion.

13 DR. GAMBETTI: Claving also.

14 DR. HOGAN: That's what I mean, hydroxide  
15 plus autoclaving.

16 DR. GAMBETTI: In other words, the full  
17 process like for a surgical instrument?

18 CHAIRPERSON PRIOLA: And would that be  
19 something that could be done, if it had to be done, in  
20 the case of -- This is always, of course, in the case  
21 of known or suspected TSE. Is it something that could  
22 be done in one of these facilities? Ms. Heck, can you  
23 speak to that, just in those cases, I guess, of  
24 suspected or confirmed TSE?

25 MS. HECK: Again, in all likelihood, the

1 instruments would have been commingled, and all of the  
2 instruments would need to be taken through this  
3 process. But I believe that they could be, except for  
4 the dermatome, as I mentioned earlier.

5 CHAIRPERSON PRIOLA: Does the Committee  
6 feel comfortable voting on this?

7 DR. FERGUSON: Can you clarify exactly  
8 what we are voting on?

9 CHAIRPERSON PRIOLA: Okay, I was afraid  
10 you were going to ask that. Well, I think, if anyone  
11 has a different opinion, please speak up. The thing  
12 that holds me up is similar decontamination  
13 procedures, because we haven't talked about them  
14 before, which is why I keep bringing up the WHO  
15 guidelines and, you know, what Dr. Hogan just said  
16 addresses that.

17 So I suppose we should decide that, if we  
18 vote yes for that, we need to know what  
19 decontamination procedures we want to recommend. Any  
20 comments? Are the WHO recommendations sufficient, in  
21 the opinion of the members of the Committee?

22 DR. EDMISTON: I think they make a good  
23 baseline. The reason why I say that is that, in terms  
24 of native vessels, if you have a vascular or  
25 transplant service, we routinely do remove vessels and

1 store them. You may need them in patients who are  
2 developing vascular access, need a little bit of  
3 vessel to make that connection.

4 Those, as a rule, are stored one to two  
5 weeks. I think it would be -- The question always  
6 comes up in the operating room, what are the risks  
7 associated with that. So I think, as a baseline, that  
8 would be a good recommendation.

9 CHAIRPERSON PRIOLA: So should we vote on  
10 the question and make it clear that, by similar  
11 decontamination procedure, we mean that to follow the  
12 WHO guideline, should this situation occur under these  
13 circumstances? Is that agreeable? Okay.

14 So then let's vote on question 3, which  
15 is: Should similar decontamination procedures -- and  
16 that is understood to be the WHO guidelines for  
17 dealing with low risk TSE tissues -- be used for  
18 instruments and surfaces used to recover and process  
19 these tissues from cases of known or suspected TSE?

20 I'm sorry. Dr. Solomon?

21 DR. SOLOMON: So again, you would vote for  
22 all six as a group or would you differentiate, as Dr.  
23 Hogan has, those that include sodium hydroxide versus  
24 the number 6 that does not?

25 CHAIRPERSON PRIOLA: Well, that's why I

1 put in the low risk. Whatever they require for low  
2 risk tissue, and what is their specific requirement  
3 for low risk tissue, or do they have one? Is it just  
4 the choice of the facility?

5 DR. EDMISTON: You better put those six up  
6 again, because now I'm unclear. All right? Could you  
7 actually enumerate all those for me, just without  
8 putting it up?

9 DR. ASHER: As I pointed out yesterday,  
10 the first four guidelines include either sodium  
11 hypochlorite or sodium hydroxide at elevated  
12 temperatures. Now we have already seen evidence and  
13 heard why sodium hypochlorite is not suitable for  
14 reprocessing metal instruments, which really leaves  
15 sodium hydroxide.

16 The question is whether a method  
17 incorporating sodium hydroxide is indicated; if so, at  
18 an elevated temperature? Not having heard any  
19 objection to elevated temperatures with this kind of  
20 instrument, that reduces the choice, it appears to me,  
21 to choosing between the WHO recommended method like  
22 Method 1 -- and you should have a list of them, which  
23 Ruth can read again or flash up again, if you want --  
24 or Method 6, which is 134 for 18 minutes.

25 But recall that Method 6 comes with the

1 disclaimer that, if tissue has been baked on, then  
2 some residual infectivity will remain, and we can't  
3 ignore that part of the WHO guideline either. One  
4 would hope that most of the time that wouldn't happen,  
5 but it was a proviso, a concern expressed by the WHO  
6 consultation as being a potential problem at the time  
7 of the publication of the document.

8 CHAIRPERSON PRIOLA: Okay, Dr. Hogan?

9 DR. HOGAN: I'm sorry to prolong this.  
10 But I think heated hydroxide is going to be a little  
11 bit of an issue perhaps to safety. How about just  
12 hydroxide in some combination with autoclaving,  
13 according to the WHO guidelines. Take out  
14 hypochlorite, which gives you the first four, I  
15 believe -- first five? -- but not the sixth.

16 CHAIRPERSON PRIOLA: I'm sorry. Lisa?

17 DR. FERGUSON: This may be a somewhat  
18 silly question. I guess, for purposes of this  
19 Committee, I understand the fact that the sodium  
20 hypochlorite is harsh on the instruments, but as I  
21 understand it, this Committee is being asked  
22 essentially to comment on the science of it, and do we  
23 think those processes are adequate to disinfect the  
24 instruments.

25 Do we need to get that specific to say,

1 yes, this one but not this one, because it's harsh on  
2 the instruments or should we just focus on do we think  
3 any of those would be adequate, and leave it up to the  
4 individual facilities, whatever, to decide whether  
5 their instruments can stand it or not?

6 CHAIRPERSON PRIOLA: I was trying to think  
7 of all the data we were presented yesterday by Dr.  
8 Rohwer and Dr. Taylor. That wet autoclaving at 134  
9 degrees for a significant period of time is actually  
10 pretty effective. It can inactivate several logs of  
11 infectivity, if I'm recalling right.

12 So even the low risk tissue -- Given this  
13 is a low risk tissue, even that might be sufficient  
14 under these circumstances. So perhaps you're right.  
15 Maybe it's okay to leave it up to the individual  
16 facility, if it follows one of those six guidelines,  
17 although that introduces variability.

18 Bob, do you want to make a comment?

19 DR. SOLO: Could I make a comment?

20 CHAIRPERSON PRIOLA: Oh, I'm sorry. Sure.

21 DR. SOLO: I'm Dr. Yolanda Solo. I am an  
22 orthopedic surgeon. I am also a medical director for  
23 a tissue bank. There's just like a couple of quick  
24 comments.

25 First off, I disagree with regard to the

1 vertebral bodies. I think, if you are harvesting  
2 those, you are awful near to at risk tissue to throw  
3 that into the same pot with other bone, and also to  
4 throw out into that, when you are talking about bone  
5 and you are talking about skeletal muscle, they have  
6 got to go through an awful lot of skeletal muscle to  
7 get to the bone to harvest, just for what that's  
8 worth.

9           If the Committee really thinks that these  
10 things that WHO has described are going to be  
11 effective for sterilization, then in my mind they  
12 would apply to all of it. If there is any question,  
13 I can only tell you that in the tissue bank industry  
14 we have enough troubles right now. The last thing  
15 that I think we need is to say that this would be a  
16 proper thing and not to throw these instruments out,  
17 and then have a problem with this.

18           I don't know how often, and maybe Ellen  
19 can actually give me how often it's even come to mind  
20 after the fact that someone who has been a tissue  
21 donor has then been suspected of having a TSE, because  
22 that's a criteria for elimination.

23           I would recommend at this point, while we  
24 don't know what any of these things are really going  
25 to be effective in sterilizing those instruments, that

1     throwing them out is a little bit safer at this point  
2     in time.  And as an orthopedic surgeon who implanted  
3     bone, it's a worry for me to say that we could do this  
4     and in the meantime you could contaminate a whole bank  
5     and continue to do it, just because you don't want to  
6     throw those instruments out.

7                   CHAIRPERSON PRIOLA:  Thank you.  Dr.  
8     Rohwer, do you want to make a comment?

9                   DR. ROHWER:  I just want to point out that  
10    these WHO guidelines were ranked in this order to  
11    accommodate worldwide needs, not just Western  
12    medicine, and the idea -- Some of these things at the  
13    bottom of the list are there, because there are places  
14    that could not accommodate -- We are trying to  
15    accommodate people who don't have electricity.  Okay?  
16    They can still boil things in sodium hydroxide.

17                   So I think you have to be careful about  
18    endorsing the entire list.  That was not the intent of  
19    the list, in the first place.

20                   CHAIRPERSON PRIOLA:  Thank you.  That's  
21    good to know, actually.  Any other comments from the  
22    Committee?  Dr. Gambetti?

23                   DR. GAMBETTI:  Is there a way to have an  
24    idea of how often a tissue bank will face a situation  
25    as the one indicated in question number 3 for so



1 called low risk tissues? In other words, how often  
2 one would expect -- Of course, the idea to see how  
3 much would be the cost of actually destroying the  
4 instrument in such instance?

5 CHAIRPERSON PRIOLA: Ms. Heck, can you  
6 address that?

7 MS. HECK: I don't know that there is any  
8 information available on how often that would occur.  
9 I would venture to say that it is very, very rare,  
10 because in all of the meetings I have gone to in the  
11 American Association of Tissue Banks in the last 20  
12 years, I have not heard of a case.

13 So I think the incident where they would  
14 have to throw out all of their instruments is rare.  
15 I can tell you that we did encounter one case this  
16 year where, had we been the individuals taking the  
17 tissue, on subsequently reviewing a case from someone  
18 else, we would have recommended that the tissue not be  
19 taken from that, and that would have constituted one  
20 out of the thousands of cases that were done this year  
21 that that would apply to.

22 CHAIRPERSON PRIOLA: Dr. Bracey?

23 DR. BRACEY: Yes. I was thinking about  
24 this before, but it just actually hammered me a little  
25 bit. That is the issue of commingling. It would seem

1 that, if there is a theoretical risk, that it would be  
2 best to keep the instruments together as a packet,  
3 because then -- In other words, you would not increase  
4 the risk for exposure. It would seem to me to be best  
5 tissue practice.

6 CHAIRPERSON PRIOLA: I guess my last  
7 thought is, still getting hung up on this similar  
8 decontamination procedure issue, if it is a rare  
9 event, could we recommend that -- Since we voted  
10 before that incineration is the way to go for a group  
11 of contaminated instruments, could we put that in?  
12 That's what we recommended for decontamination in  
13 question 1. Could we put this here, too, that they  
14 should be incinerated as well, given that it seems to  
15 be a low risk tissue, a low priority event, and  
16 perhaps a cost that would be incurred only once every  
17 -- once every what? Yes, 120 years or so.

18 Would that be one way to get around this  
19 phrase? What would FDA --

20 DR. SOLOMON: I just want to point out  
21 some of the instruments we are talking about are large  
22 saws. They are huge. These are the equipment that  
23 are probably quite expensive. They are not just  
24 scalpels and scissors.

25 CHAIRPERSON PRIOLA: Lisa?

1 DR. FERGUSON: I guess -- I mean, I could  
2 see -- I can see the logic in that for such things as  
3 vertebral column. I don't see the logic or the  
4 science in that for skin or, you know, tendons,  
5 ligaments.

6 CHAIRPERSON PRIOLA: Should we vote on  
7 this? Is there further discussion? Does anyone want  
8 to recommend a modification to make it easier for them  
9 to vote or do we all feel we can vote on it? Dr.  
10 Ferguson?

11 DR. FERGUSON: I would suggest a  
12 modification. Perhaps we could pull out, you know,  
13 bone or specifically vertebral column and make that a  
14 separate category.

15 CHAIRPERSON PRIOLA: You mean from the  
16 list of low risk TSE tissues?

17 DR. FERGUSON: Yes. We would be saying --

18 CHAIRPERSON PRIOLA: What Dr. Asher just  
19 said, it's a high risk material, the vertebral column,  
20 because of the spinal cord.

21 DR. FERGUSON: Okay. So we are not even  
22 including vertebral column in here? Okay. Okay.  
23 Never mind.

24 CHAIRPERSON PRIOLA: Go ahead then. If no  
25 one else has any further comments, can we vote on

1 this? Okay. Go ahead and call the roll.

2 DR. GAMBETTI: Change the order again.

3 DR. FREAS: I will attempt to reverse the  
4 order, starting with you, Dr. Bailar.

5 DR. BAILAR: Yes.

6 DR. FREAS: Dr. Stroncek.

7 DR. STRONCEK: No.

8 DR. WOLFE: Could we just clarify, because  
9 we've got a change, and it wasn't changed in the  
10 question. So are we saying destroy all instruments in  
11 association with a case that turns out to be CJD? Is  
12 that it? It's a modification of 3, I suppose. Right?

13 CHAIRPERSON PRIOLA: Comment from the  
14 Committee? Do we mean destroy or just follow WHO  
15 guidelines, because those were the two things we were  
16 discussing. Is that right?

17 DR. WOLFE: Which is it?

18 CHAIRPERSON PRIOLA: Right. So which is  
19 it?

20 DR. WOLFE: Which portion needs to be made  
21 clear? That's all.

22 DR. EDMISTON: I was under the impression  
23 it was following WHO guidelines. Correct?

24 CHAIRPERSON PRIOLA: That would be my  
25 choice, to follow the WHO guidelines.

1 DR. GAMBETTI: Yes means what?

2 CHAIRPERSON PRIOLA: Yes would mean should  
3 similar decontamination procedures -- that is,  
4 following the WHO guidelines -- be used for  
5 instruments with the low risk tissues?

6 DR. GAMBETTI: And no implies the  
7 incinerate -- implies incineration?

8 CHAIRPERSON PRIOLA: I would think so.  
9 Well, I don't know if I want to say that. I don't  
10 know what no would imply. Dr. Epstein?

11 DR. EPSTEIN: Yes. I think it would be  
12 clarifying here if the Committee first voted the  
13 question with a recommendation to incinerate where  
14 possible, and then if the Committee does not favor  
15 that option, then to vote the question follow WHO  
16 guidelines, because then the FDA gets more advice.  
17 And you can actually vote both questions and just see  
18 where you are.

19 DR. WOLFE: What does "where possible"  
20 mean, though? Who can decide what's possible?

21 DR. EPSTEIN: You know, we are dealing in  
22 a domain of guidance here, and we are trying to figure  
23 out just what do we really think? I mean, if what you  
24 really think is that the ideal answer is destroy the  
25 instruments, then that's what we need to hear.

1           Now there may be situations where that is  
2 not possible, and we would have to address them, and  
3 there will be clarifications, you know, caveats,  
4 exceptions, work-arounds, etcetera. But what we are  
5 looking for is the scientific advice of the Committee.

6           If the scientific advice is destroy, then  
7 we ought to get a clear message. We are not going to  
8 get it if we only vote the question with WHO  
9 recommendations, because they span the gamut.

10           So I think it's best to vote the question  
11 twice. First, let's see what the Committee thinks  
12 about a recommendation to incinerate -- equal destroy  
13 -- and then let's see what the Committee thinks  
14 otherwise.

15           DR. EDMISTON: Now we are talking about  
16 low risk tissues. Correct?

17           DR. EPSTEIN: We are talking about low  
18 risk tissues in the case of known or suspected TSE in  
19 the donor.

20           DR. EDMISTON: And the vertebral column is  
21 excluded? That's a high risk tissue.

22           DR. EPSTEIN: I think it's been clarified  
23 that we would regard that as high risk tissue.

24           DR. EDMISTON: All right.

25           CHAIRPERSON PRIOLA: Okay. So then the

1 question should be rewritten, basically along the  
2 lines of question 1-A, that: Should instruments used  
3 to recover low risk tissues from TSE patients be  
4 incinerated, if they come from the case of known or  
5 suspected TSE?

6 So then voting on this would be Yes or No  
7 for destruction of these instruments.

8 DR. FREAS: Okay. Again, I'll start with  
9 Dr. Bailar.

10 DR. BAILAR: I say they should be  
11 destroyed. I thought that's what I was voting for  
12 last time.

13 DR. FREAS: Dr. Stroncek.

14 DR. STRONCEK: Yes.

15 DR. WOLFE: Yes.

16 DR. FREAS: Dr. Wolfe with a Yes. Mr.  
17 Rice?

18 MR. RICE: Yes.

19 DR. FREAS: Ms. Walker?

20 MS. WALKER: Yes.

21 DR. FREAS: Dr. Priola?

22 CHAIRPERSON PRIOLA: Yes.

23 DR. FREAS: Dr. Edmiston?

24 DR. EDMISTON: I am going to say No.

25 DR. FREAS: Dr. Khabbaz?

1 DR. KHABBAZ: Abstain.

2 DR. FREAS: One Abstain. Okay. Dr.

3 Hogan?

4 DR. HOGAN: Yes, where practicable.

5 DR. FREAS: Dr. Ferguson?

6 DR. FERGUSON: I guess I would have to

7 agree, Yes where practicable. That's my hang-up.

8 DR. FREAS: Dr. Bracey?

9 DR. BRACEY: No.

10 DR. FREAS: Dr. Gambetti?

11 DR. GAMBETTI: Yes.

12 DR. FREAS: Okay. There was one

13 abstention, two No votes, hopefully nine Yes votes.

14 CHAIRPERSON PRIOLA: Would it be possible

15 to insert that phrase "where practical" to

16 accommodate? You're right. It's already there. We

17 can vote now on the second version of this, if the

18 Committee would like, although I am rather assuming

19 the second version would be the inverse of what we

20 just voted on, which is that: Should the WHO

21 guidelines be used for decontamination of instruments

22 and surfaces used to recover tissues from low

23 infectivity?

24 Jay had asked if we would vote on both.

25 Does the Committee feel that's necessary, having voted



1 on this first one? I don't think that it is, really,  
2 either. I think it's clear, yes, what the Committee  
3 is getting at.

4 Okay. So if there are no objections from  
5 the Committee or anyone else, let's move on to the  
6 final question, Question 4, which is: With regard to  
7 recovery and processing of other tissues with a low  
8 risk of TSE infectivity, should additional  
9 decontamination procedures be used routinely, even  
10 when TSE has not been suspected?

11 Any comments or discussion from the  
12 Committee on this? Dr. Wolfe?

13 DR. WOLFE: Well, even though this is  
14 being asked in the ocular context, the question as  
15 stated means does anytime anyone is operated on for  
16 anything, should you have routine decontamination,  
17 because we've left the eye presumably. So the  
18 question can't be separated from any other kind of  
19 surgery.

20 So the question really is anytime anyone  
21 is operated on, even though there is no suspicion of  
22 TSE, should these extra decontamination things be  
23 used? I think that's what the question is.

24 CHAIRPERSON PRIOLA: Dr. Solomon, did you  
25 want to say something there?

1 DR. SOLOMON: Well, in my mind, since on  
2 question there was a unanimous No vote for ocular  
3 tissue, which is a high risk, I'm thinking with a low  
4 risk it would also be a unanimous No.

5 CHAIRPERSON PRIOLA: I'm pretty sure  
6 that's -- Yes. I completely -- I'm pretty sure that's  
7 the way it is going to go, but I wanted to make sure  
8 everybody was -- Is everyone comfortable with voting  
9 on this one? Okay. So let's call for the vote on  
10 Question number 4.

11 DR. FREAS: In reverse order, Dr. Bailar?

12 DR. BAILAR: No.

13 DR. FREAS: Dr. Stroncek?

14 DR. STRONCEK: No.

15 DR. FREAS: Dr. Wolfe?

16 DR. WOLFE: No.

17 DR. FREAS: Mr. Rice?

18 MR. RICE: No.

19 DR. FREAS: Ms. Walker?

20 MS. WALKER: No.

21 DR. FREAS: Dr. Priola?

22 CHAIRPERSON PRIOLA: No.

23 DR. FREAS: Dr. Edmiston?

24 DR. EDMISTON: No.

25 DR. FREAS: Dr. Khabbaz?

1 DR. KHABBAZ: No.  
2 DR. FREAS: Dr. Hogan?  
3 DR. HOGAN: No.  
4 DR. FREAS: Dr. Ferguson?  
5 DR. FERGUSON: No.  
6 DR. FREAS: Dr. Bracey?  
7 DR. BRACEY: No.  
8 DR. FREAS: Dr. Gambetti?  
9 DR. GAMBETTI: No.  
10 DR. FREAS: Unanimous, No.  
11 CHAIRPERSON PRIOLA: Well, that's good.

12 We are consistent.

13 So let's go on to the questions now for  
14 Part 2 of Topic 4, which now switches from the  
15 question of higher risk ocular tissue to low risk  
16 plasma derivatives and the possibility of essentially  
17 cross-contamination of equipment with plasma from  
18 potential vCJD individuals.

19 So the question is: Considering current  
20 facility cleaning practices, the low risk of variant-  
21 CJD infectivity in human plasma, and the ability of  
22 plasma fractionation methods to clear TSE agents:

23 Does the Committee feel that current  
24 facility cleaning methods -- that is, the use of  
25 solutions of sodium hydroxide or sodium hypochlorite

1 followed by extensive rinsing cycles -- are adequate  
2 to minimize the possibility that an infectious dose of  
3 vCJD agent may be carried over from one manufactured  
4 lot to the other?

5 So I think "minimize the possibility" is  
6 the key qualifier there. Any comment and discussion  
7 from the Committee? Yes, Dr. Petteway?

8 DR. PETTEWAY: Yes, just one qualifier  
9 here. That is that you have to be careful. These are  
10 complicated processes, and there are tubes and gaskets  
11 that run from one pot to another, one vessel to  
12 another. They are there to minimize the risk of  
13 cross-contamination, cross-contamination of product,  
14 for efficacy and safety, and then cross-contamination  
15 relative to viruses or bacteria.

16 This is not to say that you may not be  
17 able to increase the stringency of cleaning that  
18 exists today, but you have to be extremely careful,  
19 because if you do it, you may degrade already existing  
20 safety parameters that are in place, that are in place  
21 for another reason.

22 I think that's an important consideration.

23 CHAIRPERSON PRIOLA: Other comments? Oh,  
24 I'm sorry, Dr. Scott.

25 DR. SCOTT: Yes. I think I just want to

1 point out a couple of things. One is we have asked  
2 you about current facility cleaning methods and,  
3 obviously, we haven't presented all of those. But  
4 what you did see in the PPTA presentations, I think,  
5 was a moderately wide range of what can be done.

6 So you have solutions as low as 0.01 molar  
7 sodium hydroxide being used in some cases, and as high  
8 as at least 1.0 molar. So there is a broad range, and  
9 I think that, if you say Yes or No or Maybe to this  
10 question, that's okay; because the most important  
11 question, I think, to us is question 2. That is,  
12 whether or not, on a scientific basis, we should start  
13 to consider perhaps looking at at least minimum types  
14 of standards or recommendations for a minimum.

15 DR. EDMISTON: My recent memory seems to  
16 tell me that we actually had data presented to us that  
17 suggests, strongly suggests, that 0.1 molar -- is that  
18 correct? -- 0.1 molar was appropriate concentration  
19 for inactivation of these entities.

20 So I think we are getting -- We almost  
21 have the answer to 2 right there, based on that.

22 CHAIRPERSON PRIOLA: Particularly, given  
23 the low risk nature of the material. Shall we vote on  
24 question 1, which is simply: Do we feel that the  
25 current cleaning methods are adequate to minimize the

1 possibility of transfer of an infectious dose of the  
2 vCJD agent from one manufactured lot into the next?  
3 And that is plasma derivatives, low risk -- extremely  
4 low risk tissue.

5 DR. WOLFE: It should be just CJD, not  
6 just vCJD. Right? It's all CJD.

7 CHAIRPERSON PRIOLA: Well, I think the  
8 issue here is cross-contamination with European  
9 plasma, and that concern is vCJD.

10 DR. WOLFE: But the current methods were  
11 not intended for that, since there hasn't been --  
12 That's what the question is. Should the current  
13 methods that are being used for other things -- are  
14 they good enough to also take care of the vCJD?  
15 That's what the question is. Right?

16 CHAIRPERSON PRIOLA: Right. Yes? Do we  
17 feel comfortable voting Yes/No with no alteration in  
18 the question or changes? Okay, let's go ahead and do  
19 that then.

20 DR. FREAS: Okay, this time I will go back  
21 to Dr. Gambetti.

22 DR. GAMBETTI: Yes.

23 DR. FREAS: Dr. Bracey?

24 DR. BRACEY: Yes.

25 DR. FREAS: Dr. Ferguson?

1 DR. FERGUSON: Yes.

2 DR. FREAS: Dr. Hogan?

3 DR. HOGAN: Yes.

4 DR. FREAS: Dr. Khabbaz?

5 DR. KHABBAZ: Yes.

6 DR. FREAS: Dr. Edmiston?

7 DR. EDMISTON: Yes.

8 DR. FREAS: Dr. Priola?

9 CHAIRPERSON PRIOLA: Yes.

10 DR. FREAS: Ms. Walker?

11 MS. WALKER: Yes.

12 DR. FREAS: Mr. Rice?

13 MR. RICE: Yes.

14 DR. FREAS: Dr. Wolfe?

15 DR. WOLFE: Yes.

16 DR. FREAS: Dr. Stroncek?

17 DR. STRONCEK: Yes.

18 DR. FREAS: Dr. Bailar?

19 DR. BAILAR: Yes.

20 DR. FREAS: And the industry position?

21 DR. PETTEWAY: Yes.

22 DR. FREAS: That's a unanimous Yes.

23 CHAIRPERSON PRIOLA: So we move on to the

24 second question, and that is: Are the available

25 scientific data sufficient for FDA to recommend

1 specific methods for cleaning of equipment used in the  
2 manufacture of plasma derivatives with respect to TSE  
3 agent clearance or inactivation?

4 So this is for recommending specific  
5 methods for cleaning.

6 If so, please identify which methods can  
7 be recommended. If not, please describe what  
8 additional studies would assist in development of such  
9 recommendations.

10 So we have just voted that the current  
11 cleaning methods are adequate. Dr. Bracey?

12 DR. BRACEY: I guess my perspective would  
13 be one of hesitance, knowing that it sounds as though  
14 there are good studies that are being planned, and to  
15 in essence set a standard now might be premature.

16 CHAIRPERSON PRIOLA: Dr. Bailar?

17 DR. BAILAR: I agree. I think things are  
18 developing rapidly enough that I would not want to  
19 lock FDA and the industry into using something when  
20 something better may come along pretty soon.

21 CHAIRPERSON PRIOLA: Dr. Hogan?

22 DR. HOGAN: Plus, as I think we've talked  
23 about in these other circumstances, recommending  
24 something specific has been tough for us, and  
25 especially taken in regard to complexities of the



1 machinery and the design -- and safety designs are  
2 built in.

3 I don't know how we could do that, but  
4 then I've said that before.

5 CHAIRPERSON PRIOLA: Yes, Dr. Stroncek?

6 DR. STRONCEK: On the other hand, they are  
7 not asking us to give their recommendation. They are  
8 just asking for advice if they should, and the problem  
9 will be that we think industry is doing now is fine,  
10 but there is nothing to say a new company coming along  
11 would have to abide by those rules that the current  
12 manufacturers use.

13 CHAIRPERSON PRIOLA: I'm sorry. Dr.  
14 Petteway.

15 DR. PETTEWAY: Yes. I assume that this  
16 means over and above what is currently done, in  
17 addition to what is currently done.

18 CHAIRPERSON PRIOLA: Is that right, from  
19 the FDA? This means over and above what is currently  
20 done, which we just voted as adequate?

21 DR. SCOTT: Well, as we are discussing,  
22 some of the methods now might certainly be considered  
23 adequate. In fact, I think the Committee thinks,  
24 based on the information that we've seen, that it is  
25 quite likely that many of them are.

1 I think it's more a question of  
2 establishing a minimum across the board. At least in  
3 my mind, it is whether or not we are at a point where  
4 we could or would want to do that.

5 CHAIRPERSON PRIOLA: So by voting that the  
6 current conditions are adequate, does that imply that  
7 we meant that's the minimum that should be done? Dr.  
8 Bailar?

9 DR. BAILAR: I don't read this as  
10 unidirectional that way. The first question had to do  
11 with whether what they now do is adequate. The second  
12 has to do with instructing the industry on how to do  
13 it.

14 CHAIRPERSON PRIOLA: Which could be to  
15 keep doing what they are doing, in one sense.

16 DR. BAILAR: It could be to go on with  
17 what you are doing. On the other hand, something else  
18 may come along that would not be added but would  
19 replace what is now being done. I wouldn't want to  
20 preclude that possibility.

21 CHAIRPERSON PRIOLA: Please go ahead, Dr.  
22 Wolfe.

23 DR. WOLFE: My only question is how  
24 standardized is it within the industry as to what is  
25 going on, because maybe FDA's question is, if nine out

1 of ten or 99 out of 100 are doing one thing, and one  
2 isn't, do they have the authority to at least impose  
3 a normative standard for what most people are doing  
4 now, wait for the results of the subsequent -- the  
5 studies that are going on, then notch it up some.

6 CHAIRPERSON PRIOLA: Actually, I think  
7 that's a really good point, and it seems from what Dr.  
8 Scott had just mentioned that there is some variation  
9 in the way that this is done. So I think that's an  
10 excellent point, in which case we are saying that --  
11 we would be saying that right now it's adequate. What  
12 they are doing is fine, but we would -- Would we like  
13 more specific experiments geared toward --

14 DR. WOLFE: Well, I'm just saying that  
15 what is being done now should be standardized, so that  
16 everyone has to do whatever the FDA decides the  
17 normative of what is being done now is, and then add  
18 more based on new studies.

19 CHAIRPERSON PRIOLA: With or without new  
20 data?

21 DR. WOLFE: With new data. I mean,  
22 pending the studies that we heard described.

23 CHAIRPERSON PRIOLA: Dr. Weissmann, do you  
24 want to make a comment?

25 DR. WEISSMANN: Regarding the

1 standardization, I would like to point out, people  
2 have been talking all the time about using 1.0 molar  
3 sodium hydroxide. However, one has to specify that  
4 the pH of the solution at the end of the procedure  
5 must be the same as in the beginning, and the amount  
6 of tissue which is being exposed to sodium hydroxide  
7 has a big influence on that, because if you take a lot  
8 of tissue and little sodium hydroxide, your pH is  
9 going to drop to almost neutral. So it's not going to  
10 have the effect which is required.

11 So I think the essential point is to  
12 control the pH at the end of the process, and make  
13 sure that it still corresponds to 1.0 molar sodium  
14 hydroxide.

15 DR. WOLFE: And that's an example of  
16 standardization, I think, too.

17 CHAIRPERSON PRIOLA: He said that's an  
18 example of standardization of the process. Right.

19 DR. WEISSMANN: I think it is very  
20 important, because to begin with, you take a 1.0 molar  
21 solution of sodium hydroxide which has been standing  
22 around for a day, and it really isn't 1.0 molar  
23 anymore, because it picks up all the CO<sub>2</sub> from the  
24 atmosphere.

25 So I mean, it's not enough to say 1.0

1 molar sodium hydroxide.

2 CHAIRPERSON PRIOLA: Dr. Petteway?

3 DR. PETTEWAY: Actually, that's a very  
4 good point, and it is taken into account in the  
5 current cleaning protocols and procedures, especially  
6 the amount of tissue per se relative to contact with  
7 sodium hydroxide in the concentration and the  
8 preparation and the use of sodium hydroxide in a  
9 temporal manner. That's taken into account in these  
10 cleaning protocols.

11 DR. WOLFE: And are all companies doing  
12 that? That's my question.

13 DR. PETTEWAY: But they are doing it in  
14 different -- For instance, say, our company would use  
15 a cleaning protocol, different cleaning protocols,  
16 depending on the product and the process and the  
17 equipment used. So we are not going to come up with  
18 a standard bottom line, you have to do this, and be  
19 practical. The regulation would have to be based on  
20 product to product to product.

21 CHAIRPERSON PRIOLA: Dr. Baron?

22 DR. BARON: Thank you. Hank Baron. I was  
23 just going to say something along those lines. I  
24 think personally, instead of imposing a standard for  
25 these kinds of decontamination procedures, as you see

1 from the data that's been presented today and also  
2 from Dr. Scott's presentation, a lot of this work is  
3 context specific.

4           So I think it would be better for  
5 individual companies to evaluate their conditions and  
6 demonstrate their ability to inactivate to the agency  
7 rather than try to impose a single set of standards,  
8 which might not be practical throughout the industry.  
9 Thank you.

10           CHAIRPERSON PRIOLA: Okay. So regards to  
11 question 2 then -- Well, let me ask FDA, in terms of  
12 this issue, I had forgotten about this issue of  
13 variation between manufacturing processes. When you  
14 say in question 2, are the available scientific data  
15 sufficient for FDA to recommend specific methods for  
16 cleaning, is that for every manufacturer's specific  
17 methods for cleaning, or is there -- I don't -- Given  
18 this variation in the manufacturing processes?

19           DR. SCOTT: It wouldn't be a simple  
20 matter. So it wouldn't be saying everybody use 1.0  
21 Normal sodium hydroxide in all of your stainless steel  
22 tanks. It would have to really be -- It would be,  
23 certainly, more than likely in the form of guidance,  
24 if anything, and it would take into account the range  
25 of needs and contexts that would be there. So --

1                   CHAIRPERSON PRIOLA: Dr. Bracey?

2                   DR. BRACEY: Yes. I just had a question.  
3 Is the minimum -- and this may not be known, but what  
4 is the minimum now? Is it 4 Logs, 3 Logs? What's the  
5 lowest clearance that you have? If, in fact, the  
6 minimum is currently 4 Logs, and I think that would  
7 speak to the lack of a need to, in essence, impose a  
8 standard now, because products -- You may have some  
9 that are 8 Logs, but you're really not going to have  
10 that much contamination, I think, with these products.

11                  DR. SCOTT: I think you are asking about  
12 clearance during the manufacturing process, and we  
13 don't have all the information from all of the  
14 companies using scaled down models with TSE  
15 infectivity or some surrogate readout for TSE.  
16 However, I can tell you that very often many products  
17 seem to have at least one method that will eliminate  
18 in the 3-4 Log range -- or one process, but I cannot  
19 tell you that across the board, and there are a few  
20 that may not.

21                  CHAIRPERSON PRIOLA: Dr. Edmiston.

22                  DR. EDMISTON: When I think of the  
23 presentations we've had over the past two days, there  
24 has been substantial data which has discussed the  
25 inactivation of these particles on inert surfaces. Of

1 course, we are talking about low risk tissue.

2 The information that is somewhat onerous  
3 for us to actually reach an agreement on was based on  
4 a high risk tissue, critical tissue or critical  
5 devices. I think this is much more simplistic in that  
6 we are dealing with low risk tissues, and we know --  
7 We know what inactivation occurs on inert surfaces, be  
8 them glass, polystyrene or stainless steel, from the  
9 presentations that we've had with the known chemicals  
10 that are currently available.

11 So I think there is data there that  
12 addresses that type of response for low risk tissues  
13 on inert surfaces.

14 Let me just get clarification of this,  
15 because sometimes I'm wrong. Dr. Weissmann, did I  
16 make the appropriate interpretation in terms of what  
17 I just said?

18 DR. WEISSMANN: Yes, except that, in fact,  
19 we did not sterilize plastic.

20 DR. EDMISTON: All right.

21 DR. SCOTT: I'd just like to add another  
22 point, and that is, in spite of the fact that these  
23 are low risk tissues, there are products which are  
24 used throughout the lifetime of people with immune  
25 deficiency or hemophilia. So you need to also account



1 for the lifetime dosing, as it were, of a person. So  
2 even potentially small amounts of infectivity  
3 eventually would be a worry.

4 CHAIRPERSON PRIOLA: Dr. Bailar?

5 DR. BAILAR: The question is in terms of  
6 whether the available data are sufficient for FDA to  
7 recommend specific methods. I would feel more  
8 comfortable in voting on something that is rephrased  
9 to say would it be wise or would it be appropriate for  
10 FDA to recommend specific methods. It isn't quite the  
11 same thing.

12 CHAIRPERSON PRIOLA: What do you mean by  
13 appropriate? I mean, it's the FDA's purview to do  
14 this. So what do you mean by appropriate?

15 DR. BAILAR: Well, I can imagine that we  
16 would conclude, yes, the data are sufficient for FDA  
17 to recommend specific methods, but that isn't a good  
18 thing to do.

19 CHAIRPERSON PRIOLA: Which isn't a good  
20 thing to do, for FDA to recommend a specific method?  
21 I don't understand.

22 DR. BAILAR: I'm a little concerned about  
23 having FDA recommend specific methods at this point in  
24 the development of the science.

25 CHAIRPERSON PRIOLA: And I think that is

1 partly what A and B address, but maybe Dr. Epstein can  
2 clarify this.

3 DR. EPSTEIN: Well, in terms of how we  
4 phrase our questions, you know, we ask to be advised  
5 on the science, and we generally, you know, arrogate  
6 to ourselves the policy decision. So that's why it is  
7 phrased the way it is.

8 You know, if you think the science is not  
9 mature, we get the message. It would be unwise to  
10 move forward with policy. But we are asking to be  
11 advised scientifically.

12 CHAIRPERSON PRIOLA: Dr. Gambetti?

13 DR. GAMBETTI: The problem I have, and  
14 maybe others have, is that here we are asked to give  
15 a very specific recommendation, to make a choice. And  
16 although we have heard a lot of presentations and we  
17 receive a tremendous amount of information, I wonder  
18 whether one can do this recommendation without, like  
19 we are asked now, really digesting and review all this  
20 information that we receive in a more critical way  
21 before making such a specific recommendation that is  
22 based on technical information.

23 In other words, we are required to not  
24 only have digested, listened to, digested all the  
25 presentations, but also to be in a position to

1 evaluate them without having had time to really read  
2 them carefully and think about it.

3           Maybe my brain is slower than others', but  
4 that is the way I would proceed, rather than being  
5 kind of forced to give -- to make a decision just on  
6 the basis of this tremendous amount of information and  
7 not time to evaluate it and think about it.

8           CHAIRPERSON PRIOLA: In one way, having  
9 voted -- I guess I keep coming back to question 1,  
10 saying that right now what they are doing is adequate.  
11 In one way, we have decided that the cleaning with the  
12 sodium hydroxide is adequate to protect against this  
13 low risk tissue presently.

14           So maybe we don't have to get more  
15 specific than that, that we could say we think some of  
16 the data -- If we say the data is sufficient, would  
17 we recommend moving along the same lines as what they  
18 are doing now? I don't know if we need to be more  
19 specific than that, because I agree. I can't -- We  
20 can't pick and choose. Dr. Scott?

21           DR. SCOTT: Well, I think that we've heard  
22 some very useful discussion on this question, and it  
23 wouldn't be essential for us to have a vote on it. We  
24 do understand the difficulties of doing that at this  
25 point.

1 CHAIRPERSON PRIOLA: Dr. Gambetti?

2 DR. GAMBETTI: We can vote on that.

3 CHAIRPERSON PRIOLA: Can we vote on that,  
4 yes. Thank you for letting us off the hook.  
5 Everybody seems very relieved. Dr. Baron.

6 DR. BARON: I would just like to add that  
7 the study -- the collaborative study coordinated by  
8 the PPTA, which will be covering really the whole  
9 range of the two solutions which are most widely used,  
10 is going to address a lot of these questions. So  
11 perhaps this question should be reasked at some point  
12 in the future when the data starts coming in from this  
13 study.

14 CHAIRPERSON PRIOLA: Actually, someone  
15 here on the Committee had mentioned that earlier, and  
16 I think that is a really good point, that that study  
17 is starting to get underway and can be used as a basis  
18 for making these specific recommendations.

19 So I have a sense that the FDA has gotten  
20 out of the discussion what they want and realize the  
21 hesitation for making these specific recommendations.  
22 Are there any other comments from the Committee? We  
23 are off the hook for voting on number 2, but would  
24 anyone else like to contribute anything? Yes,  
25 Shirley?

1 MS. WALKER: Do we need to table it for  
2 another meeting?

3 CHAIRPERSON PRIOLA: Excuse me? Table it  
4 for another meeting? Is it required that we do that  
5 officially, table it for another meeting?

6 DR. SCOTT: I think we have a reasonable  
7 record of bringing back what you've asked for. So we  
8 will attend to that.

9 CHAIRPERSON PRIOLA: This is true.

10 Very well. I think that's the end of our  
11 agenda, if we are not going to vote on this  
12 recommendation. I would like to thank everybody, the  
13 speakers for their fantastic presentations, again, of  
14 presenting both published and unpublished data, which  
15 I think is tremendously generous.

16 Thank the members of the Committee for  
17 being so patient and paying attention. We are  
18 adjourned. Have a good trip back.

19 (Whereupon, the foregoing matter went off  
20 the record at 3:46 p.m.)

21

22

23

24

25