

UNITED STATES DEPARTMENT OF AGRICULTURE  
FOOD SAFETY AND INSPECTION SERVICE

+ + + + +

RISK-BASED INSPECTION (RBI) PUBLIC WORKSHOP

+ + + + +

October 10, 2006  
9:30 a.m.

George Mason University School of Public Policy  
Arlington Original Building  
3401 Fairfax Drive  
Arlington, Virginia 22201

FACILITATOR: MS. ABBY DILLEY, RESOLVE  
MS. KATHY GRANT, RESOLVE  
MR. PAUL DeMORGAN, RESOLVE

PARTICIPANTS:

DR. RICHARD RAYMOND  
DR. BARBARA MASTERS  
MR. MATTHEW MICHAEL  
MR. DON ANDERSON

## I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Welcome, Introductions, Workshop Goals and Outcomes	
Ms. Abby Dilley, RESOLVE	4
Dr. Barbara Masters	8
Ms. Abby Dilley, RESOLVE	11
Workshop Ground Rules and Agenda Review	
Ms. Abby Dilley, RESOLVE	12
Presentation: Vision for Risk-Based Inspection	
Dr. Barbara Masters	25
Dr. Richard Raymond	39
Group Discussion: Vision for Risk-Based Inspection	56
Introduction of Product Inherent Risk Presentation	
Ms. Kathy Grant, RESOLVE	87
Presentation: Product Inherent Risk	
Matthew Michael	88
Facilitated Group Discussion	101
Presentation: Establishment Risk Control	
Donald Anderson	123
Facilitated Group Discussion	144

## I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Group Discussion: Product Inherent Risk and Establishment Risk Control	172
Overview of and Instructions for Small Group Discussions	227
Adjourn	

1 P-R-O-C-E-E-D-I-N-G-S

2 (9:30 a.m.)

3 MS. DILLEY: Good morning. My name is Abby  
4 Dilley, and I'm a Senior Mediator with RESOLVE, and  
5 RESOLVE is a nonprofit organization based in  
6 Washington, D.C. We also have an office in Portland,  
7 Oregon, and we work as mediators and facilitators on  
8 public policy issues ranging from energy to natural  
9 resource issues, public health issues, agriculture  
10 issues, and we design processes that provide  
11 opportunities for people to work collaboratively and  
12 constructively together.

13 And we've responded I guess around the first  
14 of the year to a request for proposal put out by FSIS  
15 to work on a stakeholder input process on risk-based  
16 inspection, and were lucky enough to be selected to  
17 assist them with this effort.

18 Our activities are varied, and this workshop  
19 is one of those activities. We have been gathering a  
20 lot of information from stakeholders in a variety of  
21 ways including the workshop over the next couple of  
22 days, interviewing approximately 45 or so stakeholders

1 in individual interviews as well as group interviews  
2 and have been reviewing electronic submissions on  
3 FSIS' website, in terms of comments relevant to the  
4 couple of the papers that we'll be discussing today,  
5 and focusing on a range of -- in those conversations  
6 on collecting information on risk-based inspection  
7 broadly, as well as the papers that have been posted  
8 on the website, and you'll hear a little bit more  
9 about those, the concepts in those papers and FSIS'  
10 thinking and how it's involved in the presentations  
11 today as well as gathering the information over the  
12 next couple of days.

13           Ultimately, we'll be developing a report to  
14 present to FSIS. So we'll analyze the information,  
15 try and highlight where the thinking of a variety of  
16 stakeholders on various concepts associated with RBI  
17 and compare and contrast some different -- the  
18 different perspectives and competing perspectives on  
19 some of those concepts, and as well as present some  
20 recommendations for next steps in the stakeholder  
21 input process.

22           The workshop is a very significant

1 opportunity to gather a lot of input from people as  
2 you can see around the room. I think we had about, at  
3 last count, 120 people registered here at the site.  
4 We also have through webcasting a net meeting that has  
5 been made available to sites. I think we're on 25 or  
6 26 sites around the country and you know about 80  
7 people I think at last count, at the end of last week,  
8 had registered for that. We anticipate that those  
9 numbers have probably gone up. And we have people  
10 joining us everywhere from Beltsville, Maryland,  
11 Dallas, Texas, Chicago, Illinois, Alameda, California,  
12 which is really an early wake up call, and we really  
13 appreciate their getting up early and joining us this  
14 morning. And an impressive number of people from  
15 Springdale, Arkansas, and Jackson, Mississippi are  
16 also joining us, and then also several other sites to  
17 participate in this session, the workshop over the  
18 next couple of days.

19           And I just wanted to point out two more  
20 things briefly, and then come back and talk about the  
21 agenda and some other things after Dr. Masters has a  
22 chance to welcome all of you.

1           When you registered and for those on  
2 participating by net meeting, you had materials sent  
3 out beforehand. Here at the site, they're contained  
4 in this packet, tan packet. It has an agenda that is  
5 -- the agenda has been posted for a while, but we put  
6 it on lovely blue paper for you to be able to pick it  
7 out of your packet.

8           There's also the overview or some PowerPoint  
9 presentations that will be given over the course of  
10 today and tomorrow. Some of those PowerPoint  
11 presentations have been slightly modified, but I still  
12 think those will serve as a good guide for you, so you  
13 don't have to take copious notes, but -- or you can  
14 take copious notes on the slides. So you'll have  
15 those at your disposal to use, and then we also have  
16 the two papers that we referred to, that have been  
17 posted on the website, as well as some information on  
18 the expert elicitation that will be discussed as part  
19 of the product -- inherent product risk -- product  
20 inherent risk, sorry, and then also an evaluation form  
21 is in there and some other materials. So we'll get  
22 back to some of that information in your packets over

1 the course of the day, and I just wanted to point that  
2 out to you.

3 So I will be back to talk a little bit more  
4 about the agenda and some meeting protocols, but I  
5 first want to turn it over to Dr. Masters to welcome  
6 all of you.

7 DR. MASTERS: Thank you, Abby, and good  
8 morning everyone. On behalf of FSIS, I want to  
9 welcome everyone to this very important two-day  
10 meeting. I want to extend a special welcome to our  
11 Netcast attendees who are joining us from across the  
12 country, and Abby mentioned some of the sites that are  
13 participating with us from those remote locations.

14 I'm happy to be here with you today, to  
15 discuss our progress in creating a more robust risk-  
16 based inspection system and our vision for the future.  
17 I'll let the ladies catch up here.

18 I'd like to thank our host, RESOLVE, for  
19 setting up this meeting. As you may know, USDA sought  
20 a third-party facilitator on the recommendations of  
21 our National Advisory Committee for Meat and Poultry  
22 Inspection, to assist us in getting input from all of



1 our stakeholders. RESOLVE was selected through a  
2 Government contracting process.

3 At FSIS, we are committed to the idea that  
4 an effective food safety and food defense system must  
5 be rooted in science. To meet its goal of protecting  
6 public health, FSIS will continue to review policies  
7 and regulations in light of what science demands.

8 The more robust risk-based system we  
9 envision, is an example of our effort to modernize and  
10 enhance food safety and food defense. We are  
11 committed to carrying out these changes through a  
12 public process. RESOLVE has conducted issue spotting  
13 interviews with employees as well as other  
14 stakeholders to identify crucial topics in our risk-  
15 based inspection effort.

16 This meeting is an opportunity for you, the  
17 stakeholders, to express your views about our vision  
18 for a more robust risk-based inspection system. The  
19 success of this vision will depend upon the active  
20 participation of all our food safety stakeholders.

21 I want to emphasize that we are here for  
22 discussion. I'm going to say that again. We're here

1 for discussion, not to unveil a finished product.

2           During this two day meeting, we will present  
3 technical papers which discuss two key components of  
4 our envisioned, more robust risk-based inspection  
5 system, first, measuring establishment risk control,  
6 and second, determining a product's inherent risk.

7           In addition, FSIS will begin a discussion on  
8 how these two measures of risk might be used to  
9 implement a more robust risk-based inspection system  
10 to direct in-plant processing and off-line slaughter  
11 inspection activities.

12           We hope that during the workshop, you come  
13 away with a clearer understanding of our vision.

14           In addition, we want you to present your  
15 ideas and suggestions on the key components of a  
16 successful risk-based system and its implementation.  
17 We welcome your recommendations, and I want to thank  
18 you in advance for your participation. Your input and  
19 your discussion, both here and at the Netcast  
20 locations, will be critical for FSIS. We genuinely  
21 want to hear your ideas and your input. I look  
22 forward to a productive meeting. Thank you.

1 MS. DILLEY: A couple of things before we  
2 review some meeting protocols, ground rules and  
3 agenda.

4 I wanted to give people in the room,  
5 obviously in the time that we have, we don't have time  
6 for everybody to go through and given an introduction,  
7 but just to give people a sense of the composition of  
8 the room, I thought it would be helpful to maybe have  
9 you raise your hand in different group so we can get a  
10 feel for the diversity of stakeholders in the room.

11 For those of you that are FSIS employees, if  
12 you could just raise your hand. Great.

13 For those of you who are other Government  
14 employees, just a sense. Great. Wonderful.

15 For those of you, consumer or advocacy  
16 groups affiliation. Okay. Good.

17 And for those of you in the meat and poultry  
18 producing and processing industry, a sense of hands.  
19 Great.

20 Members of academia. Great. Good.

21 Any people who didn't identify with any of  
22 those groups. Oh, good. We covered just about

1 everybody. Okay. Good.

2 I also did not introduce my colleagues who  
3 are also here with me today and will serve as  
4 facilitators throughout the day and for the small  
5 groups. Paul DeMorgan is over here. He is based in  
6 Logan, Utah, but also frequents our Portland Office.  
7 I mentioned we have an office there. Kathy Grant and  
8 Brad Spangler who are over here are based in our D.C.  
9 Office, and I'm affiliated with the D.C. Office but  
10 happen to live in Grand Rapids, Michigan and, yes, I  
11 am an unabashed Tigers fan. I just wanted to get that  
12 out of the way. So those of you who are baseball  
13 fans.

14 So in looking at the -- if you will look at  
15 the workshop agenda, I just want to go over a couple  
16 of different things.

17 First of all, in terms of meeting protocols  
18 and logistics for fostering a function and productive  
19 meeting, the goals that are outlined there are really  
20 to try and maximize opportunities for discussion with  
21 FSIS, with each other, and to provide ideas and  
22 suggestions on a lot of key dimensions of risk-based

1 inspection. And we're also trying to maximize the  
2 number of people who have an opportunity to ask  
3 questions, provide comments. Obviously with -- I  
4 think we had about 120 as I mentioned, people  
5 registered for the workshop here, and then about 80 at  
6 the remote sites, those dual goals to present some  
7 challenges in terms of trying to maximize those  
8 opportunities. And the meeting protocols and ground  
9 rules and the agenda hopefully are trying to balance  
10 those challenges and get as much information over the  
11 next two days, and beyond, as possible.

12 In terms of just overall protocols on site,  
13 we'll have a combination of presentations that will  
14 reflect FSIS' latest thinking in terms of some core  
15 concepts regarding RBI, and then we also will have an  
16 opportunity and time for questions and answers as well  
17 as discussion. We really hope to maximize opportunity  
18 for discussion, both in large group, in this room, and  
19 then also this afternoon the opportunity to get into  
20 small groups where you have -- it's a less formalized  
21 process.

22 We will have -- we do have in the room the

1 two microphones you see standing. So during the Q&A,  
2 question and answer period, we'll use those  
3 microphones to make sure that you can be heard in  
4 terms of asking your questions and giving people an  
5 opportunity to participate.

6 For the remote sites, we are trying to  
7 engage them in a couple of different ways. One is to,  
8 while we are asking questions, also give them an  
9 opportunity to post questions to an e-mail address,  
10 and we'll be trying to the best of our ability trying  
11 to draw from those questions that are also posed by  
12 the remote sites to be able to put that into the mix  
13 of questions and comments that are being presented in  
14 discussions here.

15 We also will collect the information, not  
16 only that's gathered. We're collecting information in  
17 a variety of ways. There are Court Reporters who will  
18 be taking extensive notes, degenerating transcripts.  
19 We'll have people taking notes, handwritten notes. We  
20 as facilitators also will be doing some flip charting.  
21 So we will also try and capture the comments that  
22 people are raising and the essence of the discussion.

1 And hopefully in doing that, gather as much  
2 information as possible to be able to put into the mix  
3 of developing a record of the meeting as well as  
4 getting all the good ideas and suggestions and  
5 comments that will be presented over the next couple  
6 of days.

7 And subsequent to that, as I think we  
8 mentioned before, there is a website on FSIS' website  
9 or an opportunity to provide additional comments  
10 subsequent to the workshop. So comments can continue  
11 past the workshop, and those will be reviewed in  
12 addition to the material gathered over these couple of  
13 days.

14 We're also asking the remote sites and have  
15 provided them with some suggestions how to hold the  
16 small group discussions at their locations, and then  
17 pull together information from that and send it on.  
18 So we're trying to gather as much material and  
19 information over the course of the two days as  
20 possible.

21 In order encourage as productive a meeting  
22 as possible, we also wanted to suggest some ground

1 rules, and we have that on the blue piece of paper for  
2 the Agenda, and I just wanted to go over a couple of  
3 things.

4 One is to stay on one conversation.  
5 Obviously with a room of 100 plus people, and a lot of  
6 you, if not all of you, wanting to comment, it's  
7 really important to have one person talking at a time  
8 so that everyone can hear the question, and the  
9 answer. So that's very important.

10 Observing time limits is also important.  
11 I've been in several meetings where people have  
12 started out their comment by saying, I have three  
13 questions with three parts to each of those questions.  
14 Recognize that there are 100 plus people in the room  
15 who have questions as well, and if we could be  
16 respectful of trying to keep our comments and  
17 questions. We want you to ask them and we encourage  
18 you to do that, but also recognize that there are also  
19 other people that want to comment as well. So if we  
20 can keep to crisp comments, that would be very  
21 helpful.

22 And also, if you would turn off your cell



1 phones, if you haven't already done that, and pagers  
2 or at least put it to vibrate, not stun, but vibrate,  
3 that would also be very helpful. Sometimes we have  
4 musical accompaniment to comments, and that can be a  
5 little distracting. So we would appreciate that.

6 So in terms of staying in one conversation,  
7 it's helpful to have just one person talking at a  
8 time.

9 Also adhering to the agenda. As we  
10 mentioned, we have multiple sites participating. They  
11 are linked to the meeting between now and when we  
12 break at 1:15 for lunch. And in order for them to  
13 hear what you hear in terms of the presentations and  
14 the substantive information, we need to adhere to that  
15 agenda. So that means breaks really need to be 15  
16 minutes. So we need to stick to that agenda so we  
17 maximize the opportunity for the remote sites to be as  
18 engaged as you all are in the room.

19 We do have some opportunities over the  
20 course of the two days to come back to issues. It's  
21 an iterative agenda, and I'll talk a little bit more  
22 about that in a minute. We can do some fine tuning as

1 we go, but we really do need to, for example, the  
2 second day we have I think between 2:30 and 3:30, we  
3 have identified a time to address other major issues  
4 which is kind of a catchall to say, let's take an  
5 evaluation of where people really want to talk on  
6 issues that has not received as much attention or  
7 airing that people would like, and we can come back to  
8 some issues and spend some time doing that. So we do  
9 have opportunities to do some fine tuning, but in  
10 terms of time and the overall agenda, we need to  
11 adhere to it as best we can.

12           And then finally, just being respectful. In  
13 the short time we've worked on this topic, it's very  
14 obvious that there's a lot of passions surrounding  
15 food safety and risk-based inspection, and these  
16 issues involve people's careers, their livelihood, and  
17 personal and public health issues. Obviously people  
18 have very strong feelings around these issues. I  
19 think we can express those strong opinions and ideas  
20 and do it in a productive manner, disagreeing without  
21 being disagreeable, those kinds of things, to pay  
22 attention to and being respectful to one another in

1 having a productive exchange of ideas and points of  
2 view.

3 Any questions about the proposed ground  
4 rules before I turn to the agenda? Any different  
5 kinds of ground rules that you would find helpful or  
6 any additional comments on that? I'm going to pause  
7 for just a second. Any questions coming in remotely?

8 (No response.)

9 MS. DILLEY: All right. So let me walk  
10 through the agenda to talk about how it's structured  
11 in order to achieve the goals and outcomes that we've  
12 established for the two-day workshop.

13 In a minute, Dr. Masters will come back up  
14 and talk about the vision for risk-based inspection.  
15 Schedules will be schedules, and Dr. Raymond is right  
16 now, wanted to be here from the beginning. He needed  
17 to be in a meeting with I believe it's the Ambassador  
18 to Korea. Okay. And so in the interest of being  
19 flexible, but trying to stick to the agenda as best we  
20 can, Dr. Masters will give her presentation, and then  
21 if Dr. Raymond is here, we will turn to him and go to  
22 the question and answer period as you see on the

1 agenda. Alternatively, if he is not here at that  
2 time, we're going to go right to the papers and have  
3 Matthew Michael give his presentation on product  
4 inherent risk, and then we will have Don Anderson give  
5 his presentation, and then come back before the lunch  
6 break to have Dr. Raymond give his comments, and then  
7 have the question and answer discussion around the  
8 vision related presentations.

9 We're trying to be flexible in terms of  
10 accommodating people's schedules, but want to get to  
11 all of that before the lunch break at 1:15, because  
12 again the remote sites are linked to the meeting until  
13 that time, and so we want to be sure that they have  
14 heard all the presentations and the discussion, Q&A  
15 period.

16 Then we have a lunch break from 1:15 to  
17 2:30, and then I believe there are lots of  
18 alternatives to you that are fairly convenient to  
19 hopefully get you to have a decent lunch and back here  
20 to start up again at 2:30. And we'll have an  
21 opportunity for you to ask some additional questions  
22 on the presentations regarding product inherent risk

1 and establishment risk control, and then we will  
2 provide some instructions and overview of the small  
3 group discussions, and then move you into the small  
4 groups so that you can spend the better part of this  
5 afternoon, an hour and 45 minutes in those small  
6 groups discussing the questions around -- that have  
7 been formulated around those two papers, two  
8 presentations.

9           Tomorrow we will come back and start again  
10 at 9:30. Sorry, Alameda, those of you on the West  
11 Coast.

12           And, just in terms of the small group  
13 discussion, I also wanted to reiterate to the remote  
14 sites, you obviously won't be eating lunch at 9:30 or  
15 10:00. So you can do your small groups -- I encourage  
16 you to do your small group discussions when convenient  
17 for you. I just wanted to make sure you had the  
18 opportunity to do that.

19           Then we'll come back again at 9:30 tomorrow,  
20 and reflect on the discussion held over today, and  
21 then review the agenda for the day tomorrow. If there  
22 is any fine-tuning at that point, we'll talk about it

1 at that first session. Then we will have the small  
2 group reports and group discussion, up to the break at  
3 11:15, and then have another presentation on  
4 preliminary ideas on using risk to direct in-plant  
5 inspection activities and processing assignments.

6 And, then take a break for lunch from 12:15  
7 to 1:30, Eastern Time, and then come back and have a  
8 group discussion on the presentation, after you had a  
9 chance to think about the presentation over lunch, and  
10 then some more opportunity for discussion on topics.  
11 Again, that's an opportunity to come back and revisit  
12 some issues that you would like to spend more time on,  
13 not just on implementation, but over the course of the  
14 day and a half up to that point, take a break, and  
15 then a discussion of assessment of the workshop  
16 discussion and ideas for moving forward, and then  
17 summary and wrap up, and then we'll adjourn no later  
18 than 4:30.

19 So again just to mention that the agenda is  
20 structured to try and maximize as much input  
21 opportunity for discussion, questions and answers and  
22 comments. Over the course of the two days, we have

1 some time to come back and have it be iterative a bit,  
2 to revisit issues and topics over the two days, and do  
3 some fine-tuning.

4 Any questions or comments on the agenda or  
5 anything up to this point? Yes, please. If you can  
6 use a -- you can't really use a microphone can you?  
7 Let me see if you can --

8 MS. NESTOR: I can speak pretty loud.

9 MS. DILLEY: Okay. I just want to make sure  
10 that the sites can hear you, and I'm not sure they can  
11 hear you without a microphone. So please do. In  
12 order for the remote sites, we need you to use a  
13 microphone.

14 MS. NESTOR: Did I understand you to say  
15 that at the remote locations, they are also going to  
16 be having group discussions?

17 MS. DILLEY: Yes. We hope so, yes.

18 MS. NESTOR: Okay. And who is -- will those  
19 discussions be recorded and entered into the record?  
20 And who's going to be doing -- who will capture the  
21 comments in those group discussions?

22 MS. DILLEY: I believe the people at the

1 sites, right, the -- is it at the FSIS offices? Yeah,  
2 capturing and then forwarding them to the site, the  
3 e-mail address that also is how we're fielding  
4 questions.

5 MS. NESTOR: Okay. So FSIS management is  
6 going to be recording the comments?

7 MS. DILLEY: Yes.

8 MS. NESTOR: Second question, do we know how  
9 many inspectors are at those sites? What's the  
10 breakdown of inspectors versus managers at those FSIS  
11 sites or will the agency be keeping a record of that?

12 MS. DILLEY: In terms of who all is  
13 participating?

14 MS. NESTOR: Yes.

15 MS. DILLEY: Yeah, they needed to register  
16 and I believe they're capturing names and affiliation  
17 of people who are participating at those sites.

18 COURT REPORTER: You need to identify  
19 yourself for the record.

20 MS. DILLEY: Yeah.

21 MS. NESTOR: Do I need to go to the mic for  
22 that?



1 MS. DILLEY: You can just --

2 MS. NESTOR: Felicia Nestor with Food and  
3 Water Watch.

4 MS. DILLEY: Thank you. Felicia Nestor with  
5 Food and Water Watch. Thank you.

6 Any other questions, comments, at this  
7 point?

8 (No response.)

9 MS. DILLEY: Okay. All right. Then I will  
10 turn back to Dr. Masters.

11 DR. MASTERS: Thank you, Abby. We're  
12 verifying we have the right presentation for the  
13 Netcast participants.

14 I appreciate Lisa joining in at the last  
15 moment to help with slides.

16 Today we will begin discussing how we can  
17 measure risk in order to implement a more robust risk-  
18 based system, but before we delve into this  
19 discussion, I would like to acknowledge that we have  
20 been exploring the risk-based approach since before  
21 2000. The most significant milestone by the Agency  
22 was the implementation of HACCP. We have also

1 implemented many forms of risk-based pathogen controls  
2 that I will address shortly. But you may have also  
3 heard about the risk-based strategies for processing  
4 inspection by the Agency under different names, like  
5 processing inspection optimization systems or PIOS,  
6 hazard control coefficients, the HCC, and hazard  
7 coefficients or the HC.

8 I want to make clear that since that time,  
9 our thinking has evolved with lessons learned at each  
10 step. The current risk-based inspection system we are  
11 developing reflects that evolution.

12 As I mentioned, FSIS has already made  
13 progress toward a risk-based approach to food safety,  
14 especially with regard to pathogen control. One  
15 example, is FSIS' verification sampling program for  
16 listeria monocytogenes. Under this initiative, FSIS  
17 tailors its verification activities to the  
18 interventions that a plant chooses to adopt and to the  
19 potential for listeria growth in their products.

20 In other words, FSIS conducts less sampling  
21 in those plants that have the best control for  
22 listeria, and more sampling as well as in depth food

1 safety assessments in the plants that adopt less  
2 vigorous control programs.

3           Based on our progress for listeria, we  
4 announce an 11-step program that's a risk-based  
5 strategy for *Salmonella* in February. The initiative  
6 includes concentrating resources at establishments  
7 with higher levels of *Salmonella* and changes the  
8 reporting and utilization of FSIS *Salmonella*  
9 verification test results.

10           Our goal is to further enhance and  
11 strengthen our risk-based approach for pathogen  
12 control. We are currently developing a risk-based  
13 verification strategy for *E. coli* O157:H7. This is  
14 pending the completion of a baseline study for ground  
15 beef components later this fall.

16           We are taking this risk-based approach even  
17 further by exploring how we can apply risk-based  
18 concepts to the processing inspection and off-line  
19 slaughter inspection. We envision a system where we  
20 will utilize the data we have to determine the level  
21 of inspection at processing plants and off-line  
22 slaughter assignments. This allocation will rely upon

1 two measures of risk, inherent risk, or the measure of  
2 the inherent risk posed to public health by each type  
3 of processed meat and poultry product and risk  
4 control, or the measure of the amount of actual risk  
5 control achieved by each establishment.

6 Today you will hear our best thinking about  
7 the types of public health data we plan to use to make  
8 these risk-based decisions, and we welcome your input  
9 on these ideas. For example, what factors would be  
10 appropriate and adequate for inclusion in a  
11 mathematical formula to determine inspection level?  
12 Pathogen testing results, certain noncompliance  
13 records, the results of an in depth food safety  
14 assessment. How about the number of confirmed  
15 illnesses tied to specific products? Within a plant,  
16 could different processes be assigned a different  
17 level of inspection?

18 These are questions that we are now  
19 exploring, and these are questions that we will be  
20 exploring when we talk about the specific papers later  
21 this morning.

22 Tomorrow, we will begin discussing

1 implementation of risk-based inspection, focusing on  
2 how inherent product risk and establishment risk  
3 control are tied together. In a few minutes,  
4 Dr. Raymond will share some of his thoughts on how  
5 this might look conceptually. We will be seeking your  
6 input on specific questions in this area as well.

7           But for now, let's step back and look more  
8 broadly for a moment at the Agency's overall vision  
9 for our more robust risk-based inspection system. Our  
10 risk-based approach must and will be driven by data.  
11 We are building a public health data infrastructure to  
12 enable us to collect the data that we need, analyze  
13 that data and respond to that data in a way that  
14 protects public health. We need to get the right data  
15 to the right people at the right time to make the  
16 right decisions.

17           Thus, we need to get data and information  
18 flowing seamlessly across the Agency. Data must flow  
19 in real time and be continually analyzed so potential  
20 problems can be detected quickly and resources and be  
21 more efficiently used to protect public health. The  
22 data must be reliable and securely assessable.

1           In addition, these data systems must permit  
2 strategic decisions to be more traceable, measurable  
3 and easily audited.

4           So what does this really mean? As we move  
5 forward as an Agency, we envision a giant feedback  
6 loop, in which data can be quickly integrated and  
7 analyzed to make effective risk-based decisions in  
8 areas such as inspection verification activities,  
9 policies, employee training and outreach to industry  
10 and consumers.

11           Data entering the system will come from  
12 pathogen testing, in-plant verification, noncompliance  
13 records, food safety assessments, traceable food borne  
14 illness outbreaks, inquiries to our technical service  
15 center, and many other sources, and it will be in one  
16 central warehouse so that it can be accessed from many  
17 sites and for many purposes.

18           Under our risk-based inspection system, the  
19 in-plant level will be provided by FSIS, will be based  
20 on an algorithm or a mathematical formula derived from  
21 data representing the inherent product risk and the  
22 risk control factor. Again, this is the focus of

1 today's meeting.

2           However, all of the data that I just  
3 mentioned above, the pathogen testing results,  
4 noncompliance records, food safety assessment results,  
5 food born illness outbreak information, are all  
6 potential contributors to this mathematical formula.

7           However, the Agency will also be using data  
8 more broadly. We will be using data to be proactive  
9 to protect public health beyond the in-plant  
10 inspection level. All Agency decisions will be driven  
11 by data.

12           I want to share an example to better  
13 illustrate what I've been saying. For example, let's  
14 look at the traditional approach. FSIS learns about a  
15 salmonellosis outbreak from CDC or a state public  
16 health agency. If available trace back information  
17 implicates a particular establishment, FSIS conducts a  
18 food safety assessment to determine compliance with  
19 all applicable regulatory requirements. FSIS also  
20 takes action against the product and/or establishment  
21 as appropriate.

22           In a risk-based proactive approach, by being

1 able to analyze all of this data together, FSIS will  
2 begin looking at clusters of high risk isolates from  
3 FSIS verification samples to see if they come from a  
4 particular establishment or from a geographic part of  
5 the country. If they were all from a particular  
6 establishment, FSIS could then initiate a food safety  
7 assessment at that particular establishment before a  
8 potential outbreak occurs, rather than as part of an  
9 investigation of why an outbreak occurred.

10 If the cluster of highly pathogenic  
11 serotypes (ph.) was from a particular geographic area,  
12 but no particular establishment had multiple  
13 occurrences, FSIS could immediately schedule more  
14 sampling in the area to determine whether an unusual  
15 prevalence of a high-risk serotype is occurring. FSIS  
16 could do what we'd call Epi trace forward with the  
17 information by working with CDC to try and prevent  
18 outbreaks from occurring. These concepts are in part  
19 what FSIS is beginning to accomplish with the  
20 *Salmonella* Federal Register notice that was presented  
21 in February of 2006.

22 I think it's important to note that in these



1 examples, that under our risk-based inspection system,  
2 the level of inspection verification in the plant  
3 would continue to be determined from the mathematical  
4 formula that was based on inherent product risk and  
5 the plant's measure to control risk. The additional  
6 data that was used to determine whether to conduct a  
7 food safety assessment was an additional data point  
8 for verification by those trained in the food safety  
9 assessment work methods.

10           However, FSIS does envision the results of  
11 the food safety assessment, as well as the results of  
12 the *Salmonella* testing program, would both play an  
13 important factor in the algorithm, that would  
14 determine the inspection level in the establishment  
15 for the in-plant personnel.

16           Other examples of more broad use of data  
17 might be calls to our technical service center that  
18 suggests training needs or policy changes. So the  
19 giant feedback loop may have a direct or indirect  
20 impact on the in-plant inspection level.

21           In our example, it ultimately had a direct  
22 effect. We had to do a food safety assessment, and we

1 had *Salmonella* results, both of which ultimately had  
2 an impact on the inspection level at an establishment.

3           We recognize that our challenge as an Agency  
4 is to anticipate and quickly respond to food safety  
5 and food defense challenges before they affect public  
6 health. We know that the only way to accomplish this  
7 is through the use of real time data. To this end, we  
8 are replacing dial up connections with high speed  
9 access to all headquarter plants to insure that FSIS  
10 is equipped with fully integrated real time  
11 communications infrastructure. We anticipate this  
12 will be completed early in 2007.

13           Through this data infrastructure, the agency  
14 will have the ability to instantly detect and respond  
15 to abnormalities or weaknesses in the system to best  
16 insure food safety and food defense. We must be  
17 proactive in decisions based on data.

18           To continue our progress, we are using a  
19 transparent and inclusive process to seek input on a  
20 wide range of issues, such as what factors should be  
21 considered in determining inspection level, and again,  
22 that will be a lot of the focus over the next two

1 days.

2 We have begun to apply concepts already  
3 taken directly from stakeholder comment. For example,  
4 early on, the Agency recognized that not all  
5 noncompliance records posed significant threats to  
6 food safety, and this was validated by many of our  
7 stakeholders. Therefore, FSIS presented this concept  
8 to NACMPI and asked for their thoughts. We received  
9 useful feedback on those NRs that the Agency believes  
10 are appropriate to consider food safety, and we also  
11 received some input from NACMPI on some specific data  
12 analysis we should be doing to validate the ideas that  
13 the Agency has, and you'll be hearing more about that  
14 at this meeting as well.

15 And last November, a subcommittee of NACMPI  
16 recommended a third party approach to assist us to  
17 reach out and gain input from our stakeholders. To  
18 accomplish that, we selected the consulting firm,  
19 RESOLVE, who you met this morning, to help us gain in  
20 put. A NACMPI subcommittee has been providing regular  
21 ongoing guidance, and many of the NACMPI committee is  
22 here, and we appreciate the work they've been doing.

1           Also, in order to insure transparency and  
2 insure dialogue, we published the two technical papers  
3 measuring establishment risk control for risk-based  
4 inspection and measuring product inherent risk or  
5 risk-based inspection back in July. Both those papers  
6 as well as some PowerPoint presentations have been on  
7 our website, they remain on our website, and we  
8 encourage you, if you have not looked at those, to  
9 review those papers. Those are what we'll be  
10 discussing over the course of the next two days. Our  
11 website is up and we'll continue to take comments on  
12 our website.

13           As I mentioned earlier, RESOLVE has  
14 conducted issue spotting interviews with our employees  
15 as well as other stakeholders to identify crucial  
16 issues and that framed much of the agenda over the  
17 next two days.

18           We have also engaged with our employees by  
19 holding feedback sessions or focus groups in loose  
20 terms. We've had town hall meetings both in the field  
21 as well as with conference calls and Netcast meetings.  
22 We've also begun inviting members of our employee

1 associations to participate at NACMPI. We started  
2 that this spring, and we're pleased to say that we  
3 have members of our employee organizations at this  
4 meeting, as well they'll be staying for our NACMPI  
5 later this week.

6 We also have been publishing articles in our  
7 Agency publications, the News and Notes and the  
8 Beacon, to try and assist getting the information out  
9 for our employees, and so we have been working  
10 diligently to try to engage our employees in a variety  
11 of realm of ways.

12 And when this meeting is over, we encourage  
13 all of our stakeholders, our employees, our consumers,  
14 and industry and other stakeholders to continue to  
15 submit comments to our e-mail address that is at our  
16 risk-based inspection website.

17 What we do expect to report from RESOLVE on  
18 this stage of what we're doing with risk-based  
19 inspection in December, we do expect to continue to  
20 engage with RESOLVE and many other aspects of our more  
21 robust risk-based inspection. So we do encourage you  
22 to continue to stay tuned to that website and continue

1 to provide us feedback through that process.

2 We look at this workshop on risk-based  
3 inspection and the NACMPI public meeting which will  
4 follow this meeting on October 12th and 13th, as more  
5 opportunities to listen and gain insight from our  
6 employees, consumers, industry and other stakeholders,  
7 and we certainly hope everyone will take advantage of  
8 these opportunities because we think this has been a  
9 great process, and we look forward to everyone working  
10 with us through these next few days. We think we all  
11 share the same commitment to improving food safety and  
12 public health, and we look forward to hearing from you  
13 and look forward to a productive few days. Thank you  
14 very much.

15 (Applause.)

16 MS. DILLEY: Just to let all of you know, we  
17 weren't sure -- we knew he was in the car, but we  
18 weren't sure where he was. So now he's here, and we  
19 will give him a second to get himself in here and just  
20 for the remote sites, Dr. Raymond is just arriving.  
21 So we are giving him time to actually get in the room  
22 and up to the podium and then we'll have his

1 presentation and then take questions and comments  
2 until 11:00 Eastern Time.

3 We also, I realized, when I looked to my own  
4 packet to look for the PowerPoint slides that they're  
5 not in there. So we'll make some copies available and  
6 I believe the presentations will be posted on the  
7 website right after this meeting. So you will have  
8 those available to you.

9 So I guess we'll get his slides going so we  
10 have the technical kinks worked out before he starts  
11 his presentation. Good morning.

12 DR. RAYMOND: Hi.

13 MS. DILLEY: How are you?

14 DR. RAYMOND: Good. Ready?

15 MS. DILLEY: I'll turn it right over to you.  
16 Yep, you're timing is prefect.

17 DR. RAYMOND: We try. Really classy. I  
18 just have to say that.

19 Good morning everybody. I'm sorry I'm a  
20 little late. We had a meeting with the Secretary and  
21 a couple of other people in the Federal Government  
22 that make a lot more money than I do, and when they

1 ask you to be there at 9:30, you be there at 9:30. So  
2 we're flexed a little bit but I think the rest of the  
3 two days will go uninterrupted, and you'll have our  
4 due attention.

5 I know Barbara has already spoken, and I've  
6 read most of her comments, but I just want to echo her  
7 thanks for all of you who have come here for this very  
8 important 2-day meeting, and for all of those who are  
9 joining us at the 30 different locations across the  
10 country for the Net Broadcast. I also welcome you and  
11 thank you for your participation.

12 I don't think there's any doubt that this  
13 may be the most important project that we've  
14 undertaken since I came on board 15 months ago, and I  
15 do intend to see it go through fruition, although by  
16 the time I'm done with my job, I know there will still  
17 be changes being made as we learn and go along the  
18 way, as there are with so many. But we do need to  
19 work together to build this more robust risk-based  
20 inspection system of that I am certain, and I think  
21 most of you are, too, and that is why you are here.

22 Our current system is very strong. If you



1 look at our incidents of food-borne illnesses and the  
2 reductions since 1998, you have to agree that reducing  
3 *E. coli* by 29 percent, *Listeria* by 32 percent and  
4 *Campylobacter* by 30 percent is an amazing  
5 accomplishment. It's an amazing accomplishment. But  
6 if you also look at the numbers very carefully, you'll  
7 see most of those changes, most of that improvement  
8 was made between 1998 and about 2001, and for some  
9 things like *Listeria* particularly, we've kind of  
10 plateaued out for the last four years. *E. coli* has  
11 plateaued out for the last three years.

12 Things have kind of stagnated, and we need  
13 to find a better mouth strap. We need to continue to  
14 drive those numbers down because there are too many  
15 people getting ill and too many people dying from  
16 food-borne illnesses, and that's what we're all about  
17 today. We're not looking for more resources or more  
18 FTEs. We're trying to take what we have and use those  
19 more wisely and more efficiently, bring the advantage  
20 of the experience we've got out there.

21 Another benefit that I think we'll see  
22 coming from a more robust risk-based inspection is

1 taking those 7,000 front line inspectors and allowing  
2 them, encouraging them, to use their God given  
3 talents, to use their scientific knowledge and  
4 background and to gain additional knowledge through  
5 training on how to play more of a key role in  
6 protecting the food supply of America, and our  
7 international trading partners also. We need them to  
8 be more active.

9 I want to -- oh, that's a face made for  
10 radio.

11 (Laughter.)

12 DR. RAYMOND: Can we go -- there we go. Do  
13 we have the ones with A, B, C or just -- it makes a  
14 difference. If we can -- never mind, Lisa, we'll just  
15 use this. I'm sorry. I had asked Lisa to make some  
16 changes, and I didn't get a chance to see them. She  
17 did exactly what I asked. I didn't --

18 What I want to talk about very briefly  
19 today. Some of you have seen this matrix. We call it  
20 a Nona matrix. Nona is Greek for nine. So Bryce  
21 Quick thought we had to have some fancy name on it.

22 The Y-axis is the inherent product risk,

1 which is one of the things we're going to talk a lot  
2 about today. The X-axis is establishment risk  
3 control.

4 Now we've been spending a lot of time on  
5 inherent product risk. We're going to spend a lot of  
6 time the next few days talking about inherent product  
7 risk, and we're going to take this point here as low  
8 risk. This is going to be something like a canned  
9 ham, and the top up there is going to be something  
10 like ground poultry, and that's part of our job the  
11 next couple of days, is to decide where all the 23  
12 different categories of food products will fit into on  
13 that-axis.

14 And then the X-axis for establishment of  
15 risk control is another one that we're going to spend  
16 a lot of time on today. There's a lot of debate, a  
17 lot of controversy, and a lot of work put into this.  
18 The Agency kind of stopped what they were doing about  
19 a year ago and kind of revamped it, took a different  
20 tact at my request, so we would have something that I  
21 could sell and I could support, and I think we've got  
22 that now. The details we're going to work on this

1 week with all of you. But for establishment risk  
2 control, we will use things like noncompliance  
3 reports. We will rank noncompliance reports. There  
4 will be some that quite frankly won't enter into this  
5 equation because they don't affect public health.  
6 There's no risk.

7           There are others that are extremely risky,  
8 and they may have a heavier weight than the ones that  
9 are moderately risky for public health. We'll take a  
10 look at things like food safety assessments,  
11 microbiological testing, test results, for which there  
12 is no human element to enter that. We'll take a look  
13 at consumer complaints, food defense plans, recalls,  
14 and many other issues will be brought up the next  
15 couple of days.

16           Now, for example, my other matrix would have  
17 a plant over there in level 5. That would be a plant  
18 maybe making ground turkey, that has a history of  
19 multiple noncompliance reports being written, had a  
20 couple of NOIEs, maybe it flunked its last *Salmonella*  
21 set with like 35 percent positive. It just has a bad  
22 record. It shows it can't keep the *Salmonella* out of

1 its poultry, and it's making a high risk product. So  
2 that fits over there in level 5.

3 Then we'll take the same product, ground  
4 poultry, and put it up here on level 3, in the upper  
5 left-hand corner, because that plant gets very few  
6 noncompliance reports. It's spic and span. It's  
7 clean. Management, all the way from the owner to the  
8 newest front -- newest processor on the line, they all  
9 believe in HACCP, they all believe in SOP, they all  
10 believe in food quality and food safety, and they  
11 don't get NRs and their last *Salmonella* test had 5  
12 percent positive samples, and they're just a plant you  
13 like to eat chicken from.

14 Level 1 is making canned hams down here, and  
15 that plant also doesn't get any NRs and has never had  
16 a recall and has never had a consumer complaint, and  
17 that's the place where you want to eat meat from. I  
18 don't want to eat meat from level 5 plants, and most  
19 of America don't know where the level 5 plants are,  
20 and our job is to get rid of those level 5 plants and  
21 move them to the left by increased attention.

22 My other matrix would have level 5 would be

1 plant A, plant B would be up here in the upper left-  
2 hand corner, level 3, and plant C would be down here  
3 in level 1. And we've got one inspector covering  
4 those three plants. That inspector today might spend  
5 about two hours at each plant and one hour traveling  
6 between each of those plants. So they're all about  
7 the same amount of inspection.

8           And when I talked to some of our inspectors  
9 and met with them and had scenarios like this, they  
10 all will tell you, if they've been on the job very  
11 long, they've got one plant they worry the most about,  
12 the one plant they worry the least about, but they  
13 don't have a lot of flexibility to spend more time in  
14 the plant they worry the most about because they've  
15 got PBIS assignments that they have got to be done in  
16 all three plants that week. And so they may spend a  
17 little more time in plant A, but not a whole lot.  
18 They don't have that kind of flexibility, and it's  
19 certainly not based on scientific gut feeling. And  
20 they tell me it takes about a year to really get  
21 confident to get that gut feeling. So when someone  
22 fills in for them, they just do each plant for two

1 hours. When a new inspector comes on, they do each  
2 plant for two hours until they get a better feeling  
3 for the plants.

4 We want to do something that's based on  
5 science, that we can stand behind, that will assign  
6 the inspectors to spend more time in plant A over in  
7 level 5 than in plant C down here in level 1. That C  
8 maybe only needs 30 minutes a week or a day, and an  
9 inspector knows if she goes in there, she isn't going  
10 to find anything. The plant's going to have  
11 everything lined up, everything in order, everything's  
12 been done by the book, and she's going to sign her  
13 papers and she's going to go on back to plant A again.

14 So that's really a high level picture of why  
15 we want to get there and how I think we can get there.

16 And I want to talk for just a minute about  
17 the noncompliance reports which is going to be one of  
18 the six elements down here in establishment risk  
19 control, and the reason we need some help from you all  
20 today and tomorrow in trying to decide which NRs  
21 should rank the highest on determining the plant's  
22 ability to control risks, is because they're not all

1 the same. I use an analogy of traffic violations, and  
2 most of you heard that. So I'm going to change that  
3 today because you haven't heard the new one.

4 The new one is football because it's  
5 football season. And when you're playing a football  
6 game, you've got two teams playing against each other,  
7 and the rules are primarily so that no one gets hurt  
8 or at least reduce the risk of someone getting hurt.  
9 Football is not a 100 percent safe sport, and are also  
10 there so that one team doesn't get an advantage over  
11 the other team.

12 And we can look at plants the same way. So  
13 we have these rules. And if someone on the bench says  
14 some nasty word that the official hears, he may blow  
15 the whistle and stop and he'll provide a warning to  
16 the bench that he doesn't want to hear that again.  
17 Nobody got hurt. Nobody got an advantage. It's just  
18 not good.

19 Now if someone jumps off side or has motion,  
20 they'll blow a whistle, throw a flag, and they'll  
21 assess a five-yard penalty. No harm done. Nobody is  
22 going to get hurt by that. Somebody might have got a



1 little bit of an advantage, but probably not much. So  
2 five years, play the down over, no big deal.

3 If someone stopped holding in the line, on  
4 the offense, that's a little bit bigger deal.  
5 Nobody's going to get hurt, but the team gets a little  
6 unfair advantage. So we don't like that. So we  
7 assess a 10-yard penalty.

8 But now if we've got some infraction where  
9 there was danger of someone getting hurt, or there's  
10 danger of getting hurt, we're going to call a 15-yard  
11 penalty. That's roughing the passer. That's roughing  
12 the kicker. And why do we do 15-yard penalties for  
13 those two, because those people, right after they come  
14 to the ball or thrown the pass, they're vulnerable.  
15 They're at risk, and so we need to protect them. We  
16 need to do more to make sure this game is safe and cut  
17 down on the risk of injury. The same as we do when we  
18 do a little bit more in the plants.

19 Now if there's a little skirmish, a little  
20 scrimmage, they get up and go pushing and a little  
21 shoving, the officials, they don't like that either  
22 because that can erupt into a full blown fight, and

1 that could end in a lot of people getting hurt. So  
2 they're going to issue their own little NOIE. They're  
3 going to say, if I see that again, I'm going to  
4 enforce. So clean up your act today. You don't have  
5 until tomorrow.

6 Now, of course, in all of these penalties,  
7 the coach, management, always has the opportunity to  
8 ask for a review, called an appeals process in our  
9 industry. So someone else can take a look at what the  
10 action was and see if it was appropriate or not. The  
11 official, they also have the ability to do risk-based  
12 inspection during the game, because there are some  
13 players who are known to be a little bit dirty.  
14 They're known to push the limit. They're known to  
15 have taken quarterbacks out for the season with a bad  
16 hip. They're going to watch those people a little  
17 more closely to make sure the game is as safe as it can  
18 be and that those vulnerable populations are  
19 protected, and that no one gets an unfair advantage.

20 That's the NRs, help us. Tell us which NRs  
21 are going to cause people to get hurt. Tell us which  
22 NRs would be an unfair advantage if they're not

1 followed. We need your help on that, and we can do  
2 that together, I'm sure. I have confidence in it.

3 We've tried to be open and transparent in  
4 this whole process. We really have. We may have made  
5 a couple of mistakes along the way for which I've  
6 already publicly apologized. We've tried to make up  
7 for those errors, of perhaps not being as quite as  
8 open as we thought we would be, but today is kind of a  
9 culmination about openness and tomorrow and the next  
10 two days at NACMPI.

11 We've been meeting with consumers monthly  
12 since Barb and I took our jobs. We've been meeting  
13 with industry on a regular basis. We've even started  
14 having joint meetings with industry and consumers so  
15 they can all hear each other's concerns. We have  
16 extensive meetings with our own employees. We have  
17 had town hall meetings. We have had four focus  
18 groups. We've asked them to participate in this  
19 meeting, and also in the last few NACMPI meetings.  
20 The web page is open for their comments. We have been  
21 out in the field visiting with them individually and  
22 Barb and I even flew to Fort Payne, Alabama, and then

1     drove to Huntsville, so we could meet with Mr. Painter  
2     (ph.) personally, and spent the better part of the day  
3     discussing risk-based inspection with Mr. Painter,  
4     quite some time ago, to let him know where we thought  
5     we were going, and get his feedback at a very early  
6     stage in this process.

7             If we can work together for the next couple  
8     of days, and come up with constructive criticisms, it  
9     would help us build a better mousetrap. So if  
10    everybody has a chance to be heard and listened to, so  
11    we can all agree, and we need to move forward with  
12    this. I don't think there's any disagreement that we  
13    can do a better job. The disagreement is exactly how  
14    is it going to look, and we need to be -- we need to  
15    work together on that.

16            This meeting is about the Y-axis and X-axis.  
17    This meeting is not about inspection. This meeting is  
18    not about a single food safety agency. This meeting  
19    is about these two axes and if we limit our  
20    conversations to that, everyone will get more out of  
21    this meeting. If we drift off into something, like  
22    budget, which this does not affect, we're going to

1 waste a lot of people's time who won't get to say as  
2 much as they'd like to say. So I ask you all to keep  
3 it to the Y-axis and X-axis for the next two days.  
4 Make the best out of the time that we can because the  
5 other issues, we'll continue to have discussions on  
6 them on a regular basis, but they're not what we're  
7 looking about today.

8 I want to say two things. This is not about  
9 budget. This will not save the Agency one thin dime.  
10 This is not about FTEs. This will not cost anyone  
11 their job. It's just that the inspectors will spend  
12 more time in plant A than plant C, but that  
13 inspector's still got three plants to get to on a  
14 daily basis. I don't know how to save money doing  
15 that. The Secretary has said this publicly at a  
16 conference last week that some of you were at. When  
17 the Secretary puts his reputation on the line, he  
18 obviously expects us to back that up and we will. So  
19 we don't need to get in that discussion either today.

20 Lastly, before I wrap up and get to the meat  
21 of what we're here for two days, I want to talk about  
22 the face-mask penalty one more time. Face masks

1 haven't always been on football helmets. They came on  
2 in the late fifties, and when they did, some people  
3 began grabbing hold of them to tackle people and  
4 people's necks began to get hurt and some people broke  
5 their necks and some people even died from that  
6 particular use of face mask as a tool.

7           The NCAA naturally doesn't like that. They  
8 formed a committee to take a look at, here's something  
9 new, we need to make a rule to protect the runner.  
10 Now I can just imagine the first time they got  
11 together, somebody thought, we'll, it's a brand new  
12 piece of equipment. We ought to just warn them.  
13 Someone else is using it intentionally and they're  
14 going to hurt somebody, eject them from the game.  
15 Somebody said 5-yard penalty. Somebody said 15-yard  
16 penalty. And if they would have taken two or three  
17 years debating what the right penalty was, somebody  
18 would have died. So they came up with a 15-yard  
19 penalty. That penalty has evolved over time. They  
20 now say 5 yards for accidentally touching the face  
21 mask, 15 yards for grabbing hold of it and tackling.  
22 They made that change as the implementation became

1 more available, and we will make changes as we get  
2 more information but in the meantime, we need to take  
3 what we have today because there are people getting  
4 sick today and there are people dying from food-borne  
5 illnesses, and I don't want to wait another two years  
6 to polish this thing up to where it's perfect because  
7 it won't be perfect. Things change in public health,  
8 and if you don't change with it, you're moving  
9 backwards. And so I want to move with the changes,  
10 and I want to create a system that we can be proud of,  
11 that we can defend, that will save lives. It won't  
12 save a dime. It won't cost one person's job.

13           So once again, I look forward to sitting in  
14 the back and listening for the next couple of days,  
15 absorbing as much as we can, taking copious notes,  
16 taking all the information we can gather from this  
17 meeting, the people on the Netcast and also from those  
18 who might prefer to submit their comments  
19 electronically to our web page in an anonymous  
20 fashion. They will all be treated with an equal  
21 amount of respect and sincerity.

22           So with that, I hope we have a great meeting

1 for two days. I know some of you will be NACMPI  
2 meeting. So for those of you with that kind of  
3 perseverance for four days, I congratulate you and  
4 thank you, too. So let's go to work.

5 (Applause.)

6 MS. DILLEY: Before you retreat to the back  
7 of the room, we're going to have you sit here as well  
8 as Dr. Masters. We have until 11:00 Eastern Time,  
9 some time to ask questions about vision. This was the  
10 big picture that gave you the sense of the grid and  
11 the inherent product risk and establishment risk  
12 control in terms of the major factors generating the  
13 inspection level, and we'll talk more about that in  
14 different chunks. Shortly we'll talk about the two  
15 papers and concepts on the two axes. Right now it's  
16 an opportunity to ask Dr. Raymond and Dr. Masters  
17 about their presentations on vision and the big  
18 picture of risk-based inspection.

19 If you could please, we encourage the site,  
20 the off-site locations, to forward some questions that  
21 they have. We also would ask those of you in the room  
22 to use the microphones and identify yourself before



1 asking a question. So with that, for those of you who  
2 would like to ask some questions, to use the  
3 microphone.

4 (No response.)

5 MS. DILLEY: I can't believe there are no  
6 questions. You're thinking about it. All right.  
7 Please.

8 MS. KOWALCYK: Barbara Kowalcyk, Safe Tables  
9 Our Priority.

10 MS. DILLEY: Thank you.

11 MS. KOWALCYK: I just want to go back to  
12 Dr. Master's presentation, in which -- well, first of  
13 all, I think I can say safely that risk-based  
14 inspection is an ideal that most people can agree  
15 with, and obviously with limited resources, we need to  
16 find an efficient and effective way to allocate those  
17 resources to protect public health from food-borne  
18 illness.

19 That said, of course, and I talked to  
20 Dr. Raymond and Dr. Masters many times, and my biggest  
21 concern here is the data. And I fully understand that  
22 we don't have two to three years to wait to have

1 accurate data, but certainly we need to start going  
2 down that road as quickly as possible. How many times  
3 has the Agency implemented a program with the idea of  
4 polishing it later, and it takes an awfully long time  
5 to get the polish out. The microbiological baseline  
6 surveys are a perfect example. Those were supposed to  
7 be revisited on a continual basis and have recently,  
8 10 years after the fact, been started. So that's my  
9 big concern.

10 But I wanted to talk about Dr. Masters said  
11 that the Agency hopes to develop an algorithm, a  
12 mathematical model, in which to come up with a -- I  
13 guess a measure of risk to be used in this RBI system.  
14 How much data do you currently have, and what progress  
15 have you made in developing that model? Typically  
16 developing a model takes a very long time, and you  
17 have to go through not only collecting the data,  
18 developing it, and then validating the model. So I  
19 was just wondering how far along you were with that  
20 process.

21 MS. DILLEY: So generating the model and  
22 collecting data and how long -- how far along?

1 MS. KOWALCYK: Right. How far along is the  
2 development of the algorithm mathematical model and,  
3 you know, also when you're doing model development,  
4 you also have to update models, and I greatly  
5 appreciate the fact that you've put in Internet, high  
6 speed Internet access and things like that. I'm just  
7 a little concerned as to how far along are you in that  
8 process.

9 DR. MASTERS: That's a great question and a  
10 lot of what we'll be talking with Don Anderson and  
11 Matthew Michael's papers, because the pieces we see  
12 contributing to that algorithm are the inherent risk  
13 of the product which will be one component of the  
14 algorithm, and then in Don Anderson's paper when we  
15 talk about risk control in the establishment, there's  
16 many factors we see contributing and some of those are  
17 much further developed based on the last NACMPI  
18 meeting which is pathogen control for which the Agency  
19 has many components of that data already prepared to  
20 put into that algorithm. There is the NRs, and we are  
21 working through the process of validating which NRs  
22 which would be those of public health concern, and

1 Dr. Raymond expressed some challenge to this audience  
2 to help us get that finalized. So we have that piece  
3 of information.

4 We have consumer complaints which the Agency  
5 already has data on consumer complaints. And so the  
6 validated consumer complaints. Recall data that we  
7 would be looking at, Class 1 and Class 2 recall data.  
8 Then we're looking at food safety assessments, and  
9 questions that we'll be talking to you all and we're  
10 trying to get some additional information from this  
11 audience today, on food safety assessments any other  
12 design components we should be considering.

13 So we'll be asking you a variety of  
14 questions around the data we currently have, and how  
15 we should use that to finalize the algorithm. So I  
16 think it will become a little bit clearer through  
17 Don's presentation today, how we're trying to put that  
18 algorithm together with the data that we have as an  
19 Agency that we're trying to complete. Don, do you  
20 have anything you want to add briefly for the good of  
21 the cause?

22 MR. ANDERSON: This is Don Anderson

1 speaking. I don't know that I do right now. I will  
2 be giving a fairly in depth presentation, and I think  
3 that questions are going to come up not only about --  
4 we really sort of got three algorithmic processes,  
5 because we're coming up with a measure of inherent  
6 risk. We're coming up with a measure of risk control,  
7 and then we have some way which may or may not be  
8 mathematical to bring it together into this matrix  
9 that both Dr. Masters and Dr. Raymond showed you. So  
10 I think it would be best to let some of that come out,  
11 and then to ask specific questions about things as we  
12 go along.

13 DR. MASTERS: And then, Barbara, if it's not  
14 clear on the second day after you've been through  
15 those detailed presentations, we have a time window in  
16 the second day that you can resurface this question.  
17 I think this will be a little bit clearer after we've  
18 walked through the presentations.

19 MS. DILLEY: Okay. Next question? If you  
20 can identify yourself and --

21 MR. MUNSELL: John Munsell from Montana  
22 Quality Foods and Processing, as well as the

1 Foundation for Equality in Regulatory Enforcement.

2 Dr. Masters, in your presentation, you made  
3 several very good statements in regards to you talked  
4 about the feedback, the feedback loop. Various  
5 components of that loop included pathogen testing,  
6 verification testing and traceable outbreaks. You  
7 also mentioned about the information needs to flow in  
8 real time or quickly, as immediately as possible.  
9 Also you made the comment that all Agency decisions  
10 would be driven by data, and I fully agree with all  
11 your statements.

12 But I think, how do we apply that to events,  
13 previous events? For example, since May of this year,  
14 there have been seven *E. coli* related outbreaks on  
15 ground beef, and of those seven plants, they're all  
16 small plants, and five of those seven plants don't  
17 slaughter. Well, since ground beef and *Salmonella* are  
18 enteric pathogens, that is emanated within the  
19 intestine, and it can be found on hides, and those  
20 nonslaughter plants don't have intestines or hides,  
21 how can we from a health standpoint, how can the  
22 Agency best protect public health. So my suggestion

1 is simply that a sampling -- the Agency's sampling  
2 protocol should be changed that would enable immediate  
3 of real time trace backs to the true source of  
4 contamination. You know, it's a concern amongst these  
5 small plants that they're being made responsible for  
6 pathogens discovered that came in from other  
7 facilities. So my suggestion is that the whole trace  
8 back effort has to be brought up to date.

9 DR. RAYMOND: Barbara, while you prepare  
10 your thoughts for the long question from John, I'm  
11 just going to jump on the end of it a little bit and  
12 say, first of all, Mr. Munsell, I agree with you. You  
13 know, the further we can go upstream, the further we  
14 can go up the river to stop the problem from  
15 occurring, the better. We don't want to deal with  
16 outbreaks. We don't want to deal just a small grinder  
17 that bought his product from someplace else. One of  
18 the things that this will allow us to do, that we  
19 can't do right now, you know, to recall or to take  
20 action against a slaughter facility. You have to have  
21 everything just. I mean everything has to line up  
22 just perfect. To do increased inspection, you don't

1 have to line everything up just perfect. If we have  
2 reason to indicate that the product came from a  
3 particular plant, that we can't 100 percent prove, we  
4 can still increase the inspection upstream.

5 DR. MASTERS: And to that end, where we're  
6 at today, John, I certainly welcome your input, and I  
7 know you sent some comments through our website, and  
8 we appreciate that. Where we're at based on our  
9 NACMPI meeting, the Agency currently keeps what we  
10 call our STEPS database, which is our supplier  
11 tracking system. So we do keep that database. The  
12 recommendation that we have as an Agency is to  
13 incorporate into the inspection level through the  
14 algorithm, the information from the STEPS database  
15 into the algorithm for inspection level at the  
16 supplying plants. So there is some level of  
17 consideration being given to incorporating that data  
18 into the inspection level at one level. You're  
19 suggesting that it be taken to another level. So we  
20 would certainly welcome that input, but just to let  
21 you know, there is some consideration being given to  
22 including that STEPS database that we do currently



1 have as an Agency.

2 MS. DILLEY: Next question?

3 MS. NESTOR: Felicia Nestor, Food and Water  
4 Watch. This is an important meeting, and I'm sure  
5 that the transcript is going to be available on the  
6 website. So I just want to make a couple of comments  
7 for the record because, you know, I just want the  
8 American public to know that Dr. Raymond's statements  
9 about what's going on in plants are not  
10 uncontroversial.

11 Before I say that, I want to say, I've been  
12 at some of the public meetings where Dr. Raymond has  
13 talked to inspectors, and I have heard the inspectors  
14 say, and inspectors have told me privately, they do  
15 currently have the flexibility to go from one plant --  
16 to cover the bad plant and not spend so much time at  
17 the excellent plant. So they ask me why do we need a  
18 change? If the Agency is going to -- is pushing for  
19 this change, you have to be certain that this  
20 algorithm that you're coming up with is superior to  
21 the experience, the day-to-day experience of the front  
22 line personnel in those plants.

1           The second thing I want to take issue with  
2 is the scenario that inspectors are in the plants two  
3 hours a day. This year we alerted the Agency and, you  
4 know, I don't know why it's our job to alert the  
5 Agency, but we did alert the Agency that there was an  
6 assignment in the Northeast where an inspector was  
7 covering 18 plants. It's my understanding that in the  
8 focus group meetings, for RBI, that someone mentioned,  
9 some front line person mentioned that there was  
10 someone covering 26 plants in Philadelphia for 3  
11 weeks. So I talked to inspectors around the country  
12 that are doubled and tripled up. They have -- they're  
13 covering 12 plants. That is not two hours in a plant.

14           When you have the inspectors so strapped,  
15 they don't have time to write the NRs, which it's  
16 becoming the NRs are going to be a very critical part  
17 of the Agency's data. So if the inspectors are not in  
18 the plant to write the NRs, we're starting off with,  
19 you know, faulty data.

20           Dr. Raymond, you say that this won't save  
21 any money, that there's going to be no decrease in  
22 FTEs. The fact of the matter is that the Agency is

1 allowing attrition to cut down on the money you spend  
2 on staff, and there is -- in effect, a hiring freeze  
3 in many, if not most, if not all of the districts  
4 around the country or at least there was until October  
5 1st.

6           So finally to get to my question here, you  
7 know, you're talking about the transparency in this  
8 process. Again, you mentioned NRs at the NACMPI  
9 meeting in November 2005. You mentioned it at the May  
10 2006 meeting. I just talked to a number of consumers  
11 today who have never seen a NR. I don't know whether  
12 the academicians that are on the National Advisory  
13 Committee have ever seen a NR. I don't know how, you  
14 know, in contrast, I would say that every industry  
15 representative here has seen at least one NR and has  
16 probably been engaged in, in depth discussions with  
17 the Agency for years about what are in NRs, why NRs  
18 should be written, how they're written, what the  
19 categories are. So to ask consumers to come to this  
20 meeting and go sort of head to head and give our  
21 opinion about what you should do with NRs, when you  
22 have refused to describe a NR, to provide a copy of a

1 NR, you know, it makes, you know, it questions -- it  
2 calls into question the legitimacy of this process.  
3 How you can ask people who have no expertise, who  
4 you're not willing to give any information, for advice  
5 on how those NRs should be used, you know, I just  
6 don't get it.

7 I spoke to one inspector who said to me,  
8 what, what do they mean by transparency? Do they mean  
9 invisible?

10 MS. DILLEY: Okay. So I heard -- I'm sure  
11 there's a lot of comments and questions in some of  
12 your statements, and the couple I heard in terms of  
13 the key pieces that Dr. Raymond and Dr. Masters may  
14 want to respond to is how does this all play out in  
15 terms of the inspector level? So how does the  
16 algorithm fit with what the inspector is doing in  
17 terms of inspection level effort? And then the other  
18 piece of it was transparency of data in terms of how  
19 decisions are being made and one what information and  
20 who has access to that information. So, Dr. Raymond,  
21 Dr. Masters.

22 DR. RAYMOND: I'll start out, just so

1 everybody in the room does know, that the NRs, samples  
2 of NRs are posted on our website and have been posted  
3 on our website for quite some time. We can find out  
4 exactly how many months or years, but if you want to  
5 look up what a NR looks like, it is on the web, number  
6 one.

7           Number two, there's probably some people in  
8 this room who don't know what a speeding ticket looks  
9 like, but they do know what the effect of the speeding  
10 ticket is, and I think most people in this room  
11 understand the effect of a NR. Now if I have one  
12 group tell me we don't write enough NRs, and I have  
13 industry telling me we write way too many NRs, we must  
14 be doing it just about right.

15           Now I won't argue if there are some  
16 inspectors that have too many plants on their  
17 circuits. We've had these discussions in public many  
18 times, Felicia. There are times that we have a  
19 shortage. Someone leaves, someone quits, one gets  
20 sick, and somebody has to fill in that slot. But just  
21 so everybody in the room does know, we did do a hiring  
22 freeze on October 1 of 2005, and we still have just as

1 many front line inspectors working today as we did  
2 then because we did not free front line inspectors.  
3 The 200 employees that we have fewer today than we did  
4 before are central office workers for the most part  
5 and district office workers.

6 MS. DILLEY: Dr. Masters, did you want to  
7 comment?

8 DR. MASTERS: The only thing I would comment  
9 is that in working with our in-plant employees, I  
10 think it is important for everyone in this room to  
11 walk away with a clear understanding that our  
12 employees do have the flexibility to work through  
13 their assignments today. What Dr. Raymond was  
14 sharing, when we have these conversations with our in-  
15 plant employees, they do have the flexibility to make  
16 some decisions today based on their knowledge of the  
17 in-plant environment, as to how they want to allocate  
18 their time. They get a PBIS schedule and if they have  
19 five plants on their assignment, they're going to be  
20 allocated that eight hours across those five plants.  
21 And they have the time to do unscheduled procedures.  
22 What the in-plant employees we have talked to have

1 shared with us is that, yes, they are trying to do a  
2 level of risk-based inspection based on their  
3 knowledge of the facilities. And we credit our  
4 inspectors for the good job that they are doing today.

5           What the employees who have talked to us  
6 have said is, it takes time, knowledge and experience  
7 to make some of those decisions, and some are able to  
8 do it more quickly than others based on the time they  
9 have and the experience they have in those facilities.  
10 And when someone comes in on relief, they don't have  
11 that same time, knowledge and experience to make those  
12 kinds of decisions.

13           What we're suggesting by trying to work  
14 through algorithms and to work with an objective  
15 system as opposed to a subjective system, is to give  
16 our inspection program personnel tools to allow them  
17 to have an additional piece of information that takes  
18 away the initial first, that piece of information that  
19 they have to start with. That wouldn't likely  
20 preclude them from still using the knowledge that they  
21 have of each operation above and beyond this tool that  
22 makes that first cut for them. So when they go in on

1 a relief assignment, they have an objective cut, so  
2 that if you have an A establishment and a C  
3 establishment, and they have to make decisions, for  
4 example, if they are doubled up, where should I go  
5 first? I'm on relief, and I've never been into any of  
6 these plants before. We need to give our inspection  
7 program personnel all the tools we can to make their  
8 jobs easier, and to get them into those establishments  
9 that need them to do the inspection the most.

10 And then over time, when they do unscheduled  
11 procedures, obviously they're going to use the  
12 knowledge that they have of those operations to  
13 continue to do those kinds of things.

14 But I think if I had to answer the question  
15 to an inspection personnel, why do I need this, I  
16 think this is just an additional tool above and beyond  
17 the innate knowledge that they have gained over time  
18 that is very beneficial. We want to provide them  
19 another level that allows them to have even better  
20 tools when they're trying to make these inspection  
21 decisions at the in-plant level.

22 MS. DILLEY: Okay. We have five minutes



1 until the break, and we have four people standing at  
2 the microphone. So if we could take those questions  
3 briefly, and then have some time for response. We  
4 also recognize that this was a challenge to begin with  
5 in terms of doing this only in 20 minutes. So we'll  
6 see if we need to come back to some of these issues a  
7 little later this afternoon or tomorrow, but please,  
8 why don't you go first, and then we'll move through  
9 this as quickly as we can.

10 MR. SEWARD: Skip Seward, American Meat  
11 Institute. I just want to applaud both Dr. Raymond  
12 and Dr. Masters for the effort here, and we look  
13 forward to working with you as part of the industry to  
14 make this a reality. So thank you very much for the  
15 public meeting and for your comments.

16 Many establishments produce multiple  
17 products, presumably with different inherent risk  
18 profiles, and the risk control surrounding those might  
19 be somewhat variable. So could you comment briefly,  
20 if you can on how you see the management of those  
21 kinds of situations on a risk-based inspection  
22 program? Thank you.

1 DR. MASTERS: The question as I understand  
2 it is a plant produces multiple products, and the risk  
3 control varies around, not only does the inherent risk  
4 vary, but the risk control varies, and there's  
5 multiple thoughts. One extreme might be that the  
6 inspection level would be geared toward the product  
7 with the highest inherent risk, and the worst risk  
8 control, and so -- but we'd welcome feedback from  
9 everyone participating here as to whether we should  
10 have multiple inspection levels or gear it towards the  
11 worst case scenario, and that's one of the things we'd  
12 like your feedback on, and I think you'll see that  
13 very question in one of the presentations later today.

14 MS. DILLEY: Please identify yourself  
15 please?

16 DR. O'CONNOR: Dr. Bob O'Conner. I work at  
17 Foster Farms, the Director of Food Safety and Quality.

18 I also applaud the efforts that both of you  
19 and the agencies are moving towards. I think accuracy  
20 of data is a very good point, and I would definitely  
21 like to see that in the program.

22 I do want to return real quickly though, to

1 the sports analogy, Dr. Raymond. I appreciate it. I  
2 think it's a good analogy. My one point though is  
3 that I think we all know in football or soccer or  
4 whatever sport, there are some referees who we would  
5 label as bad referees, or bad refs. And if you end up  
6 with a game, if you're a soccer coach on Saturday, and  
7 you see that same referee who made bad calls in your  
8 three previous games, you know, you kind of start out  
9 the game on a bad note.

10 And I guess I would like you to recognize  
11 that when it comes to NRs, actually here's one. NRs  
12 can be very subjective, and I think the Agency  
13 realizes that. I think consumers should realize that  
14 as well. When we discuss NRs, I think that's one  
15 thing that needs to come out on the table is how  
16 subjective a NR can be. And just like a referee, you  
17 know, calling a foul or penalty in a game, you get the  
18 same effect with NRs. So I think we need to be very  
19 careful how much we actually judge an establishment  
20 based on things like NRs. For instance, the number of  
21 NRs received by an establishment can be very  
22 deceiving.

1 MS. DILLEY: So how decisions are being made  
2 and what kind of appeals process.

3 DR. O'CONNOR: And in the appeals process,  
4 we don't have instant replay.

5 MS. DILLEY: Right.

6 DR. RAYMOND: But you can criticize in the  
7 press. If you're the coach, you'll get fined. But we  
8 do recognize that, Dr. O'Connor, and that's one of the  
9 things that a year ago, when I came on board, when we  
10 started looking at this, I realized the human element.  
11 We had to try to reduce it as much as possible, but we  
12 can't eliminate it any more than you can eliminate the  
13 human element of the officials. If you've got an  
14 official that continues to make mistakes in your  
15 mind's eye at least, you should ask someone to take a  
16 look at that, too, the same as they do with our  
17 inspectors.

18 One of the reasons we're trying to pare down  
19 the NRs that really count is to get the ones that are  
20 just the most obvious. I mean if the temperature in  
21 the chiller is not the right temperature, the  
22 temperature in the oven is not the right temperature,

1 there are some things that are irrefutable.  
2 Microbiological sampling eliminates human error.  
3 We're trying. We recognize that. We recognize that  
4 as a significant element that we're trying to -- and  
5 that's one of the things that we want to hear about,  
6 how do we help reduce the human element. We're going  
7 to have humans out there writing NRs. How do we help  
8 make it a fair playing field?

9 MS. DILLEY: If we could go ahead and have  
10 the people standing at the mics state your questions  
11 and then maybe if there's some duplication, then we  
12 can address them, in the time, in the couple of  
13 minutes.

14 MS. BUCK: Hello. My name is Pat Buck, and  
15 I'm with Safe Tables Our Priority, and my question is  
16 for Dr. Raymond. And, Dr. Raymond, you know, I don't  
17 have all the expertise that I really need but you have  
18 that grid there with your levels, and I understand,  
19 you know, how it's put together with the X-axis and  
20 the Y-axis, but what I'm confused about is will there  
21 be any, you know, maximum limits which, if you go  
22 beyond those, what will then happen? And if there are

1 maximum limits, how are you going to go about  
2 enforcing them? Is that a crucial component of this  
3 grid that there will be limits set?

4 MS. DILLEY: Okay. So the limitations?  
5 What are the implications of reaching those  
6 limitations and then enforcement.

7 MS. BUCK: Yeah, and how will we reinforce  
8 them.

9 MS. DILLEY: If we could have the other  
10 three people that are standing at the mic just put  
11 their -- put your questions on the table, and then  
12 we'll come back to those and make sure those are  
13 addressed. So, please, go ahead.

14 MS. MUCKLOW: Rosemary Mucklow with National  
15 Meat Association. I always hesitate to disagree with  
16 the Administrator in public because it would get me in  
17 trouble in the future. But I would suggest that the  
18 early efforts to systematize came through the actions  
19 in the 1980s with the passage of the Processed  
20 Products Inspection Act in 1986, that set up PBIS.  
21 And it was a major first step before we got to HACCP.  
22 And it assigned a very systematized work process to

1 inspectors. Before that, they just used to walk  
2 around, and you had the guy that watched the ceilings  
3 and the guy that watched the floors and so on. We  
4 made huge improvements then.

5 Further, I'd like to suggest, NRs are very  
6 publicly available and are frequently requested  
7 through the Freedom of Information Act. I'm not  
8 suggesting that that activity increase, but they're  
9 not an unknown quantity out there, and the union  
10 organizing effort is often based on NRs and extracts  
11 from the same.

12 We applaud the Agency as an organization, as  
13 my predecessor, Skip Steward, this is a major step  
14 forward and that's why I've come all the way from the  
15 West Coast to be here today and to contribute. Thank  
16 you very much.

17 MS. DILLEY: Thank you.

18 MR. KOWALCYK: Michael Kowalcyk, from Safe  
19 Tables Our Priority. I'm also a current member of the  
20 National Advisory Committee for Meat and Poultry  
21 Inspection.

22 In past committee meetings, we've talked a

1 lot about data and obviously measuring establishment  
2 risk or product inherent risk, you're bringing in data  
3 from various sources, and I would like to see from the  
4 Agency, if you have anything available. Your current  
5 vision is to have that data, how you see that being  
6 structured.

7 In my professional life, I work in database  
8 marketing, and I know that any model you build is only  
9 as good as the data that goes into it, and a key  
10 component of that is the management of that data and  
11 the quality assurance of that data to make sure what  
12 you have in your system is accurate and timely. I  
13 don't know if the Agency has anything right now as far  
14 as an ERwin Data Model or some type of schematic that  
15 would illustrate what your vision is and how you would  
16 manage these massive amounts of data because it looks  
17 like a very onerous task.

18 MS. DILLEY: So data collection, management  
19 modeling and where you are and your vision for that,  
20 the limits implications and enforcement piece. Okay.  
21 So we can -- would either one of you want to respond  
22 to those briefly, and then we'll take a break.



1 UNIDENTIFIED SPEAKER: One question if I  
2 may?

3 MS. DILLEY: One question to throw in. Then  
4 why don't you go to the microphone and ask it. Oh,  
5 you've got one.

6 UNIDENTIFIED SPEAKER: He has to read it?

7 UNIDENTIFIED SPEAKER: Yeah.

8 MS. DILLEY: Okay.

9 UNIDENTIFIED SPEAKER: This question is from  
10 Neal Westgerties (ph.), USDA. The X-axis  
11 establishment risk control appears to be a measure of  
12 industry control of risk through the perspective of  
13 FSIS. Is this correct? If correct, what can be done  
14 to, one, consider risk control due to industry's  
15 efforts, that is an industry program perspective?  
16 Perhaps an evaluative measure of industry programs?  
17 Two, can the proposed X and Y matrix assist in FSIS'  
18 response to identified risks? And, three, can it  
19 enhance our ability to identify in-plant risks?

20 DR. RAYMOND: Well, I'll try that one first,  
21 and then we'll go backwards for those on the net.  
22 That's a good question, and I'm going to throw out

1 another question because of that question. One of the  
2 things that we have been discussing and discussing and  
3 struggling with a little bit that we hope to get some  
4 guidance from folks today and tomorrow, is when we  
5 look at inherent product risk for instance, poultry  
6 carries a certain risk. We know what the *Salmonella*  
7 rates are on chicken carcasses. How do you then  
8 translate that risk to cooked, ready-to-eat chicken  
9 product? Is that the plant's control? Is that  
10 inherent control by the plant by cooking? Or does it  
11 become the inherent risk of the product? There's  
12 certain things that some plants use different  
13 chemicals in their Jell-Os, et cetera. Is one of  
14 those better than another one as far as the plant's  
15 ability to control risk? And those are some things we  
16 do want to talk about, the NRs and the microbiology  
17 for testing and sampling are there, but where do we  
18 put in a plant that has a \$1 million piece of  
19 equipment that detects a pinpoint size of feces on a  
20 carcass compared to the plant that doesn't have that  
21 particular piece of equipment, or maybe that's not a  
22 good example, but there's lots of examples like that.

1 Plants are innovative. Innovation sometimes it's  
2 helpful. Sometimes it turns out not to be worth it.  
3 But how do we put those in there on that X-axis?

4 So it's a good question. I don't have an  
5 exact answer for that. Do you have anything to add on  
6 that one?

7 MS. DILLEY: Okay. So either data or the  
8 limits implications and enforcement.

9 DR. RAYMOND: Pat Buck had asked about  
10 limits on the grid and, Pat, I'm going to take the  
11 license here in interpreting what I think you were  
12 asking about.

13 On the inherent risk of the product, it  
14 would be product categories ranked 1 through I think  
15 it's 23, but it may be 24. There's a certain number  
16 there that they'll be ranked. I mean it's not like  
17 the top one gets thrown out and we'll never eat it in  
18 America. We just need to make it safer.

19 As far as the plants, as you move across  
20 from the left to the right, I think your question is,  
21 at what point do you say that's the limit? After  
22 that, the plant can't operate, and I think that's an

1 excellent question. I had not thought about it from  
2 that standpoint. I don't even have -- I can't even  
3 begin to give you an intelligent answer other than  
4 that's -- I like the question. It's one that needs to  
5 be looked at. Somewhere along the line, there should  
6 be some kind of limit to say, hum, this is going to  
7 generate a food safety assessment. This is going to  
8 get an EIAO officer into that plant and, you know, to  
9 see if we need to do the next step, the NOIE, et  
10 cetera, et cetera. So I think it's a good point, and  
11 it's something we'll certainly take into  
12 consideration. Is that -- was that the gist?

13 MS. BUCK: Yes.

14 DR. RAYMOND: Okay.

15 MS. DILLEY: Dr. Masters, any on data or --

16 DR. MASTERS: Yeah. First, Rosemary, you  
17 didn't disagree with me. I didn't just get into depth  
18 on my history lesson there. So I wouldn't disagree.  
19 We've got more systematic with PBIS. Thank you.

20 On the data, again, we are going to --  
21 Michael will get into the data in the next two  
22 presentations. If we have not laid out a complete

1 plan for everybody in the audience to understand where  
2 we're going after we've heard from Matthew and Don,  
3 the Agency wants to make sure where we're going. Data  
4 is driving the system. So we will have a chance  
5 tomorrow afternoon, if people feel like they need more  
6 detail, we can try to bring that back tomorrow if  
7 people still feel like that's a topic for that general  
8 discussion tomorrow. Most of it is in Matthew and  
9 Don's papers and presentations, and what they'll be  
10 able to answer. If there's still a general sense, we  
11 need to lay more out in that area of data and what  
12 we're doing with the data, that would certainly be a  
13 topic we can spend some more time on tomorrow  
14 afternoon. We want people to leave here with the  
15 clear perspective of what we're doing with our data.

16 MS. DILLEY: Okay. So with that, it is now  
17 10 after 11:00, and we need to stick to the 15-minute  
18 break. So we will come back at 25 after and start  
19 with the product inherent risk presentation right at  
20 25 after. Thank you.

21 (Off the record.)

22 (On the record.)

1 MS. DILLEY: A couple of things before we  
2 turn it over to Kathy Grant, to facilitate this  
3 portion of the program.

4 This morning, until lunch, we have two  
5 presentations, like we talked about this morning.  
6 We've got four big pieces that we're trying to present  
7 FSIS' thinking and have some opportunity for questions  
8 and comments, and then also time for discussion, and  
9 the big pieces of that are the product inherent risk  
10 and establishment risk control, both the axes this  
11 morning already. We're delving more deeply into  
12 those, and providing a richer discussion of those in  
13 terms of presentation, also time for questions and  
14 answers, after each presentation. That will go until  
15 lunch, at 1:15. By the way, at the registration  
16 table, there's a list of suggested restaurants just  
17 for your convenience, to look over if you need that  
18 that are relatively close to the area. We will get  
19 started right at 2:30 this afternoon. So we hope that  
20 you can go to someplace nearby in order to be back in  
21 time to start right at 2:30.

22 Also, I'll just mention to the remote sites,

1 we appreciate your identifying yourself when posing a  
2 question. We also need you to please add to the e-  
3 mail your location, and part of that is helpful to us  
4 so that when we select questions or comments from the  
5 remote site, so we be sure we're trying to get a  
6 sprinkling from all over the country. So if you could  
7 supply that information that would be helpful to us as  
8 well.

9 Also at the registration desk is a pair of  
10 sunglasses that apparently somebody left. So if these  
11 look like yours, or if you're looking for a new pair  
12 of sunglasses -- if these are yours, they'll be up at  
13 the registration table. So you can get them there.

14 So I will turn it over now to Kathy Grant.

15 MS. GRANT: Okay. So we're going to start  
16 now and have two presentations on the two papers  
17 beginning with the paper on product inherent risk, and  
18 then the second presentation will be on measuring  
19 establishment risk control.

20 These handouts are in your packet. We did  
21 not have Dr. Masters' or Dr. Raymond's presentations  
22 available to make copies to put in your packet.

1 However, they will be on the website. So you will  
2 have access to them. These are in your packet. The  
3 first one looks like this. It says Measurement of  
4 Inherent Risk in Processed Meat and Poultry Products.

5 So let's start and have Matthew Michael  
6 introduce himself and give his presentation, and then  
7 we'll have at least 15 minutes for questions and  
8 answers at this time on the questions. We'll have  
9 more time later on.

10 MR. MICHAEL: I'm Matthew Michael, and I'm  
11 going to talk today about our current thinking on  
12 inherent risk and our work so far in developing a  
13 measure of inherent risk. I'm also going to throw out  
14 a few of the major outstanding questions we have in  
15 developing the measurement.

16 In my first slides, I've covered issues that  
17 Dr. Masters and Dr. Raymond already talked about, but  
18 I'm going to go over them again, to provide a specific  
19 context for my presentation.

20 Risk-based inspection. FSIS is developing a  
21 new system of inspection which will better allocate  
22 Agency resources to control the risks posed to the



1 public health by meat and poultry products.

2           RBI and Measures of Risk. Allocation of  
3 Agency resources under risk-based inspection, or RBI,  
4 at each inspected processing establishment will rely  
5 upon two measures of risk.

6           Inherent risk measure. That's what I'll be  
7 talking about today. It's a measure of the inherent  
8 risk posed to the public health by each type of  
9 processed meat and poultry product, assuming typical  
10 process control by the producing establishment.

11           And also we have the risk control measure,  
12 which is the measure of the amount of actual risk  
13 control achieved by each establishment, and Don  
14 Anderson will be talking about risk control.

15           I think what may or may not be clear from  
16 this definition is that we plan to calculate one or  
17 more measures of inherent risk per establishment. How  
18 many yet is to be cited, and it was actually the  
19 subject of a question that came up earlier. So the  
20 idea is that we would calculate measure of compliance  
21 and then determine allocation of resources.

22           Measure of inherent risk provides a relative

1 value for the risk posed to the public health by each  
2 category of processed meat and poultry product  
3 produced in an official establishment. Again, we're  
4 only talking about processing here.

5 And it takes into account the species of  
6 animal processed, the type of processing which those  
7 two components together make up the hazard component,  
8 hazard part of our equation, and also takes into  
9 account production volume which is our exposure  
10 component and the production volume would be collected  
11 and used from each official establishment.

12 The inherent risk formula that we're  
13 developing, is based on the general equation that's  
14 used to calculate risk which is hazard times exposure  
15 equals risk, whereas it's written here, hazard  
16 component times component exposure equals risk  
17 measure. In our case, our equation is species process  
18 value times volume equals inherent risk. And we  
19 combine species and process into a single value which  
20 represents hazard, the hazard component, and we  
21 combined it into a single variable to account for the  
22 different risks that products might pose in

1 combination. For example, all things being equal, raw  
2 poultry might pose more risk than raw pork in some  
3 situations. If we separate the two species and  
4 processes, we had earlier attempts at this, we get  
5 double counting and all sorts of things, and a lot of  
6 this development work is explained in the paper that's  
7 on the Internet.

8           So we have the species/process value, which  
9 is a hazard component, and then we have volume.  
10 Volume, we've used as a proxy for exposure. We  
11 consider volume -- we're going to assume a direct  
12 relationship between volume produced and exposure to  
13 the inherent risk posed by the product.

14           So next I'll talk about how we developed the  
15 values for the hazard component or the species/process  
16 value.

17           We determined the initial values for 24  
18 categories of species/process combinations through  
19 expert elicitation. Expert elicitation is a method  
20 that's commonly used to supplement, integrate and  
21 interpret an existing qualitative and quantitative  
22 data into a framework for making decisions. The use

1 of expert elicitation dates back about 20 years. The  
2 cites example of its use is probably a Nuclear  
3 Regulatory Commission expert elicitation conducted in  
4 1989, where they collected expert opinion regarding  
5 risk of accidents at nuclear power plants, some of the  
6 most famous -- cited.

7 A more recent one, that's similar to this  
8 one, is EPA conducted an expert elicitation on the  
9 effective changes in the level of particulate matter  
10 in air pollution on mortality, and notably, the  
11 National Research Council actually recommended that  
12 the EPA use expert elicitation to develop this data.  
13 That is expert elicitation is a little more complex  
14 than ours. They're actually estimating bounds of  
15 statistical uncertainty but it's a very similar type  
16 process, and I think when you review the literature  
17 and see that agencies have conducted hundreds of  
18 expert elicitations over the past 20 years, in cases  
19 where you have a mix of data or you have incomplete  
20 data, and you want to consolidate it and use it for  
21 decision making.

22 So we conducted an expert elicitation to

1 collect values for 24 categories of processed product.

2           The experts themselves, with whom we talked  
3 to, were 23 experts from academia, the Federal  
4 Government and industry, and we asked them to score  
5 the 24 categories, species/process categories, to  
6 reflect the relative risk of illness per serving that  
7 each poses to consumers. Now let me just say, it says  
8 we asked 23 experts which is true. Actually, we had a  
9 contractor conduct this elicitation, and initially the  
10 list contained 32 experts. Nine did not respond. So  
11 23 of those that responded. And a list of the experts  
12 has been posted in the inherent risk paper that's on  
13 the Internet. It's been on there since July I  
14 believe.

15           We asked the experts to provide both the  
16 relative ranking of inherent risks and scores --  
17 inherent risks and scores that reflect -- we asked  
18 them to provide both the ranking of inherent risks and  
19 also scores that reflect a proportional risk. So we  
20 said, for example, among these 24 products, pick the  
21 one least likely to pose a risk of illness per serving  
22 and give it a 1, and then pick the product you feel is

1 the riskiest and give it a score that is  
2 proportionate. So if you think it's 10 times riskier,  
3 100 times riskier or 1,000 times riskier, give it that  
4 number. Don't fill in the numbers in between, and by  
5 doing this we hoped to get the ranking of risk among  
6 these products and also a notion of proportionality of  
7 risks.

8 Experts were given a specific set of  
9 assertions, provided to insure that they would each  
10 calculate their scores in the same context and also  
11 that the scores would be comparable when we used them.  
12 I already mentioned, we asked them only to look at  
13 risk of illness per serving. We didn't ask them to  
14 consider severity of illness for example. We also  
15 didn't ask them to consider further processing. We  
16 asked them to think about products that would be a  
17 finished product when it left the plant, reached the  
18 consumer. We did ask them to assume typical  
19 processing by the consumer which is good or bad.  
20 There's a number of assumptions we gave to the experts  
21 to sort of constrain the way they gave us scores and  
22 that was necessary to make sure that we get comparable

1 data. But we asked them to make those assumptions and  
2 not consider those factors does not mean we're not  
3 going to consider those in creating the measure of  
4 inherent risk. And some of my questions pertain to  
5 how we work these other factors into the data we have  
6 already such as severity, further processing, et  
7 cetera.

8 This is a chart and it's of the median  
9 values of the experts per product type. It's also  
10 presented in some of the information on the Internet.  
11 I think this chart is slightly different in that it's  
12 been ranked in descending order. And we see that the  
13 raw, nonintact products are up, the high median score  
14 was a 10, ready-to-eat products in a bag without  
15 subsequent exposure to the environment, they're down  
16 at the bottom and the products in between. And then  
17 given the assumptions that we gave the expert, this is  
18 about what we would expect assuming they're risk of  
19 illness per serving, and not severity and not further  
20 processing, et cetera. So these are the median  
21 scores, and that's what we've been looking at. We've  
22 been using the median as measurement of central

1 tendency, but I have a question about that later  
2 whether we should do something else.

3           And then if you remember back to my earlier  
4 slide, our equation which is species/process value  
5 times volume, we are collecting volume data right now  
6 from -- or we're about to. We will shortly. Our  
7 inspection personnel, we're going to collect data from  
8 them, in each plant, to give us estimations of volume  
9 data for each type of processed product in each  
10 establishment, and they're going to give us various  
11 ranges of volume, production, amount of production per  
12 day, et cetera, and then we're going to use that data  
13 -- we're going to use that data to create the  
14 exposure variable in our equation.

15           So now I'm going to move onto some of the  
16 outstanding questions we have about our developing  
17 equation. The first question has to do with how we've  
18 measured the expert scores we've received. We have  
19 tentatively decided to use the median of the expert  
20 scores in the inherent risk algorithm. Is there an  
21 alternative we should consider?

22           And I will say, we have chosen the median



1 because there is some literature on expert elicitation  
2 that suggests you should use the median. There's been  
3 some studies done that show that experts have a median  
4 in mind when they participate in expert elicitations,  
5 and thus when you aggregate their answers, if you use  
6 a median, you probably get closer to what they were  
7 considering but, of course, there are other  
8 elicitations where you use the mean or the geometric  
9 mean, et cetera.

10 The second question is about thermally  
11 processed, commercially sterile products, typically  
12 canned products. We didn't include them in the  
13 elicitation for scoring, and the reason being that our  
14 own in-house people felt that experts would believe  
15 they were so much safer than the next safest product,  
16 that we would get a very skewed range of answers from  
17 our experts and would make it less useful. But, of  
18 course, we do want to include them in our measure of  
19 inherent risk.

20 So exactly how should we fit them into the  
21 range of species/process values now? And one option  
22 would be you could say it was the safest and fit it in

1 with a 1 and adjust everything else accordingly. You  
2 could do a number of things.

3 And here's a question about some of the  
4 assumptions we asked the experts to make. To better  
5 ensure comparable expert data, experts were asked not  
6 to account for any processing after product leaves the  
7 establishment of origin. For example, no cooking at a  
8 second establishment or no preparation at retail.

9 But, of course, this is very typical. A lot  
10 of product is further processed in an establishment or  
11 produced at retail, and we do want to account for that  
12 when we conduct risk-based inspection. The question  
13 is, how do we fit that into our algorithm and how do  
14 we account for that? So if a processed product is to  
15 receive further processing at another establish, how  
16 should we account for its inherent risk?

17 If you have a product, you're producing  
18 ground beef, and you know it's going to go to a plant  
19 where it's going to be cooked to be ready to eat,  
20 which value should we use for that first  
21 establishment?

22 If a processed product is to be further

1 processed at retail, how should we account for its  
2 inherent risk? You know a plant that's producing  
3 product that's going to be cooked at retail or by an  
4 institution, what value should you use for that plant,  
5 or how should you adjust the value it's been given,  
6 given the product it's producing?

7           The fourth question is about our volume.  
8 How do we translate the volume data we collect for  
9 each type of processed product produced at each  
10 establishment into an exposure variable for that  
11 establishment? And we're going to be asking  
12 inspectors to give us estimates of volume, of  
13 production, for all the products produced at these  
14 plants, and it's going to give us a number of ranges  
15 of volume per type of product per plant. It's a lot a  
16 lot of data. And we want to translate that into a  
17 factor, the second half of this equation. What's a  
18 good way to do that?

19           And here's a question that was asked  
20 previously. Given that most establishments produce  
21 more than one type of product, how should inherent  
22 risk data for each establishment be presented? We

1 could do a worst-case scenario, as Dr. Masters  
2 mentioned we could. We could do separate values per  
3 product. We could do an average or an aggregate,  
4 where you're going to get some strange numbers if you  
5 do that. You have plants that produce, as you all  
6 know, a wide variety of products but this is an  
7 important question that we need to answer. How will  
8 we present the inherent risk data for plants that  
9 produce a variety of products?

10 And my last question -- severity of illness,  
11 and as I mentioned, we asked experts to simply not to  
12 consider the severity. This is one of the things we  
13 asked them not to consider. The reason being, there's  
14 a lot of uncertainty about severity. There are also a  
15 lot of factors that some experts might consider that  
16 others might not. Some might be thinking about  
17 valuation of life and others might not. Some might be  
18 looking at different data, et cetera, and, of course,  
19 we do want to consider severity of potential illness.

20 So how should we account for severity of  
21 possible illness in calculating the risk inherent to  
22 each type of meat or poultry product?

1           And I believe that's the last question.  
2       Yes.

3           MS. GRANT:   Okay.   So as I said, we have  
4       about 15 minutes, a little bit more than 15 minutes  
5       right now, for questions about his presentation.  
6       After we finish this part, we'll also have a  
7       presentation and about 15 minutes on the other paper,  
8       and then later, we'll have an hour to, you know, ask  
9       more questions or raise more issues.   So if you could  
10      think of these 15 minutes as really trying to clarify  
11      anything you didn't quite understand about what was  
12      said, and we can have a fuller discussion later.   And  
13      then in the small group discussions, at the end of the  
14      day, then we're going to delve into each one of these  
15      questions and come up with some answers and see your  
16      perspectives on that, how to answer those specific  
17      questions.

18           So again, if we could line up, identify  
19      yourself, remind the remote sites to identify where  
20      you're located when you send us a question.   I  
21      actually didn't see who lined up first, but let's  
22      start over here.

1 MS. SMITH DEWAA: This is Caroline Smith  
2 Dewaa with the Center for Science in the Public  
3 Interest, and I think you're going to find there are a  
4 number of questions on this. I want to make clear  
5 that I think the exercise is a good one to try to rank  
6 meats by the inherent risk posed by those.

7 I do have concerns that this expert  
8 elicitation may not have quite achieved your  
9 objective. And one of my questions is in the -- in  
10 advising the experts of how to actually do the  
11 ranking. How did you advise them? Because I'm just  
12 looking at their maximum scores, and one of them --  
13 many of them is 10, is the maximum, the riskiest  
14 product was ranked 10. Some of them it's 5. We have  
15 one panelist who had 20, another had 25, one had  
16 300,000 as the maximum score, one had 2 -- no, 300  
17 million, another had 200,000. I mean it's -- we have  
18 such -- it seems to me very difficult to compare  
19 results between the experts when clearly the experts  
20 weren't given direction on how to, how to evaluate,  
21 how to rank the products so that it could even be  
22 comparable between -- from expert to expert.

1 MS. GRANT: Matthew, before you -- I notice  
2 that many of you are realizing that you have in your  
3 packets an explanation of the elicitation process, the  
4 list of experts, et cetera, for those of you who might  
5 not have realized that. I'm just pointing that out to  
6 you. Go ahead, Matthew.

7 MS. SMITH DEWAA: By the way, on pages 1 and  
8 2 of page 27, if you want to see what I'm looking at,  
9 I'll be happy to hand it to you.

10 MR. MICHAEL: The contractor in this case  
11 went over the instructions with the experts in groups  
12 and in paper, and followed up with them. They did  
13 follow up with the two you mentioned. There were two  
14 outlier experts that had extraordinarily high scores,  
15 and the contractor followed up with them to make sure  
16 that they in fact did understand the instructions, and  
17 they did. They just had very diverse opinions.

18 In the case of the other scores, we started  
19 a statistical analysis, a cluster analysis, and we see  
20 some pretty good agreement among these experts. The  
21 different between 10 and 100 might seem huge, but it's  
22 not so much -- when you put it in context of the guys

1 who scored 30 and 200,000, I do consider them to be  
2 outliers in this group, that's not to denigrate their  
3 opinions, but they're just not obviously within the  
4 consensus of this group.

5 We're fairly confident that the experts did  
6 receive good instructions. I think there's a  
7 diversity of opinion on these, the risk posed by these  
8 products, and we -- one of the reasons we asked them  
9 to make some of the assumptions when they were scoring  
10 them, was because we expected some diversity in the  
11 scoring.

12 MS. GRANT: Sandra.

13 MS. ESKIN: Hi. I'm Sandra Eskin, and I'm a  
14 consumer member of the National Advisory Committee on  
15 Meat and Poultry Inspection. My question goes back to  
16 also the expert elicitation. You said you gave them a  
17 number of assumptions. Again, one of the questions  
18 that you have posed to us deals with how to factor in  
19 severity of illness. My question goes to among those  
20 assumptions, was the assumption that the risk was to a  
21 healthy, middle-aged person? Did any of these -- do  
22 you know if any of these experts or did you direct



1    them to consider the fact that for many groups in the  
2    population, children, older consumers, people who have  
3    -- who are immune suppressed, food-borne illness is  
4    much more of a danger to them.  Is that all factored  
5    into your expert elicitation?

6           MR. MICHAEL:  It was not factored into the  
7    expert elicitation.  We did ask them to assume that  
8    the people consuming the product would be healthy  
9    adults, and we did that intentionally.  We planned to  
10   factor in severity knowing that the young, the old or  
11   the uncompromised are most often affected.  But we  
12   were really constraining it to try to get comparable  
13   data from the experts.  The more things they have to  
14   consider, for example, you know, maybe some proportion  
15   of these consumers are old, maybe some are  
16   uncompromised, it's less likely we're going to get  
17   comparable data.  So we really see these experts as a  
18   starting point, and the questions I've asked are on  
19   things we need to add in to modify these values to  
20   make them reflect severity, to make them reflect  
21   further processing, et cetera.  We're fairly confident  
22   that the numbers are consistent within themselves.

1 MS. ESKIN: Well, again, I think that is a  
2 factor that must be considered.

3 MR. MATTHEW: Absolutely.

4 MS. GRANT: Skip.

5 MR. SEWARD: Skip Seward, American Meat  
6 Institute. Matthew, when it comes to the production  
7 volume, have you developed a concept further -- far  
8 enough yet to have an idea of how you're going to  
9 assign a numerical value and how that's going to be  
10 broken down or right now it is just a concept?

11 MR. MICHAEL: No, we've talked about some  
12 things, different kinds of rankings, different kinds  
13 of proportion but, no, we're still in the process of  
14 beginning to collect the data.

15 MR. SEWARD: Okay. Thank you.

16 MR. WALDROP: Hi. Chris Waldrop, Consumer  
17 Federation of America. Going back to your expert  
18 elicitation again, I notice that you said it was made  
19 up of academia, Federal Government and people from the  
20 industry. I think an element that you're missing  
21 here, especially for a public health program, are  
22 academic and public health universities, consumer

1 groups, medical doctors, public health officers,  
2 people who come at this from a different perspective,  
3 maybe give you different risk rankings or you could at  
4 least sort of compare them to what these other groups  
5 came up with. And I think also because it's a public  
6 health program, it would bring sort of a valuable  
7 perspective that needs to be considered when you're  
8 bringing this final -- when we come out of the final  
9 ranking of risk.

10 MR. MICHAEL: Okay.

11 MS. GRANT: Go ahead, Barbara.

12 MS. KOWALCYK: Barbara Kowalcyk, Safe Tables  
13 Our Priority. I also wanted to make a comment on the  
14 expert elicitation. One, I have a few questions about  
15 the sample size, and how that was determined, just  
16 because 23 does not seem like a very big number, and  
17 especially when you ended up with outliers and if you  
18 look at the distribution, you had probably five or six  
19 people that were using clearly a different scale, and  
20 when that's one-fourth of your overall data, then that  
21 presents a problem.

22 The other thing is on your slide, talking

1 about species/process values, the second bullet, it  
2 says expert elicitation is commonly used to  
3 supplement, integrate and interpret existing  
4 qualitative and quantitative data into a framework for  
5 making decisions. What quantitative data is the  
6 Agency using to integrate in with this expert  
7 elicitation to assign risk factors for the different  
8 categories?

9 MR. MICHAEL: With regard to your first  
10 question, we did an initial culture analysis on the  
11 agreement with the experts, and we're continuing that  
12 and, you know, depending on how that comes out, we can  
13 further discuss how best to use the values, and it's  
14 one of the reasons we asked about using the median  
15 value. It's a problem that commonly comes up in  
16 expert elicitation is what measure of central tendency  
17 do you want to use.

18 In regard to the other data, I think we plan  
19 to use whatever's available and answer at a minimum  
20 some of the questions I posed, how do we factor in  
21 severity, how do we factor in further processing, how  
22 do we factor in intervention? For example, some

1 products subject to *Listeria* is processed under  
2 different processes, and there's data on that. I know  
3 there's analysis data available and we plan to use  
4 that. How exactly we plan to use that in conjunction  
5 with these numbers, it has not been determined. For  
6 example, some products subject to *Listeria* is  
7 processed under different processes, and there's data  
8 on that. I know there's analysis data available and  
9 we plan to use that. How exactly we plan to use that  
10 in conjunction with these numbers, it has not been  
11 determined.

12 MS. KOWALCYK: I'm sorry. Just one more  
13 follow up question to that. It would be very nice to  
14 know exactly what data you have in your possession now  
15 and what data you're going to be collecting in the  
16 future, fill in those blanks.

17 MR. MICHAEL: Okay.

18 MS. GRANT: I think everybody in this line  
19 was up before.

20 MR. KOWALCYK: Michael Kowalcyk, Safe Tables  
21 Our Priority. I just wanted to follow up on the  
22 gentleman's question about the volume measures. It

1 seems like right now in the paper that was presented  
2 on the website, it's very a very simplistic measure,  
3 2, 1 1/2, 1. I'm glad to see the Agency is  
4 investigating how they're going to account for that.

5 Another concern is where you have an  
6 establishment that processes more than one species in  
7 a plant, let's say you have a plant that processes two  
8 species, if the Agency is taking a public health  
9 approach, shouldn't it be recommended that they  
10 default to the riskier of those two species, the  
11 riskiest of multiple species in computing the inherent  
12 risk score because of cross-contamination issues,  
13 process issues. Those are very complicated issues.  
14 So I hope the Agency is aware of that.

15 MR. MICHAEL: It could be. As you probably  
16 know, there's just such a variety of types of plants  
17 for processing of products. We even have plants that  
18 grind raw product, ship raw ground product and ship  
19 canned product. And so using the volume of those  
20 products, it's a proxy for exposure, and then trying  
21 to factor them in, you know, it's very complicated. I  
22 think we'll consider all of those.

1 MS. GRANT: Okay.

2 MR. REINHARD: Bob Reinhard, Sara Lee  
3 Corporation. My question is related to what was put  
4 out in the July 19, 2006 information on risk-based  
5 inspection related to the Y-axis. There were  
6 questions on plant interventions that are not being  
7 asked and I assume that it's going to be open for  
8 discussion in the breakouts. So I'll go ahead and  
9 allow that to happen at that point in time. But there  
10 were questions that were put out, and it is important  
11 that we comment on.

12 My second comment is related to volume, and  
13 it is, it is true that an exposure will have a public  
14 health offense. I don't believe, and we don't believe  
15 in this industry, that volume should play a part on  
16 necessary the Y-axis, that that's a plant control  
17 volume, and if you took that out and considered moving  
18 that to the X-axis, you could differentiate and get a  
19 similar product based on volume along the X-axis,  
20 knowing that that directly affects public health and  
21 exposure, and not cloud the issue on the Y-axis of  
22 which products are more or less risky.

1           And then my second comment related to that  
2 is the use of attribution data wasn't used, and that  
3 we assume and the instructions were that the expert  
4 panel use that or consider that when looking at  
5 exposure and illness per serving, we would encourage  
6 FSIS to go back in the future, if they continue to use  
7 this model, and improve it using the attribution data  
8 out there on actual public health --

9           MR. MICHAEL: I think -- with regard to your  
10 first question, I think Don is going to talk about  
11 intervention in his presentation on risk control. The  
12 second comment is very interesting, and the third one,  
13 I assume, I'm certain some of the experts did consider  
14 attribution data. You can see it from the Excel  
15 charts we put on the Internet that some experts did  
16 record their comments explaining why they gave certain  
17 scores. Others did not.

18           MS. GRANT: Okay.

19           MS. DONLEY: I'm Nancy Donley with STOP,  
20 Safe Tables Our Priority. I just have an issue to  
21 raise to everyone as far as the volume component. I'm  
22 a little bit concerned that, you know, that with what



1 was brought up here, where you have multiple types of  
2 product going through a plant, that's one issue, but I  
3 will say this, that I don't understand where if we're  
4 looking at product, as purely product, that a volume  
5 works into it at all because pathogens frankly do not  
6 discriminate based on plant size or amount of volume  
7 that goes through the plant. I understand how with a  
8 plant that's producing, you know, 100 times what  
9 another plant is, as if there's going to be an  
10 exposure down the line to the public, but it does not  
11 factor into the inherent risk of the product itself.

12 And it's just a -- I was sitting here  
13 thinking, if you have a product that has a very high  
14 risk factor, but is coming from a very small plant,  
15 let's just say, and then you have a plant that is  
16 making a product, a fully cooked product that is not  
17 exposed to any -- that's cooked in the bag, but has a  
18 huge amount of volume going out of there, how do you  
19 weigh that?

20 MR. MICHAEL: I think, you know, there are  
21 numerous combinations of scores you could come out  
22 with, both within the inherent risk measure and in

1 combination with the risk control measure, and I think  
2 what you spoke about in regard to hazard and volume is  
3 true, and that's probably why both of those factors  
4 are in the equation, hazardous. It takes care of the  
5 inherent hazard to public health and serving volumes  
6 per plant.

7 MS. GRANT: Let me move over to this side.

8 MS. SCOTT: Jenny Scott, Food Products  
9 Association. And this is back to volume again.  
10 Clearly this is a contingent issue, and I'm wondering  
11 if the agency has considered using volume as a Z-axis  
12 in a three dimensional approach. If you think about  
13 the brand that was laid out there, volume really has  
14 the biggest impact on public health, if we're talking  
15 about an inherently high risk product that has very  
16 poor controls and has much less of an impact if you're  
17 down at that other corner. So I'd like to suggest you  
18 consider that approach.

19 MR. MICHAEL: Thanks.

20 MS. GRANT: Okay.

21 MS. BUCK: I'm Pat Buck from Safe Tables Our  
22 Priority, and I was just wondering if you could

1 briefly outline for us, especially those of us that  
2 don't understand, the whole formula, how you came up  
3 with the -- what did you use for your hazard control  
4 and your species/process? Did you actually have raw  
5 data that you used on this or was this something that  
6 is just sort of a, you know, conjecture, you know,  
7 like an expert opinion that we know species has some  
8 problems. So those two issues then are considered  
9 higher risk. I mean what did you -- how did you  
10 actually come up with this formula?

11 MR. MICHAEL: The instrument we gave the  
12 experts which is posted on the Internet is the 24  
13 categories of products, and I think with the exception  
14 of canned product which we excluded intentionally, we  
15 tried to account for combinations that would reflect  
16 every type of product out there. We couldn't get as  
17 specific as one might like just because you have to  
18 have some generality to have reasonable numbers but I  
19 mean we had 24 products. So we have raw, nonintact  
20 chicken -- poultry, raw, nonintact beef, et cetera.  
21 And then also the experts were given lists of sample  
22 products just to make clear what we meant by each of

1 those categories.

2 MS. BUCK: Was there any attempt to make or  
3 to be made to see how much of this product was  
4 actually produced of these various subtypes so that  
5 when you would consider in, you know, the other  
6 factors, it would --

7 MR. MICHAEL: That's what we're doing now  
8 with the -- survey.

9 MS. GRANT: Okay.

10 DR. HENRY: Craig Henry, Food Products  
11 Association. My question is relative to the experts.  
12 Was your intent to get a randomized opinion about the  
13 risk associated with various products or was it  
14 targeted at a specific set of experts based on their  
15 credentials that are widely recognized and accepted?  
16 And then secondly, tied into that, does the Agency  
17 have prior experience in applying expert elicitations  
18 to other regulatory actions and/or risk assessments?

19 MR. MICHAEL: In regard to your first  
20 question, no, we didn't want to randomize opinions.  
21 We wanted expert opinions, hence the name, and the  
22 experts were picked based on their expertise in

1 processed products, PLG, the factors listed in the  
2 paper. There was an expert elicitation used for a  
3 very small *E. coli* project by OPHS years ago. There  
4 was a previous expert elicitation used in this project  
5 in 2001, and it's been replaced by this one. Other  
6 than that, I don't know of our Agency using it, though  
7 there are multiple examples of other federal agencies  
8 using expert elicitation to make policy. The ones I  
9 listed, quite a few from Nuclear Regulatory  
10 Commission, EPA, NOAA has done quite a few on wind  
11 speed and tornadoes, one of which we used as a model  
12 for this one.

13 MS. GRANT: Okay. We have two more over  
14 here.

15 DR. LEECH: I'm Irene Leech, and I'm one of  
16 the consumer members on the committee. It sounds like  
17 you plan to make this numerical assessment maybe once  
18 a year or I'm not sure. I wondered if one way to  
19 consider it might be by the day or by the week, if  
20 you're making decisions about where the risk is,  
21 particularly for plants that don't do the same thing  
22 every day, that that might be something to consider.

1 MR. MICHAEL: You would hope inherent risk  
2 didn't change that often, you know.

3 DR. LEECH: Well, if you're changing --

4 MR. MICHAEL: But if they're changing the  
5 products they produce, yes, absolutely. Yeah.

6 MS. GRANT: Okay.

7 MR. MUNSELL: I'm John Munsell. First of  
8 all, during the break, Jenny from Food Products  
9 Association gracefully told me that I made a  
10 misstatement in my earlier comment. I said since May  
11 of this year, there were seven food-borne outbreaks  
12 related to *E. coli*. It's seven recalls. There's  
13 certainly a difference between the two. So, Jenny,  
14 thank you for the clarification.

15 I'd like to briefly talk about the volume  
16 variable, that it was initially suggested of assigning  
17 a value of 1, 1 1/2 and 2, you're familiar with that,  
18 the fact that the Agency is willing to revisit that  
19 now. I commend you for revisiting that. There are  
20 some very small plants, sell less than \$1 million  
21 revenue a year. Some sell hundreds of millions in  
22 revenue a year. So that two to one relationship is

1 not accurate, and the way it should be, 2,000 to 1,  
2 maybe that will skew the results but it certainly  
3 needs to be substantially different than 2 to 1. A  
4 good example is this spinach outbreak. I think last  
5 Saturday there were 199 sicknesses in 26 states. Some  
6 very small spinach producers probably don't have 199  
7 customers today. I respectfully suggest that.

8 MR. MICHAEL: Thank you. I think you've  
9 illustrated how difficult a problem it is translating  
10 volume into the variable.

11 MS. GRANT: Caroline.

12 MS. SMITH DEWAAL: I just want to come back  
13 to one point that was raised earlier. I can't find  
14 the specific piece of paper that says this, but I  
15 recall reading in the discussion of the summary of the  
16 expert elicitation, that you advised the experts that  
17 while they were not to consider severity, nothing  
18 would be done with the data without applying a  
19 severity component to their results. I'd like to know  
20 where -- when and how FSIS proposes to apply that  
21 severity element to this expert elicitation or is this  
22 -- I mean one way to look at this is to back out and

1 say, well, this is just one of how we're going to do  
2 this important job of determining inherent risk, and  
3 now we need to move onto getting more expert  
4 elicitations of public health components, getting the  
5 product attribution which has been raised today,  
6 making sure severity is considered.

7 I just want to know what your next steps  
8 are, because we do have very significant concerns  
9 about the product of this expert elicitation.

10 MR. MICHAEL: I think in regard to your  
11 first question, I don't believe we, if I understood,  
12 we told the experts we would be including severity  
13 later. We just told them not to consider it. We  
14 didn't explain to them one of the factors we would be  
15 using. We didn't need to, but we are going to  
16 consider severity. I don't know what the timeframe  
17 for that is, but that's one of the questions that we  
18 have today. It's another difficult problem. How do  
19 we factor severity into allocation resources -- risk?

20 MS. GRANT: Barbara and then one last  
21 question that's coming up, and I think we'll be right  
22 on time to move onto the next paper.



1 MS. KOWALCYK: Barbara Kowalcyk, Safe Tables  
2 Our Priority. I wanted to kind of follow up on  
3 something Caroline had said and also something that  
4 was brought up earlier, and that's the importance of  
5 attribution data in the assignment of inherent risk in  
6 processed meat and poultry products. I would hope  
7 that this would be done on a continuous basis, that  
8 these product risks would be updated continually, not  
9 just yearly.

10 One reason that it's extremely important  
11 that we have a product tracing system in place that  
12 gives us good complete, not anecdotal, attribution  
13 data, is that there are new and emerging food-borne  
14 pathogens that are coming about all the time, and  
15 certainly various strains of existing ones. And while  
16 a product may not seem to have a significant public  
17 health risk at this time, it doesn't mean that in five  
18 years there isn't a problem. And, of course, if you  
19 had attribution data that you were looking at on a  
20 regular basis, complete attribution data, not  
21 anecdotal, you would be able to identify new and  
22 emerging pathogens much quicker and -- but we don't

1 have that system in place.

2 I would hope that in the development of this  
3 algorithm, we would take that into consideration.

4 MS. GRANT: Go ahead.

5 MS. DONLEY: Nancy Donley, STOP, Safe Tables  
6 Our Priority. This has been really, really helpful,  
7 and to listen and just the issues that have been  
8 raised, and -- but what is really, really pointed out,  
9 is we are not ready to move forward with this process  
10 until there's been so many questions asked, just as in  
11 this 10 minute or 15 minute questioning period, and  
12 the answers coming back, yeah, we're working on this,  
13 and I believe that you're trying to answer these  
14 questions. But the idea of rolling out anything that  
15 is this important and impacts public health and safety  
16 so tremendously, it's very premature to be trying to  
17 embark down this path without getting these questions  
18 answered.

19 MS. GRANT: Okay. Thank you. While Don  
20 Anderson is coming up, getting ready to give you his  
21 presentation, let me just remind you I understand that  
22 there's no questions from the remote sites. So that's

1 why we're not including any. But for those of you at  
2 the remote sites, if any of you are having any  
3 difficulties, I'll just remind you of the number that  
4 you can call to get assistance. It's 1-800-967-6433.

5 Okay. Don.

6 MR. ANDERSON: All right. Thank you very  
7 much. I'm Don Anderson, of course, with the Food  
8 Safety and Inspection Service, and I'm going to talk  
9 now about the X-axis. We've been talking a lot about  
10 the Y-axis, Matthew has, and now we'll talk about the  
11 X-axis some.

12 Just a quick reminder, to keep everybody on  
13 the same page here. As Drs. Masters and Raymond have  
14 already explained, FSIS is developing this new RBI  
15 system to better allocate Agency resource, to control  
16 the risks posed to public health by different types of  
17 establishments. And they have also explained, and  
18 Matthew has just elaborated, on one of the two  
19 measures that we're using.

20 Inherent risk measure is the so-called Y-  
21 axis, and that goes to the inherent risk of the  
22 product processes in establishments, and I can tell

1 that some of the confusion has been about, you know,  
2 the volume and the inherent risk. It's true I think  
3 that products, products have inherent risks, and the  
4 inherent risk of a product does not depend on its  
5 volume. But the inherent risk imposed by an  
6 establishment that produces a product does depend in  
7 part on its volume.

8 What I'll be talking about though again is  
9 risk control, which is a measure of how well  
10 establishments control the risks that we think are  
11 inherently present in the products that they produce.

12 These are what we're calling the six  
13 components of our establishment risk control measure.

14 So we intend to use an algorithm to come up with a  
15 single measure of how well establishments control  
16 risks. That's that rectangular box in the middle.  
17 What are the six things that we're going to be looking  
18 at? Well, we're going to talk about them in turn and  
19 in depth, but what we're considering are what we call  
20 system design features, pathogen control in commerce,  
21 enforcement actions, food defense and system  
22 implementation.

1           So these are what we consider to be six  
2 important factors that enter into the establishment  
3 risk control measure. These are the six components,  
4 and we'll talk about each in turn.

5           Let's talk first about system design for a  
6 few minutes. The objective of our food safety system  
7 design component is to gauge the efficacy or sometimes  
8 we refer to it as the robustness of the design of the  
9 establishment's food safety system.

10           How good is their system in design and to  
11 some extent, in implementation?

12           We have at least a couple of types of data  
13 or information that we think have a bearing on this.  
14 First, and foremost, surely are what we call food  
15 safety assessments or FSA findings. We've heard a lot  
16 about food safety assessments. Food safety  
17 assessments are in depth examinations of an  
18 establishment's food safety systems. They generally  
19 take place over the course of days rather than just a  
20 few hours, and they are conducted by what we call EIAO  
21 trained personnel. They're especially trained  
22 personnel, and the acronym is not important. I could

1 relate it, but they're EIAO trained personnel and they  
2 go into establishments and kind of look at food safety  
3 system design, features of the program from top to  
4 bottom. They look at their plans. They look at  
5 records. They walk through and see if things are  
6 actually implemented the way they're supposed to be.  
7 So they're basically a comprehensive look at the  
8 establishment food safety system.

9 Another piece of information that we're  
10 considering bringing into the design measure is what  
11 we're calling 9 C.F.R. 430 RTELM control alternatives.  
12 Now what are those?

13 The alternatives, these LM control  
14 alternatives 1, 2 and 3, have, in fact, already been  
15 mentioned earlier this morning by Dr. Masters in her  
16 presentation. These are basically -- these are  
17 examinations or judgments of how well establishments  
18 control LM, *Listeria monocytogenes*, in ready-to-eat  
19 products, and whether an establishment is alternative  
20 1, 2 or 3, basically depends on what types of controls  
21 they have in place to protect RTE products from  
22 *Listeria* contamination before they're shipped. We

1 think there may be other factors, other kind of design  
2 components that we should also consider and that will  
3 be a question that we'll be asking you at the  
4 conclusion of this presentation, the rest of today and  
5 tomorrow.

6           So let's turn to the second component, which  
7 is food safety implementation. Food safety  
8 implementation is a different look at the  
9 establishments and how they control hazards. It  
10 actually looks at how well they implement the food  
11 safety systems that they have. Remember the design  
12 looks at how good are their standard operating  
13 procedures? How good is their HACCP? How good are  
14 their prerequisite programs? What this is supposed to  
15 look at is how consistently or how well they actually  
16 carry out those programs in practice on a day-to-day  
17 basis.

18           We've heard a lot about NRs. We're going to  
19 hear a lot more about NRs. Basically what happens is  
20 this? When an inspector performs an inspection  
21 procedure, they are looking for what are called  
22 noncompliances or what we sometimes call -- they end

1 up writing noncompliance records. And FSIS is  
2 required by law to document all regulatory  
3 noncompliances. If an inspector performs a procedure  
4 and observes noncompliance, they are required to write  
5 a NR, and the inspectors and FSIS will continue to  
6 document NRs of all types. All regulatory  
7 noncompliances would continue to be documented under  
8 RBI. That's not something that we're talking about  
9 changing.

10 What we are saying or what we are believing  
11 and we will be asking your input on this, we do  
12 believe that some NRs or some types of NRs are more  
13 related or more predictive, however you want to think  
14 about this, to public health than other types of NRs,  
15 basically that some NRs are more important than other  
16 NRs, not because they're more noncompliant but because  
17 they go more directly to an indication of how well the  
18 establishment is controlling hazards that pose  
19 problems to the public, public health problems. So  
20 that's what we mean when we talk about significant  
21 NRs.

22 Let's look at that a little more because



1 it's a very important question. When we talked to the  
2 National Advisory Committee on Meat and Poultry  
3 Inspection, I'm going to say it was April, it may have  
4 been May most recently, we received some input on  
5 NACMPI regarding NRs with public health significance,  
6 which ones NACMPI thinks are most important.

7 So what we're doing now at FSIS is going  
8 through a methodical process of considering different  
9 types and descriptions of NRs to try to identify those  
10 that we think are most important from a public health  
11 standpoint. So, for example, consider NRs,  
12 noncompliances, that cite 416.15, or 417.3, which are  
13 HACCP corrective actions. What those two NRs  
14 basically have in common is that a problem at some  
15 point was identified in the establishment, in either  
16 sanitation or HACCP, and a NR was written before, and  
17 then we -- in subsequent actions, we find that a  
18 problem is recurring and basically we observe that  
19 corrective actions are not in place, have not been put  
20 in place, to prevent the recurrence of that problem.  
21 So that's what we mean by these corrective action  
22 problems. And we believe that those are probably the

1 types of NRs or examples, some of the examples of what  
2 would be considered more public health significant  
3 NRs.

4 Others might be noncompliances for which a  
5 regulatory control action was taken, maybe product was  
6 tagged or detained or something like that, or a piece  
7 of their equipment was tagged. In other words, it  
8 rose to the level where we were concerned enough that  
9 we took kind of an in-place regulatory action, a  
10 control action. Another possibility are NRs that are  
11 issued for inadequate validation or verification  
12 processes in the establishment. These are just a few  
13 examples.

14 The question came up earlier that maybe will  
15 help, too, how do we know what's a significant or  
16 insignificant or not so significant NR? When  
17 noncompliances are observed for floors that are not  
18 clean or product contact conveyor belts that are not  
19 clean, those are both -- those can both rise to the  
20 level of regulatory noncompliance, but perhaps NRs for  
21 unsanitary food contact surfaces are more important  
22 than NRs for noncontact surfaces, from a public health

1 standpoint. So these are several examples, and there  
2 are many more we could go into.

3 So we're currently going through a process  
4 of reviewing NRs to try to identify and validate these  
5 categories.

6 We're onto the third component now.  
7 Remember that figure, we've got six components that  
8 come into our risk control measure.

9 This third component again is extremely  
10 important and a fairly complicated one, and that is  
11 pathogen control. And what we're looking at here is  
12 actual agency data on how well establishments control  
13 pathogens in their operations.

14 Let's go through these. RTE, ready-to-eat,  
15 testing program results are basically the findings of  
16 our several ready-to-eat testing programs that the  
17 Agency has. We test ready-to-eat products for  
18 *Listeria*, for *Salmonella*, and for certain types of  
19 ready-to-eat products that contain beef, we also test  
20 them for *E. coli* O157:H7. That's what we mean by our  
21 ready-to-eat testing program results. We test  
22 products. Those tests come back from the laboratories

1 as either positive or negative results, and that's  
2 what we mean by that.

3 The *E. coli* O157:H7 testing program, of  
4 course, is basically our raw beef, basically our raw  
5 ground beef testing program. Dr. Masters mentioned  
6 this as well earlier in her presentation.

7 The third category is the *Salmonella*  
8 verification category. The *Salmonella* verification  
9 category is a fairly new system. I believe you may  
10 have mentioned it was published I want to say  
11 February, is that correct, on or about February. The  
12 *Salmonella* verification category that is assigned to  
13 an establishment is, without getting into too many  
14 complexities, basically looks at two things. The  
15 *Salmonella* verification category for an establishment  
16 is based on recent *Salmonella* set test results, but  
17 it's also based on the presence of certain public  
18 health concerns serotypes in those *Salmonella* results.  
19 So we look not only at the prevalence of *Salmonella*  
20 and recent tests in an establishment, but we also look  
21 for the presence of certain serotypes that are of  
22 public health concern.

1           The next to the last category or data  
2 element here are again something that Dr. Masters  
3 referred to earlier and, in fact, this is an example  
4 of I think Dr. Raymond called it our upstream,  
5 upstream example, and this is our STEPS program which  
6 is suppliers to establishments with *E. coli* O157:H7  
7 positive test results.

8           Now what does that mean? Remember, in the  
9 second point here, that FSIS conducts *E. coli* O157:H7  
10 testing in a lot of establishments, in over 1,000  
11 establishments that produce raw ground beef products.  
12 Think of it as a ground beef testing program. It's  
13 not that simple, but for the illustration, that works.

14           When an establishment, when we take a ground  
15 beef sample from an establishment, send it to a  
16 laboratory and we get that result back, and it says  
17 that the ground beef tested positive for *E. coli*  
18 O157:H7, of course, we take a number of actions at  
19 that point, but one of the things, one of the things  
20 we do is we enter certain information into what's  
21 called our STEPS database, our suppliers' database,  
22 and we may find over a period of time, we may find

1 that a certain establishment is a trend supplier or  
2 basically an intact supplier to multiple  
3 establishments that themselves have had positive *E.*  
4 *coli* O157:H7 tests. So this is actually saying that  
5 with our data, and with our pathogen control measures,  
6 we not only want to consider whether we need to  
7 increase inspection in an establishment with an  
8 O157:H7 positive, but also whether we need to increase  
9 our level of scrutiny in establishments that supply  
10 product to those establishments. So this again is an  
11 example of an upstream effect.

12 Finally, we have an agricultural marketing  
13 -- there is an agricultural marketing service or AMS  
14 school lunch testing program, and our plan would be to  
15 bring the AMS information testing program results into  
16 our measure of risk control as well. It's very  
17 similar to the O157:H7 testing program, but what  
18 really differs is who's doing the testing and where  
19 the testing is done, but it's essentially the same  
20 kind of test as I understand it.

21 The fourth component is in commerce  
22 findings, and there's been a lot of talk about this as

1 well. The objective here is to -- is again to measure  
2 how well establishments prevent, actually prevent the  
3 shipping of products that contain hazards, and there  
4 are at least three factors that we're considering  
5 here.

6 One is, as probably many of you know, that  
7 it's very straightforward on FSIS' web page for  
8 consumers to find out how to report to the Agency  
9 consumer complaints, and we have a system, a consumer  
10 complaint monitoring system, and it's electronic  
11 database, that we use to track consumer complaints.  
12 Now a consumer complaint investigation system is a  
13 fairly complicated one, but suffice it to say, that  
14 what we're focusing on now, but we want input from you  
15 on this, is we're focusing on what we call significant  
16 public health verified, and by that we mean traced  
17 back, validated consumer complaints. So we wouldn't,  
18 we wouldn't automatically conclude that just because  
19 an establishment has had a consumer complaint filed,  
20 if you will, against it, that they necessary need  
21 greater inspection, but if we do an investigation of  
22 that consumer complaint, can trace it back to a

1 particular establishment, if we can find at the  
2 establishment other information that corroborates  
3 that, and if that was a public health complaint in the  
4 first place, then that's the kind of indicator that we  
5 would probably want to include.

6           Recalls, Class 1 and Class 2 recalls are the  
7 types of public health recalls. If I remember  
8 correctly, the Class 1 recalls are some sense more  
9 significant, a greater significant type of public  
10 health recall. Class 2 recalls are also public health  
11 recalls. There's a third type of recall which are  
12 nonpublic health recalls, that we don't necessarily  
13 think we would want to include in our measure of  
14 public health on a risk control effectiveness, but  
15 again, we want your input on that.

16           Finally we have in commerce public control  
17 actions. These are detentions and seizures. They are  
18 basically when FSIS has cause to in some sense  
19 physically control product, to keep it from being  
20 further distributed once it has entered commerce.

21           The fifth component, enforcement actions,  
22 and again, our objective here is to capture



1 enforcement related indicators of loss of process  
2 control.

3           Now we've talked a lot about recalls and NRS  
4 and food safety assessments and positive test results,  
5 and all of those things can directly or indirectly  
6 lead to enforcement actions. But what we're talking  
7 about here is that occasionally there are enforcement  
8 actions that are taken in establishments that aren't  
9 really captured very well, or at least very  
10 immediately anywhere else in our system. So these  
11 might be, for example, we've talked about NOIEs, and  
12 we've talked about NOIEs, I'll spell it out, a Notice  
13 of Intended Enforcement. A Notice of Intended  
14 Enforcement or a NOIE as we sometimes call them,  
15 sometimes result from FSAs but sometimes they don't.  
16 And that would be an example here. Or sometimes NOIEs  
17 are kind of a seemingly logical conclusion of a  
18 repetitive set of NRS, and again, we could capture  
19 those somewhere else in the system. So what we're  
20 talking about here are NOIEs that aren't captured  
21 elsewhere, but we would also include enforcement  
22 actions such as injunctive actions, consent decrees,

1 and this is a mouthful, but the reinstatement of  
2 inspection. In other words, we re-institute  
3 inspection after a failure to meet a corrective action  
4 under deferral. This is basically, as I understand  
5 it, an establishment is put on notice that they may  
6 lose -- be suspended, we may suspend inspection in  
7 that establishment and then they respond as they are  
8 officially allowed to, they respond that, hold off,  
9 we're going to put a corrective action in place and  
10 we're going to take care of this problem, and if they  
11 do, that's good, but if they don't, that's not good.  
12 So we have to capture those types of enforcement  
13 actions as well or those types of activities that are  
14 sort of in this enforcement realm.

15 The last component is a food defense  
16 component, and the objective here is to measure how  
17 well establishments protect their operations from  
18 intentional harm, what we call food defense.

19 The four measures that we look at there or  
20 are considering looking at are what we call product  
21 process vulnerability. Product process vulnerability  
22 is in a lot of ways very analogous to the inherent

1 risk notion. The inherent risk goes to kind of how  
2 inherently risky a product or process is by -- in some  
3 sense by nature. But we're talking about something  
4 here that's not nature. It's an intentional human  
5 introduced harm here, and we believe and it's FSIS  
6 beliefs, through a vulnerability assessments, that  
7 some products and processes are more vulnerable to  
8 intentional harm than others. So we would want to  
9 look at that.

10           Again, we would also want to look at product  
11 production volume in an establishment, which we  
12 believe is a good proxy for exposure. Food defense  
13 plan efficacy, remember that establishments are  
14 supposed to have what we call food defense plans.  
15 Actually I guess that's not right. I guess they are  
16 food guidelines, and plants, as I understand it, are  
17 not required to have food defense plans but there are  
18 industry guidelines for food defense and FSIS has been  
19 conducting work, call it survey work. It's  
20 technically a little different but work to find out  
21 which establishments have plans and how good their  
22 plans are. So we have data on that. So that goes to

1 the plan efficacy.

2 And finally, food defense verification  
3 results. Many of you will know that FSIS conducts  
4 procedures, inspection procedures in establishments to  
5 see how well on a day-to-day basis, how well  
6 establishments are actually implementing  
7 recommendations, kind of food guidelines and  
8 recommendations, how well are they implementing -- in  
9 some sense, how well are they implementing those  
10 plans. Those inspection procedures are called O8  
11 procedures and data on those come straight from the  
12 performance based inspection system or PBIS.

13 So let's look at the questions that we want  
14 this group to think about over the next couple of  
15 days. Are these six components that we've laid out,  
16 and summarized I believe it's on slide 4 of your  
17 presentation, are these six components appropriate,  
18 which means should they be there, and are they  
19 adequate, which means should others be there? So we  
20 want to get your ideas on the adequacy and  
21 appropriateness of those six components.

22 Secondly, we would like to know if some of

1 these components, like food implementation or, excuse  
2 me, food safety implementation or food safety system  
3 design or pathogen control or any of them, are some of  
4 these more important? Are they more important to our  
5 measure of risk control, and thus should some of these  
6 components be weighed more than others of our  
7 components when we come up with our measure of risk  
8 control.

9 The third question that we'd like to ask  
10 your input on, is whether there is other useful  
11 information about establishment risk control that FSIS  
12 is not considering?

13 Now one suggestion or one idea has already  
14 come up in the earlier presentation, and that was the  
15 question about intervention. At one point, and it's  
16 probably reflected in the inherent risk paper, that  
17 one of the things in establishments that we think is  
18 important is the presence and the efficacy or validity  
19 of certain types of intervention, things that are put  
20 in place by the establishment to reduce hazards. We  
21 believe that that's an important piece of information.  
22 We think that we will probably bring that component

1 into our design component, we think we can probably  
2 capture that, in our food safety assessment process,  
3 but there may be other types of useful information  
4 that we haven't talked about yet that need to be  
5 brought into our measure of establishment risk control  
6 that we aren't even considering. And so we want your  
7 ideas about that.

8 Our fourth question is, are there other ways  
9 besides food safety assessments to evaluate  
10 establishment food safety system design? Remember  
11 food safety assessments are these EIAO, PIAO, trained  
12 personnel evaluations of how well establishments have  
13 robustness and validity of their food safety designs.  
14 We want to know if there are other ways to assess the  
15 robustness of establishment food safety system.

16 The fifth question that we would like to  
17 ask, and we've already asked it several times today,  
18 is, are the NRs, the noncompliance reports, FSIS is  
19 currently considering for public health-related  
20 issues, are they inclusive, that is, are we  
21 considering the right ones or are there other types of  
22 NRs that FSIS should be considering?

1           The last question, and this is a fairly  
2 quick one I think, is, what is an appropriate look-  
3 back window? It's just a few words, but it's a very  
4 important question. We talked a lot about the Agency  
5 needs to look at data. We need to be looking at valid  
6 data and reliable data, and these data include NRs,  
7 looking at NRs, food safety assessment results,  
8 pathogen results, et cetera, et cetera, et cetera.

9           Well, what this question goes to is, okay,  
10 if we're going to look at data, to come up with a  
11 measure of how well establishments control hazards,  
12 should be looking at the data for the last week of an  
13 establishment or the last month or the last six months  
14 or the last year? That's what we refer to as an  
15 appropriate look-back window.

16           We've been talking most frequently at the  
17 Agency about using a six-month look-back window, and  
18 that's for a lot of different reasons that I could go  
19 into, but we're trying to get your ideas and we're  
20 looking at data to identify what an appropriate or  
21 proper look-back window is. Whether it's six months,  
22 a shorter period of time or a longer period of time.

1           That concludes my brief talk here. Those  
2 are the six questions that I want to ask you. Some of  
3 you may have other questions, and I'll sit here and  
4 try to answer whatever questions you may have. Thank  
5 you.

6           MS. GRANT: Okay. Thanks, Don. Again, just  
7 line up and at the remote sites, type in your  
8 questions if you have any. You lined up first. We'll  
9 start over here.

10           MS. SIEMENS: Hi. Angie Siemens with  
11 Cargill. Could you give us some insight on how you  
12 would take food safety assessments which today I  
13 looked at the qualitative data and transfer it into  
14 quantitative data for this model that you put together  
15 because it's not scored today. How do you anticipate  
16 putting that in, in a numerical format?

17           MR. ANDERSON: Okay. That's a good and fair  
18 question. I would say that it's partly accurate to  
19 characterize as I understand it that the FSA process  
20 is a qualitative process, and when a FSA is conducted,  
21 the EIAO trained personnel at the conclusion of the  
22 food safety assessment complete what I think is called



1 a Form 5000-8, which is a form that captures the  
2 salient information that they found during the conduct  
3 of the food safety assessment, and it does contain a  
4 sometimes rather lengthy narrative of information that  
5 the inspector found but the FSA also has some  
6 information in it that can be summarized.

7 Numerical might not be the right way to  
8 describe it, but categorically, and that is that at  
9 the conclusion of a food safety assessment, the EIAO  
10 sends I believe to the district office the Form 5000-  
11 8, and based on their food safety assessment findings,  
12 they make a recommendation based on that finding.  
13 That recommendation could be that they look at this  
14 establishment for three days, top to bottom, and  
15 everything is under control, we're done here. No  
16 further action is necessary.

17 In other cases they might say, well, things  
18 are in pretty good control in this establishment.  
19 Things are fine. We did identify a noncompliance or  
20 two during the course of the FSA, and the inspection  
21 personnel were instructed to write NRs to document  
22 that finding. So basically that's a finding that

1 things were generally in pretty good shape, but  
2 basically you've got a rationing up of finding.

3 Another finding, again, this is a  
4 categorical, not a numerical finding, a categorical  
5 conclusion of the FSA could be that we found  
6 substantial enough problems or concerns with this  
7 establishment that we believe that the establishment  
8 should be put on notice as to what those findings were  
9 and they need to correct those problems if they want  
10 to remain under inspection. It's a letter of  
11 notification basically.

12 Or in some extreme cases, an establishment  
13 may be suspended directly at the conclusion of a food  
14 safety assessment.

15 So these are categorical findings. That  
16 information is in Agency databases, not always in  
17 machine-readable forms as we would like. So that's  
18 something that we have to work at, but I think it's  
19 pretty clear to try to just a couple more sentences,  
20 the point of your question is that some findings would  
21 indicate the numerical in the algorithm that the  
22 establishment has good risk control processes, while

1 other findings like a letter, a 30 day letter, or  
2 suspension, will obviously indicate that that  
3 establishment, or maybe NRs, that that establishment  
4 has some food safety issues that need to be addressed,  
5 and so that would affect their score in the algorithm  
6 and lead us all that's equal to a higher level  
7 inspection of that establishment.

8 MS. GRANT: Felicia.

9 MS. NESTOR: Felicia Nestor, Food and Water  
10 Watch. Dr. Raymond mentioned before that there's a  
11 website, that on the Agency's website, there are  
12 examples of NRs, and just to prepare for tomorrow's  
13 subgroup meetings, maybe you could -- someone from  
14 FSIS could write the URL address up there later so  
15 that we can.

16 Okay. I spoke to someone who works in a  
17 plant and said there's over 20 HACCP plans and that  
18 the EIA came in and reviewed 3 of them. You said that  
19 the FSAs do a comprehensive look at the plant. How  
20 does FSIS determine how many of the HACCP plans should  
21 be looked at in a plant that has a lot of HACCP plans?  
22 And how often? What's the range between FSAs

1 currently at these plants? I heard that the EAIO,  
2 because of budget constraints, have been sort of lost  
3 in the offices. So are we talking a year between  
4 FSAs, two years, what's the range?

5 MR. ANDERSON: Yeah, Dr. Masters has  
6 indicated she'd like to start with that, and we may  
7 have to ask somebody else for some information about  
8 it, the FSA process in terms of if an establishment  
9 has produced six different products with six different  
10 HACCP plans, whether or not all six processes are  
11 looked at or not, I don't know the answer to that  
12 question.

13 DR. MASTERS: Food safety assessment is  
14 intended to be a complete look at the entire process,  
15 food safety process conducted in an establishment. So  
16 the EAIO trained individual is trained to look at all  
17 systems, HACCP, SSOPs, sanitation performance  
18 standards and any testing that's done by that  
19 establishment, as well as the prerequisite programs  
20 that are being conducted in the facility.

21 So if a plant has multiple HACCP plans, the  
22 decision on how many to look at varies, depending on

1 if all 20 HACCP plans were related to the same process  
2 category such as 036, they may look at a  
3 representative number. If all 20 HACCP plans were for  
4 different of the 8 HACCP categories, they may look at  
5 a different number of those HACCP plans, because the  
6 EAIIO trained individuals are to look at a  
7 representative number of plans because the idea is to  
8 look at the entire food safety system, in action  
9 within the establishment. So they are to look at a  
10 representative number of plans across the process  
11 categories.

12 MS. NESTOR: Okay. And what is a  
13 representative number? Is that a proportion?

14 DR. MASTERS: Again, they're going to make  
15 those determinations depending on how many plans are  
16 operating per process category. So it is only going  
17 to be a proportion. If they have process categories  
18 that are being conducted in a plant, and they have  
19 multiple plans for process category, they're going to  
20 look at a few plans per process category. They may  
21 look at them and see that they're identical for each  
22 process category in which case then they'll look at a

1 couple of them. If they see that they're very  
2 different for each process category, within a process  
3 category, and they look at all of them. And those are  
4 decisions that they're trained to make during their  
5 training.

6 MS. NESTOR: And do you know the length, the  
7 time between FSAs?

8 DR. MASTERS: On average, we are finding  
9 that it is about three years between FSAs in an  
10 individual establishment, unless those are done for  
11 cause.

12 MS. NESTOR: Okay. I have a question about  
13 the NRs. Because of the shortages, the inspectors  
14 can't get to a number of the plants or don't have time  
15 to write the NRs. How will you identify which plants  
16 have had a less than standard form of inspection and,  
17 you know, take that into account. In other words, the  
18 lack of NRs at that plant might reflect that an  
19 inspector has not had an adequate amount of time to  
20 write the NRs.

21 MR. ANDERSON: We obviously -- I stated at  
22 the outset that inspectors are required to write

1 regulatory noncompliances when they see regulatory  
2 noncompliances. Now apparently some inspectors have  
3 said that they don't always have time to write NRs.  
4 I'm not sure how I would answer that question except  
5 that inspectors are supposed to write NRs when they  
6 observe regulatory noncompliances.

7 I'm not saying that -- necessarily that they  
8 always do. I'm saying that they're supposed to, and I  
9 know the Agency is working hard in a lot of different  
10 ways to facilitate that. One of the things that --  
11 some of these things may be technological. We made  
12 improvements I know actually in recent weeks that will  
13 increase the ability or the ease with which inspectors  
14 will be able to document noncompliances, with the  
15 increase in the number of high-speed lines and those  
16 kind of things that we have.

17 But it is a complicated process. This sort  
18 of gets back to the analogy and the referee and some  
19 referees may be better than other referees, but some  
20 referees may have different resources available to  
21 them than other referees do. It would be hard to  
22 referee games, and we're asking most of our inspectors

1 to inspect more than one establishment.

2           What we're trying to do with risk-based  
3 inspection though, remember, we're trying to do a  
4 risk-based inspection say, we have a population of  
5 establishments out there, that we are required by law  
6 to inspect every day and we do our best to do that,  
7 and now we have a certain set of rules by which we do  
8 that.    What we're trying to do with risk-based  
9 inspection is to improve the game going in.  We're  
10 trying to make the allocation of inspection resources  
11 and the direction that we suggest they go in, an  
12 improved system in the first place, so that if they  
13 are able to spend less time in some establishments,  
14 not no time, but if they're not available to spend as  
15 much time in some establishments as they are in  
16 others, we want them to spend more time in the  
17 establishments that have higher inherent risks or  
18 poorer risk control.  That's really what we're saying.

19           MS. NESTOR:  Yeah, I understand that that's  
20 your goal.  I mean it's been reiterated over and over  
21 again.  The question is, USDA's OIG recommended to you  
22 several times that you refurbish your PBIS program so



1 that you can document when inspectors did not perform  
2 an inspection task because they didn't have the time.

3 Will you be doing that?

4 DR. MASTERS: That is something that we said  
5 we would take under advisement under our new system,  
6 yes.

7 MR. ANDERSON: And there's a point of  
8 clarification. When inspectors are assigned to  
9 perform tasks, and they don't perform them, they do  
10 get coded as not performed. We do know when  
11 inspectors don't perform tasks that they were  
12 scheduled to perform. We also know when they perform  
13 tasks that weren't scheduled but they perform them  
14 because they thought they were important to do from a  
15 public health standpoint. We don't always know why  
16 they didn't perform.

17 MS. GRANT: Felicia, I know you probably  
18 have some additional questions, but I think you can  
19 see that we've got almost five people on each side.  
20 So if you want to get back in line, that would be  
21 great. Go ahead.

22 MS. SCOTT: Thank you. Jenny Scott with

1 Food Products Association. With respect to NRs again,  
2 even within the categories you list, which I will  
3 agree generally are appropriate with respect to public  
4 health, there's going to be different degrees of  
5 impact on public health. For example, we have a  
6 member who has gotten a NR for verification activity.  
7 The only disagreement is the frequency of which that  
8 verification activity is performed, daily versus  
9 weeks. So there's really limited impact on public  
10 health. So is there a way to consider that?

11 And secondly, how do we -- how will this  
12 algorithm address NRs that are under appeal?

13 MR. ANDERSON: Let me answer the second  
14 question first. It's most immediate to some of the  
15 work that we have been doing already. It is true that  
16 when a NR -- it's true that we don't have instant  
17 replay but we do have an appeal process, and  
18 establishments do not infrequently appeal NRs, goes  
19 through a process. And if the NR is appealed, the NR  
20 stays in the PBIS system but it shows that it was  
21 appealed and in effect overturned. And again, we  
22 would like your ideas on this but my instinct would be

1 that if the Agency makes an official determination  
2 that a NR that was once written was not an appropriate  
3 NR, and it seems to me we would not want to include  
4 that in our measure of risk control.

5 DR. MASTERS: And on verification, and  
6 whether or not we should look at varying degrees,  
7 that's another area that we welcome input during this  
8 process. The Agency is open to the idea that there  
9 may be verification NRs that have one degree of  
10 concern, and verification NRs that might have a  
11 different degree of concern. And so that is something  
12 we hope that we'll get some input on during the  
13 workshops this afternoon.

14 MR. MUNSELL: John Munsell. I'd like to  
15 address briefly two issues. One is the NRs and,  
16 Dr. Masters and Dr. Raymond, I have nothing but praise  
17 for you folks for your willingness to address the fact  
18 that currently NRs don't assign a relative degree of  
19 severity, and years ago this system did allow that.  
20 So I praise you for your willingness to address it.

21 To answer the previous question here, as an  
22 alternative, I suggest another report, and we might

1 call it for example an administrative shortcoming  
2 report. That would address the issues that have  
3 absolutely no impact on a plant's ability to produce  
4 consistently wholesome food. Now that's not meant to  
5 circumvent or insulate a plant's responsibility to  
6 address those issues. So I think even those  
7 administrative shortcoming reports could be linked  
8 together. So that if a plant did not address the  
9 issue, and the administrative reports could be linked  
10 together, then they could lead to a NR. So there  
11 still has to be accountability.

12 The other issue I wanted to talk about is on  
13 the slide you have up here now on how to determine  
14 risk control. A couple of issues are pathogen control  
15 and in-commerce findings. Let me go to that issue I  
16 brought up earlier about since May, there have been  
17 seven or eight recalls from very small plants, five of  
18 which do not slaughter. My question is, did those  
19 five plants introduce the *E. coli* or *Salmonella* into  
20 their product? In all probability, no. But are those  
21 plants responsible? Is the Agency assessing liability  
22 of those five plants for the detection of the pathogen

1 at the plant? Well, yes. The leading question with  
2 those five plants, has the Agency implemented an  
3 aggressive trace back to a true origin of  
4 contamination? Well, that's not for me to answer.

5 But my conclusion is this. The rule on data  
6 collection, and we talked about this before, the real  
7 time data collection and expedited trace back to the  
8 origin of contamination, rather than placing all  
9 liability on the down line plants that are the  
10 destination of the previously contaminated meat is  
11 very important.

12 So my conclusion is that until the Agency  
13 aggressively pursues real time data collection at down  
14 line, further processing plants, and the use of  
15 adverse microbial test results and in-commerce  
16 findings and recalls and the risk determine algorithm,  
17 may inappropriately reflect an incorrect risk  
18 assessment at the down line plant, at the supplier  
19 plant.

20 MS. GRANT: Tony. I'm sorry. Do you want  
21 to make any comments on that?

22 MR. ANDERSON: We'll take that as a comment

1 I guess rather than question. That's noted. Thank  
2 you.

3 MS. GRANT: Tony.

4 MR. CORBO: Tony Corbo from Food and Water  
5 Watch. This past weekend I spent most of my time  
6 reviewing six hours of audio tapes of the -- what the  
7 Agency now is actively calling employee feedback  
8 sessions. They were in no shape or form focus groups.  
9 In one of those tapes, an individual brought up the  
10 fact that several years ago, the Agency conducted case  
11 studies using I believe he termed it a hazard control  
12 coefficient. And when asked what happened to all of  
13 the data that was generated from that, there was no  
14 response.

15 So question number one, is that information  
16 still available? Since obviously you would have  
17 started, you know, this process a few years ago.

18 The other issues that came up in both  
19 sessions was on the issue of consumer complaints, that  
20 the Agency does not have access to all the consumer  
21 complaints. The folks in the feedback sessions  
22 indicated that companies, the firms get most of the

1 consumer complaints, and there was an issue as to what  
2 access FSIS has to that data.

3 So number one, you know, the first question  
4 is what happened to the case study? Number two,  
5 access to consumer complaints.

6 MR. ANDERSON: Okay. In that order, what's  
7 been referred to as the HCC, hazard control  
8 coefficient, and it was on one of Dr. Masters' slides,  
9 can probably be thought of as a very early  
10 developmental and a precursor to this measure of risk  
11 control in the following sense.

12 Remember that as you see on the slide, we  
13 have six components of risk control that we think we  
14 want to bring into our measure of establishment risk.

15 The old hazard control coefficient had just  
16 two. It had pathogen findings and it had NRs. So it  
17 had the pathogen control component, and it had the  
18 implementation component. Furthermore, in the  
19 implementation component, there was a much simpler  
20 kind of variation. Basically what was the old, as it  
21 was called, HCC, treated all the regulatory  
22 noncompliances equally. A NR was a NR was a NR. That

1 measure was developmental. It was partly an  
2 illustration. I think it has proven over time that  
3 the Agency can track this kind of information in  
4 something like real time from other electronic  
5 databases, that we can build algorithms and that that  
6 information can be useful to us. It's never been used  
7 to allocate inspection resources. It's never been  
8 used in policy ways. It was really more of an  
9 illustrative tool, but it is a very -- it is kind of a  
10 very simple version of the establishment risk control  
11 measure, but again, it has only two of these  
12 components. It doesn't have six components.

13 The second question about consumer  
14 complaints, it is true, I was referring to a consumer  
15 complaint monitoring system tracks consumer complaints  
16 that come into the Agency. We have talked long and  
17 hard about the possibility, the feasibility, and we  
18 have to think more about how we would do this, and we  
19 need more input from you about how we might do this or  
20 whether it's important.

21 We are aware that complaints presumably go  
22 directly to corporations sometimes. It's a different



1 kind of consumer complaint, but whether we should or  
2 how we might include that in a measure of this  
3 control, I don't think we can speak to that right now,  
4 and we would like your suggestions.

5 MS. GRANT: Barbara.

6 MS. KOWALCYK: Barbara Kowalcyk, Safe Tables  
7 Our Priority. I have several questions, and I'll try  
8 to keep them to a minimum.

9 The first question that I have is looking at  
10 this charge, I would like to know, you know, you're  
11 going to have to develop data collection systems for  
12 each one of those six circles and then figure out a  
13 way to integrate all of those issues into some sort of  
14 database that you are able to analyze, and that I want  
15 to make sure you understand is a monumental and time  
16 consuming project that you are facing in doing that.

17 The second thing, under pathogen control,  
18 what -- in your list, there was really no place in  
19 there for input of testing results, and I wonder how  
20 input of testing was going to -- results were going to  
21 be considered in pathogen control?

22 And secondly, although I probably ask more

1 questions, in-commerce findings, I'm very disturbed by  
2 the first bullet under in-commerce findings.  
3 Significant public health verified, track back,  
4 invalidated consumer complaints. One, I find it a  
5 little startling that attribution data from food-borne  
6 illness cases is not considered as part of this model,  
7 in determining establishment risk. And under the --  
8 and I submit myself, that I can certainly tell you  
9 that the current system we have for product tracing in  
10 this country is by no means efficient or timely and is  
11 pretty much set up to prevent you from verifying and  
12 validating a consumer complaint, and what constitutes  
13 a consumer complaint? Isn't it also just a food-borne  
14 illness that occurs in the field? And I can certainly  
15 give a personal example about this if necessary.

16 MR. ANDERSON: There are three or four  
17 different questions there. I'll try to answer them in  
18 order but again, the first comment about data  
19 collection, data -- you used the word develop data  
20 collection system is a monumental task. I'm not going  
21 to say we don't have a monumental task ahead of us. I  
22 am going to say that many of these data are already

1 captured, and are machine readable from existing data  
2 systems.

3           The performance-based inspection system is  
4 an electronically readable database that captures NRs  
5 in establishments with regulatory citations and other  
6 kinds of information, what procedure was performed,  
7 what was the finding, what day -- that's an electronic  
8 data system that exists today. So we don't really  
9 need to develop a new PBIS system necessary. Do we  
10 need to make some improvements in our PBIS system? We  
11 probably do. So we don't need to develop a new  
12 system.

13           Our data on pathogen findings are captured  
14 in what we've started calling recently, as I  
15 understand it, our N2K system. We referred to it in  
16 the past more as a prep system. It's a prep database.

17           So again, there is an electronic database that tracks  
18 all our pathogen control data.

19           Our food safety assessment findings, our FSA  
20 data, is probably one of our weaker points. We  
21 collect that information. It is readable, but it is  
22 not always entirely machine readable. So that's an

1 area that we do have to work on.

2 The consumer complaint monitoring system,  
3 which is in-commerce, and the recall database, which  
4 is another in-commerce component, those are for the  
5 most part reliable machine-readable databases.

6 So I don't think that -- again, I don't want  
7 to make lightly of what our data development  
8 challenges are, but also I don't want people to have  
9 the opinion that we don't have electronic data systems  
10 for capturing a lot of this because we do.

11 The second question was about in-plant  
12 testing, and it is true again in pathogen control, I  
13 was referring to the O157:H7, the *Salmonella* in the  
14 ready-to-eat program data that the Agency collects and  
15 sends to our own laboratories for analysis. It is  
16 also true that a lot of establishments conduct their  
17 own testing program.

18 One of the things we have talked about,  
19 again in our groups today, it may be important to, and  
20 I would think you would argue that it is, by the  
21 nature of your question, that it may be important to  
22 capture or to at least be aware of which

1 establishments are doing their own testing, and if  
2 possible what are their test results showing. One  
3 possible way to capture that that we thought about  
4 would be an expanded food safety assessment process.  
5 So when we conduct FSAs, we would go directly to, you  
6 know, what types of in-plant testing do they do and  
7 what have been the results of their testing.

8           Your other question I think had to do  
9 basically with -- we use the word incidents, the  
10 attribution data, as most of you know and I think you  
11 may have referred to it yourself, the Center for  
12 Disease Control is the agency that is directly  
13 responsible for tracking food-borne illnesses in the  
14 United States, and you're all familiar with the  
15 pyramid system and the mead (ph.) studies and all  
16 that.

17           We do believe, we are aware that illness  
18 incidence data and whether that incidence is a food-  
19 borne illness didn't require hospitalization or did  
20 require hospitalization, or actually resulted in  
21 death, we are not -- again, we are not trying to  
22 discount the importance of that data. What we are

1 trying to consider is how can we capture that  
2 information from existing data systems, whether  
3 they're at FSIS or CDC or anywhere else, how can we  
4 capture that information in a reliable way and bring  
5 it into our measure of risk control. This is a  
6 substantial challenge that we have.

7 MS. GRANT: We only have a couple of minutes  
8 left before we have to stop and break for lunch. We  
9 have five people in line. How about if you just raise  
10 your question. We will -- after lunch, we will have  
11 another hour to come back and we can have more  
12 questions and more discussion, but can we just at  
13 least set them out on the table. I'm particularly  
14 interested in the remote sites since they're not going  
15 to be with us for that second part of the  
16 conversation. So just raise the question.

17 MR. BERNARD: Thank you. Dane Bernard with  
18 Keystone Foods. As a follow on point to  
19 Ms. Kowalcyk's question about in-plant testing  
20 results, we do a lot of testing. That data has been  
21 available to inspectors certainly. If the Agency is  
22 to consider that data, which I hope the answer is a

1 positive one, would that change in any way the ranking  
2 or the weighting of each of the categories? For  
3 example, if you're not going to have good system  
4 design, system implementation, you're probably not  
5 going to be effective in pathogen control, but if your  
6 data -- if you, if you follow that course and  
7 statistically derive an ample document, good pathogen  
8 control, would that give you a different weighting in  
9 terms of your algorithm? Thank you.

10 MS. GRANT: Chris.

11 MR. WALDROP: Chris Waldrop, Consumer  
12 Federation of America. I had a question about the  
13 look-back window and whether that meant that every six  
14 months or so you were actually going to look at all  
15 these different elements and sort of look and see  
16 whether those same levels of risk controls and the  
17 establishment risk are the same as it was six months  
18 ago, and if that's not what you meant, then I'd  
19 recommend you incorporate some sort of continual  
20 improvement into your system like that.

21 MS. GRANT: We have a question from a remote  
22 site.

1 UNIDENTIFIED SPEAKER: This is an  
2 anonymously submitted question. Is the agency  
3 planning on utilizing the plant's own HACCP data when  
4 determining risks?

5 MS. GRANT: Okay.

6 MR. REINHARD: Bob Reinhard, Sara Lee  
7 Corporation. My question revolves around the same  
8 question that's been coming up and everyone has a  
9 concern, and that's the quality of data. And my  
10 question is this. Has FSIS considered developing a  
11 questionnaire in which establishments are allowed to  
12 in essence determine their own establishment risk  
13 control and then also use their own data within that  
14 questionnaire to go back to be used, because in a  
15 truly transparent model, all the variables in the  
16 algorithm would be known, the establishment would be  
17 known what they can put in for each one. Then in turn  
18 they could respond to FSIS, this is what level of  
19 control I have and this is other data that I also have  
20 that we want to make available for you to use in your  
21 determination.

22 MS. GRANT: Okay.



1 MS. MUCKLOW: Rosemary Mucklow, National  
2 Meat Association. We've been looking forward to the  
3 day when you would make the perfect inspector,  
4 multiply him 6,000 times, so that every time they look  
5 at the situation, they see the same thing.  
6 Consistency is very difficult when you've got 6,000  
7 people out there, many of them working on their own.

8 And for that reason, and also for  
9 seasonality, I would suggest when you say look-back  
10 window, you might want to hear in that look-back  
11 window, one week, one month is certainly too short,  
12 and given the ranges of *Salmonella* that runs up and  
13 down depending on seasonality, you don't capture it  
14 unless you have a full year. So I would suggest when  
15 you look at that look-back window, that you extend the  
16 time.

17 MS. GRANT: Okay. That was a bunch of  
18 questions, using HACCP data, a suggestion about --

19 MS. MUCKLOW: I'm sorry. I forgot my other  
20 piece.

21 MS. GRANT: Okay.

22 MS. MUCKLOW: If you're going to make this

1 system work, you've got to improve the timeliness of  
2 the appeal system. An inspector sees a NR and he  
3 writes it today. You can have appeals going on for  
4 six months. This system ain't going to work if you've  
5 got appeals dragging on like that. To get to the --  
6 system, they need to be much, much faster or the data  
7 is not going to be good for you.

8 MS. GRANT: I don't know if you want to  
9 comment on that. Most of them were comments but there  
10 are a couple of questions in there.

11 MR. ANDERSON: Yeah. Don't get me started.  
12 Let's not address these now. We're going to have a  
13 lot of time over the next day and a half, and people  
14 know me well.

15 MS. GRANT: All right. That's great, and  
16 Don is absolutely right. There will be other  
17 opportunities. So according to the schedule, we want  
18 to have you all back here at 2:30. I think there's  
19 some real good places you can go.

20 I want to officially sign off for today with  
21 the Netcast participants and encourage you to do the  
22 small group discussions on your own and e-mail us the

1 results, or if you don't get them within the meeting  
2 time, you can also use the FSIS e-mail to give us your  
3 response.

4 So back at 2:30 sharp, and we'll continue  
5 this conversation.

6 (Whereupon, at 1:15 p.m., a luncheon recess  
7 was taken.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21



1 specifically will you be using plant HACCP data? What  
2 we plan to do, when the remote sites come back online  
3 tomorrow morning is provide them with the answer to  
4 this question, but maybe you could answer that  
5 question for the group right now, and we'll provide  
6 the answer tomorrow.

7 MR. ANDERSON: Okay. I'll try to address  
8 that. Will we be using the plant HACCP data? I'm not  
9 sure I know exactly what that means. Establishments  
10 have HACCP plans. They have HACCP systems. We, of  
11 course, perform HACCP inspection procedures in  
12 establishments. I'm sure, I'm confident that part of  
13 the FSA process, the food safety assessment process,  
14 of course, looks closely at the HACCP plan or as  
15 somebody pointed out, there may be multiple HACCP  
16 plans. So we certainly look at the rigor of each  
17 establishment's HACCP plan or HACCP plans in the FSA  
18 process. Inspectors, of course, also have to have  
19 available to them various records that the  
20 establishment is required to keep.

21 And I, you know, maybe one way to answer  
22 that I guess is with an example. Some of our

1 inspection procedures, as I understand it, include  
2 looking at HACCP records, and the things that the  
3 establishments themselves are doing, and there are  
4 certain regulatory requirements that industry must  
5 meet that are related to the operation, both the  
6 content of and the implementation of their HACCP  
7 systems, and if they are noncompliant with those, we  
8 certainly document those as we've talked about, NRs.

9 Without knowing more about the question or  
10 the context of the question, I'm not sure I could give  
11 a better answer.

12 MS. GRANT: Okay. I'm going to repeat what  
13 I remember about the other questions, but if the  
14 people who asked them want to adjust what I say,  
15 please feel free.

16 There was a question about using in-plant  
17 test results. There was a comment about  
18 establishments using -- developing their own  
19 questionnaire and then using their own data, if you  
20 remember that comment, if you want to respond to that.

21 I think it was Rosemary raised a question  
22 about consistency and the human factors.

1           And then there was another question or  
2 comment about the look back window, would there be  
3 continuous adjustments to that.

4           If I didn't get those right, please feel  
5 free to get back up at that mic and, if there are any  
6 other questions, please feel free to line up, and I'll  
7 take them in order.

8           MR. ANDERSON:   Regarding the -- I did want  
9 to address the look back period.   One of the points  
10 that I wanted to clarify, whatever the period of time  
11 is, whatever the window is for the look back period,  
12 by look back period, we don't mean that we would just  
13 go in and take a snapshot of the data every six months  
14 or one year or two years.   We mean that we would --  
15 that that's the amount of data that we would look at  
16 every time we took a snapshot of the data, and I think  
17 we would anticipate that we would fairly frequently  
18 re-look at the data, and the idea would be we would  
19 have a moving window of data probably that we would be  
20 examining.   No, we wouldn't just look at the data, you  
21 know, every so many months.   That wasn't our intent.  
22 So I'm glad that that question came up again.

1 MS. GRANT: Then there was -- Dave Bernard  
2 raised the comment of or the suggestion of -- that  
3 establishments might develop their own questionnaire  
4 and use their own data or --

5 MR. ANDERSON: I took that as more of a  
6 comment. I'm not sure how we would implement that or  
7 what the authority would be to do that or anything.

8 MS. GRANT: And a question about using in-  
9 plant test results? Did you already address that?

10 MR. ANDERSON: Well, again, we know that, we  
11 know that a lot of firms do in-plant testing, and as I  
12 understand it, our inspection personnel do have the  
13 authority and the right to look at that data, but how  
14 we would capture that data and bring it into a measure  
15 of risk control, I don't think that's something that  
16 we've really talked about. Ideas would be welcomed.

17 MS. GRANT: Then I'm just reminded that I  
18 think it was Rosemary raised a question about the  
19 timeliness of appeals, of the appeals process?

20 MR. ANDERSON: Again, I would take that as a  
21 comment, but I think it's a valid point.

22 MS. GRANT: Okay. So we have one person



1 lined up with an additional question. Go ahead.

2 MR. POTTER: Thank you. I'm Bill Potter --

3 MS. MUCKLOW: Consistency is the other issue  
4 I raised.

5 MS. GRANT: Don, can you hear that?

6 MR. ANDERSON: Consistency of:

7 MS. GRANT: The human factor.

8 MS. MUCKLOW: Human variability between  
9 inspectors.

10 MS. GRANT: Well, it's something that's come  
11 up several times. In fact, Dr. Raymond was one of the  
12 first to bring it up I think in his football analogy  
13 that consistency, as you pointed out, consistency is  
14 bound to be an issue with as many employees that we  
15 have. I do know that we have a very sophisticated and  
16 elaborate training system, and we do correlation  
17 activities and all those kinds of things. We have IPS  
18 programs in place, and so I'm not sure what to say  
19 about that except maybe we do need to improve the  
20 consistency.

21 MS. MUCKLOW: Thank you.

22 MR. ANDERSON: Okay. Noted. Go ahead.

1           MR. POTTER:    Hi.    I'm Bill Potter with  
2 George's, and I also, as others have said, wanted to  
3 tell you we appreciate those of us from industry, the  
4 open forum and the ability to provide feedback.

5           My question is related to the presentation  
6 on inherent product risk, and how to measure that, and  
7 I thought the panel of experts was very qualified and  
8 would commend the Agency on soliciting their input.

9           However, the panelists, if you kind of drill  
10 through that, I kind of did that at the break  
11 sessions, the panelists had some pretty diverging  
12 opinions about inherent product risk. I was just  
13 looking, for example, at the category of raw intact  
14 chicken versus ready-to-eat, fully cooked poultry, and  
15 one panelist, panelist 3, for example, said that raw  
16 intact chicken had a score of 600 whereas ready-to-eat  
17 had a score of 10,000. To me that says that that  
18 panelist thought that the ready-to-eat, fully cooked  
19 product was much more riskier. Panelist 10 had about  
20 the same score for those two categories, scoring one  
21 2.2 and the other one 2.5, and then panelist 13 had  
22 the opposite opinion, whereas that panelist said that

1 raw intact chicken had a score of 100 and the ready-  
2 to-eat, fully cooked poultry was only a risk of 1.

3 So I guess my question would be -- well,  
4 first a comment. Obviously, the panelists considered  
5 the subsequent step of the consumers cooking and  
6 further handing of the product to be significant in  
7 this risk but they see it in different ways obviously.

8 So I guess my question is could the Agency  
9 do further and extensive studies to try to determine  
10 relative risk? Because those of us in industry want  
11 to make real, real sure, that we all have a really  
12 good understanding of which of those products the  
13 Agency feels like are higher in relative risk than the  
14 others.

15 MR. MICHAEL: I will say we are continuing  
16 to look at the scores given to us by the experts, and  
17 as I mentioned earlier, we did an initial informal  
18 analysis, a cost analysis. I'm not a statistician. I  
19 couldn't explain all the things we did. We found a  
20 significant amount of agreement among the experts in  
21 terms of ranking in some cases, in terms of  
22 proportionality in others, but we are continuing to

1 look at it. This is, you know, getting a really wide  
2 range of numerical answers is something, of course,  
3 that we want to try to constrain when we give people  
4 the instrument, but it's something you encounter  
5 anytime you do an expert elicitation, regardless of  
6 whether you give them a range, or in our case, we gave  
7 them a lower bound but no upper bound. You're always  
8 going to get different answers, and you need to  
9 determine how to make -- which measure of central  
10 tendency to use, how to generalize that data, and I  
11 think we still have a lot of options on how to use  
12 this data, how to interpret it, but we are still  
13 looking at it.

14 MS. GRANT: Michael?

15 MR. KOWALCYK: Michael Kowalcyk with Safe  
16 Tables Our Priority. In looking at the establishment  
17 risk control paper, it brought back a lot of memories  
18 from the last NACMPI meeting about these questions  
19 were posed to that committee, and I think the  
20 committee came back, my fellow committee members can  
21 correct me if I'm wrong, but that we requested that  
22 the Agency provide more detailed information about the

1 actual data that would go into the system. When you  
2 look at this system here, with these spokes around the  
3 center of this tire here, it looks like a scheme for a  
4 database that you use to manage your workforce.

5 To really address these questions, as far as  
6 applying weights and look back periods and things like  
7 that, it would be -- it would help those in industry  
8 as well as consumer groups as well as academicians to  
9 better understand what the data is, how it's currently  
10 structured, where you're gaps are today. I know  
11 someone mentioned taking qualitative data and  
12 transforming it to quantitative data that may cause  
13 revisiting, how NRs are structured as well as how FSAs  
14 are done. Those types of details, unfortunately if  
15 those aren't transparent up front, there could be a  
16 lot of misinterpretation of the risk-based inspection  
17 process that's built on said data.

18 So if the Agency can share anything at this  
19 time for this meeting or for the committee meeting  
20 later in the week, it would be greatly appreciated.  
21 Can you speak to that? Are there any more specifics  
22 than what we had in the spring and what we appear to

1 have today?

2 MR. ANDERSON: Well, again, I would say that  
3 this is part of a continuing public process that we're  
4 getting information on these kinds of things. We're  
5 still at the point now where we're trying to make sure  
6 that we have all of the right components, that there  
7 aren't any components that were missing, if there's  
8 some components that are more important than others.  
9 I think on many of the components, which I probably  
10 went into more detail than some people might have  
11 liked and less than other people might have liked, on  
12 pathogen control, there are a lot of different  
13 variables or elements that enter into it.

14 So I think what we're still trying to do  
15 here is see if we're getting the big picture right  
16 before we get down into more details. So I'm not sure  
17 what -- if I can add anymore. I mean I can try to  
18 answer more specific questions when they come up, but  
19 that's a pretty broad question I guess that you're  
20 asking.

21 MR. KOWALCYK: Okay. Thank you.

22 MS. GRANT: Okay. Felicia.

1 MS. NESTOR: Felicia Nestor, Food and Water  
2 Watch. You just mentioned that as a continuing public  
3 process, does that mean you have another public  
4 meeting scheduled after this one?

5 MR. ANDERSON: I don't know the answer to  
6 that.

7 MS. NESTOR: So in other words, there may be  
8 another public meeting after this before  
9 implementation? It's still an open question?

10 MR. ANDERSON: I don't know the answer.

11 MS. NESTOR: Does anybody know the answer?

12 (No response.)

13 MS. NESTOR: I mean whether this is the last  
14 public meeting on this seems like a significant issue.  
15 So if there is an answer to it.

16 (No response.)

17 MS. NESTOR: I guess we don't have an  
18 answer. Okay.

19 In the implementation box, you mentioned  
20 some, some specific things that could be in an and/or  
21 that would be considered food safety, and you  
22 mentioned the 416 and the 417. Are these definite

1 factors that the Agency has decided upon or you're  
2 just considering these or what?

3 MR. ANDERSON: I would say the latter. I  
4 would say we're considering them.

5 MS. NESTOR: Okay. And when it says those  
6 for which regulatory control action was taken, how do  
7 you determine that from an NR? Would it be in the  
8 blurb or is there some, is there some category on here  
9 that's checked off every time any regulatory control  
10 action is taken?

11 MR. ANDERSON: One of the, one of the ways  
12 that we're analyzing NRs is we're actually conducting  
13 text search analyses of the NR narratives, which is  
14 difficult and it's painstaking work, but we think that  
15 if we can identify certain types or characteristics of  
16 NRs that are really predictive and if it meant we  
17 needed to make a change to the system, we would  
18 entertain that. It would allow us to do that more  
19 efficiently.

20 MS. NESTOR: So for regulatory control  
21 action, you're going to be searching for specific  
22 words that would tip you off that that might have



1 occurred?

2 MR. ANDERSON: That would be one of the ways  
3 to try to do that, yes.

4 MS. NESTOR: Okay. And then those issued  
5 for inadequate validation or verification, I'm  
6 assuming that would be the monitoring NRs. Is that  
7 right? Or would that be a word search as well?

8 MR. ANDERSON: Reg cites, yeah. I can't --  
9 I brought my red book with me. I didn't bring it up  
10 to the table, but we have a regulatory -- we have a  
11 particular regulatory requirement for that, and it  
12 would be a particular reg cite.

13 See, one of the things that we didn't --  
14 maybe didn't go into as much this morning is that FSIS  
15 in December of 2005, had a new feature to FSIS that  
16 permits --

17 UNIDENTIFIED SPEAKER: PBIS

18 MR. ANDERSON: -- PBIS, that permits and, in  
19 fact, I think requires an inspector when they're  
20 documenting their regulatory noncompliance to select  
21 one or more specific reg cites that are being  
22 violated, and that has -- since December, that has

1 substantially increased our ability to analyze data  
2 because we can count NRs now that are documenting  
3 specific noncompliance and specific regulatory  
4 requirements.

5 MS. NESTOR: Okay. So that's starting to  
6 explain to me. You're talking about relevant  
7 regulations. That there is a category on the NR  
8 that's called relevant regulations.

9 MR. ANDERSON: Yes.

10 MS. NESTOR: Okay. And so you're saying  
11 that every single NR now is categorized where they  
12 have to --

13 MR. ANDERSON: Yes.

14 UNIDENTIFIED SPEAKER: Actually there was a  
15 relevant regulations field before. It's just there  
16 wasn't a drop down menu and the data wasn't  
17 constrained. It was entered in ways that made it  
18 harder to search. Now that we have the drop down  
19 menu, we can do very consistent searches, for example,  
20 for various violations of 417. So the validation  
21 violation, corrective action violation, et cetera.

22 MS. NESTOR: Uh-huh.

1 UNIDENTIFIED SPEAKER: And every inspector  
2 now will enter that data the exact same way.

3 MR. ANDERSON: And they can enter more than  
4 one regulatory requirement if there was more than one  
5 reg cite, if you will, that was noncompliant. He can  
6 actually enter multiple reg cites anew.

7 MS. NESTOR: And have you been reviewing  
8 these to make sure that it actually comports with the  
9 blurb in the NR? I mean how is this drop down system  
10 working? Is it working extremely well, pretty well?  
11 Have you done a review?

12 MR. ANDERSON: I think that's maybe a bit  
13 more a side benefit, part of the work we're doing here  
14 because by doing some of the text string analysis that  
15 we're doing, we can do text string analysis by NRs by  
16 reg cite, and that should give us some insight into  
17 some of that kind of consistency and validity. So I  
18 think that will permit us to do that.

19 MS. NESTOR: Okay. So the way you're going  
20 to deal with NRs is through this kind of computer  
21 system rather than like an individual review of NRs?

22 MR. ANDERSON: Yes, because I think what we

1 ultimately understand here is that a risk-based  
2 inspection system, that's going to be a data driven, a  
3 real time inspection system, we can't be, you know,  
4 people can't be sitting down and reading all the NRs  
5 and putting them in one category or another. That  
6 needs to be automated to the extent possible.

7 MS. NESTOR: Thank you.

8 MS. GRANT: Craig.

9 DR. HENRY: Craig Henry, Food Products  
10 Association. Considering all of the debate that is  
11 involved today regarding the expert elicitation from  
12 the inherent risk, it seems that it would be  
13 appropriate when you look at the Agency using the  
14 National Advisory Committee, not only on meat and  
15 poultry inspection, but the microbiological criteria  
16 for food, certainly would have merit for a review and  
17 to have them make their elicitation on the ranking of  
18 the products appropriately since it does take into  
19 account I believe all stakeholders. And if that's not  
20 an option or is an option, we'd certainly like to hear  
21 about that, and relative to your wheel, could you  
22 comment briefly on how food defense got figured into

1 this, into your decision making factors.

2 MR. ANDERSON: I know what you mean by the  
3 wheel now, but what do you mean how it got factored  
4 in.

5 DR. HENRY: Well, food defense seems like a  
6 new wrinkle coming into the scheme of public health,  
7 and in this case, food safety. Food defense is just a  
8 new one which I guess was news to us as being a major  
9 factor to be brought into this relative to  
10 establishment of risk controls.

11 MR. ANDERSON: Well, again, remember one of  
12 the questions that we ask is are the six factors  
13 appropriate and we also ask if we think some factors  
14 are more important than others, you know, should they  
15 be given more weight in our algorithm. So I don't  
16 know whether you're asking a question or expressing an  
17 opinion. I mean I'm trying to --

18 DR. HENRY: Well, it seems as though it was  
19 then arbitrarily brought in or did FSIS have a  
20 rationale for including that in part of the factors  
21 that should be considered for establishment of risk  
22 control?

1           MR. ANDERSON:     Well, I think it's our  
2     current thinking, is that how well an establishment  
3     protects its operations from food defense threats  
4     which are -- clearly the nature of it is inherently  
5     public health.     That's a factor that we should be  
6     considering bringing into our measure.     Exactly how we  
7     do it, we haven't decided yet.

8           DR. HENRY:     Okay.     And what about the option  
9     on having NACMCF address the elicitation method, the  
10    ranking of the products?

11          MR. ANDERSON:    I can't answer you yes or no  
12    but we will take that comment into consideration.

13          DR. HENRY:     Okay.     Thank you.

14          MS. GRANT:     John.

15          MR. MUNSELL:    John Munsell.     I have a  
16    question in regards to the usefulness of plant and  
17    generated microbiological testing results in your  
18    equation in the algorithm.

19                 Most small and very small plants do not have  
20    their own in-plant samples or lab facilities.     Some  
21    do, you know, for generic purposes but most don't to  
22    make a definite determination for positive *E. coli* or

1 which type of *Salmonella* serotype. I know that the  
2 Agency provided me a list here several years ago of --  
3 from an Agency publication of a variety of labs in the  
4 country that plants should consider, which we have  
5 always used.

6 So assuming that a small or any size plant  
7 would send a sample into one of those labs, does the  
8 Agency consider the results from those non-USDA labs  
9 to be valid?

10 MR. ANDERSON: Again, I want to make it  
11 clear that what we're talking about, what we've been  
12 talking about in our presentation, in our measure of  
13 establishment risk control, what we had addressed is  
14 the Agency's own laboratory test results, our own  
15 testing program. Now others have brought up -- this  
16 is maybe the third time that somebody's brought up is  
17 the possibility that we should somehow consider the  
18 results of the industry testing they do themselves,  
19 and now you've taken it down even another level, which  
20 I'm not saying isn't valid, but it's still a deeper  
21 question of, okay, if we were to consider industry  
22 test results, would we treat industry test results

1 that we tested at some other lab, depending on which  
2 lab it was tested, and I guess I just don't know the  
3 answer to that.

4 MR. MUNSELL: Well, I'd like to suggest that  
5 since those labs are listed in the USDA publication,  
6 that they must be valid labs, and if the USDA would  
7 simply accept those lab results as valid, I would  
8 suggest that the USDA should give perhaps equal weight  
9 or relevance to those test results as it does the  
10 results from USDA labs. For one thing, it would  
11 provide quite an enticement to plants to increase  
12 their own testing if they can be a part of this system  
13 to prove what the actual risk is at that plant. If  
14 the Agency refuses to accept the validity of those  
15 results, then the plants would respond, well, why  
16 should we waste this expenditure if the Agency refuses  
17 to accept it?

18 MS. GRANT: Dr. Raymond.

19 DR. RAYMOND: I want to address Craig's  
20 question about NACMCF, just so everybody knows. I  
21 think technically I chair the NACMCF committee, but in  
22 practical purposes, Bob Bracket (ph.) from the FDA --



1 and myself kind of co-chair it, FDA and USDA and many  
2 other U.S. federal agencies sit on the advisory  
3 committee for NACMCF and about twice a year, we get  
4 together as an advisory committee and entertain  
5 requests for work for the NACMCF committee and  
6 depending what they've got on their plate, we may task  
7 them with one task, sometimes maybe with two tasks,  
8 but it is formed by a -- and, Craig, I think that's  
9 the reason no one can give you a definite answer. We  
10 certainly could bring it to the NACMCF Advisory  
11 Committee with the request that this be considered as  
12 a project for NACMCF. It may or may not, you know,  
13 work that time. They meet twice a year basically and,  
14 you know, have to work into that schedule also to get  
15 it done. I'm certainly not going to say we wouldn't  
16 consider requesting that the Advisory Committee put  
17 that on as one of the projects, to get it done  
18 probably. Barbara, you sit on that. How long does it  
19 on average take from the time you get tasked with  
20 something? One year, year and a half. So, Craig, at  
21 best, we're probably talking two and a half years  
22 before NACMCF could come back with some

1 recommendations. Not that it couldn't be done. Not  
2 that we couldn't in two and a half years take a look  
3 at that Y-axis and say, well, we're going to slide  
4 some things around based on NACMCF, but I don't think  
5 we'll postpone our RBI to get NACMCF to do it.

6 DR. O'CONNOR: Dr. Bob O'Conner, Foster  
7 Farms. The issue of NRs has come up quite a bit, and  
8 I would say I live on the ground floor of  
9 noncompliance reports, because I have quality control  
10 managers who work for me. I deal with a lot of NRs, a  
11 lot of appeals, that process. It's a very long  
12 process by the way.

13 I think there's been a lot of valid points  
14 brought out relative to using NRs in your analysis or  
15 analyzing NRs, and what I hear you saying is you're  
16 going to be looking at a lot of that electronically.  
17 It would almost be impossible for you to drill down  
18 into every individual NR, and I would say validate the  
19 substance of it. That's going to be very difficult  
20 for you to do.

21 But one thing is on every noncompliance  
22 report, the inspection employee does list their name.

1 And I think as part of your analysis, you might want  
2 to look at the names of the inspectors who have  
3 written in their names. And then I would look at the  
4 numbers of NRs written by various inspectors and look  
5 at those trends and maybe analyze why certain  
6 inspectors rank so high in so many NRs. And I think  
7 in some cases, you'll find that it's very valid. And  
8 then I think in other cases, you're going to find it's  
9 very invalid and very biased. So that's a suggestion  
10 as part of your use of NRs.

11 MS. GRANT: Okay. Any other questions on  
12 either one of the papers? Any other comments?

13 MS. DILLEY: I just want to take a run at, I  
14 think it was Felicia who asked the question about is  
15 this the only public meeting, and I think the reason  
16 that that's a hard one to answer, there's kind of two  
17 levels of answering that.

18 One is tomorrow we're going to come back to  
19 so what next steps discussion, and some of that's  
20 based on the next day between now and that discussion  
21 of what comes out of this, what the group recommends  
22 and some ideas that you're going to put forward.

1           The other piece of that is looking at other  
2 avenues for public participation. The stakeholder  
3 input process I believe is beyond just this meeting.  
4 And there are lots of avenues to do that. People have  
5 mentioned NACMCF, NACMPI, lots of other kinds of  
6 avenues to do that.

7           So one of the questions is, taking what  
8 comes out of this and other avenues of providing  
9 input, and looking at what would be the best vehicles,  
10 whether that's a public meeting or doing it through a  
11 subcommittee of NACMPI or NACMCF, a lot of acronyms in  
12 this -- on this topic, but other avenues. And one of  
13 the things I think will come out of part of that  
14 discussion tomorrow, but also beyond that, additional  
15 comments that come in from the remote sites and  
16 through the electronic means on papers and reviewing  
17 the material from this workshop, is making some  
18 decisions. We're going to write a report, too, as  
19 part of our task is to put some options out there in  
20 terms of how to stakeholder input can be gathered  
21 beyond this. So it's not a very satisfying question  
22 to you possibly right now, in terms of is this the one

1 and only. We don't know yet is kind of the short  
2 answer. So I would participate like it's one of the  
3 best opportunities to get your comments in, but the  
4 door is still open in terms of what comes after this.

5 It's going to require some reflection and thinking  
6 about what information comes out of this discussion  
7 and other avenues of gathering input.

8 MS. GRANT: There's another question.

9 MS. NESTOR: I don't have so much a  
10 question. She kind of answered it, but I think all of  
11 us have come here to give our input on a very complex  
12 and large problem, namely, you know, food safety in  
13 America, and I really would be quite upset if I  
14 thought this was the only public forum because I feel  
15 totally like overwhelmed. We just have way too many  
16 topics to be discussed, for it to even be done  
17 remotely in two days of public testimony. And I would  
18 sort of like to know how many other people agree with  
19 that in this room right now.

20 MS. DILLEY: That is a point well taken, and  
21 I think the question is, let's come back to that  
22 question again tomorrow and see how we can do in the

1 sessions that we have planned for today and tomorrow  
2 and I think that's part of the input that we're  
3 looking for from everyone.

4 MS. DONLEY: This is Nancy Donley from STOP.  
5 I guess, and this is going to go back -- take a step  
6 back to this morning's conversation on the whole  
7 vision of this. I have a question. What does FSIS  
8 envision coming out of this meeting? I think that  
9 would be very, very helpful for me to at least know  
10 what is it that we in this public meeting are being  
11 charged with doing? What is it that you're really  
12 asking from us, and what are you going to do with what  
13 we give to you?

14 MS. KOWALCYK: Actually -- this is Barbara  
15 Kowalcyk. I would like to follow up from Safe Tables  
16 Our Priority. I would like to follow up and just take  
17 Nancy's comment which was very well taken and  
18 appropriate, one step further.

19 The directive seems to have been that we're  
20 supposed to spend these two days really getting into  
21 the details of these two papers but yet earlier, I  
22 believe it was Don Anderson's response was really

1 we're here to look at the big picture, and it  
2 certainly raises the question, we're getting  
3 conflicting messages. Are we here to look at the big  
4 picture of risk-based inspection, or are we here to  
5 get into the details?

6 If we are here to get into the details, we  
7 obviously need more information to actually delve in  
8 there and give you some feedback.

9 If we're here to look at the big picture,  
10 then should we be limiting this conversation to these  
11 two papers?

12 It's just -- I'm getting a mixed message.

13 DR. MASTERS: This is Barb Masters. We  
14 tried to give you a sense of the bigger picture in our  
15 opening remarks to let you know where we were heading  
16 from an overall perspective, how data can play out in  
17 may ways in the Agency. Then to bring you back, to  
18 let you know that most of what we need from you over  
19 the course of the next two days, did relate back to  
20 these two papers and how we could begin to implement  
21 these two papers specifically for processing and for  
22 off-line slaughter positions.

1           These two papers, we have had on the website  
2 since July and have done some issue spotting with some  
3 of our stakeholders to gain what we hope to be a  
4 substantive and useful agenda, and with that, we have  
5 put some very specific questions along with each of  
6 the papers so that you would have a sense of the kind  
7 of information we still need from you, the  
8 stakeholders, to help us move forward.

9           In these workshops, we hope to obtain  
10 answers to those questions from our stakeholders and  
11 start to come to some consensus around those questions  
12 as we move forward.

13           A lot of the questions you're asking, I  
14 think when you get in your small groups, you will see  
15 were really around the questions we were asking, and  
16 you are really starting to give us, from where I was  
17 sitting comments around the questions that we were  
18 asking.

19           So I felt you were starting to get into some  
20 of the questions that we were asking with the comments  
21 that you were providing to the Agency, and I found it  
22 quite helpful to listen to you, and I think when you



1 get in your small groups, you have already begun to do  
2 some of the work that we were asking you to do with  
3 your comments.

4 We're hopeful that by getting into your  
5 small groups and working through the questions, you  
6 will have given us ideas around those questions as  
7 well as quite possibly new ideas that we didn't think  
8 to ask which will be very useful to us.

9 So tomorrow, we can get those thoughts  
10 brought back to the Agency, and then we can share with  
11 you, at least our preliminary ideas for a vision of  
12 how we see these two papers coming together with your  
13 input. We will try to bring a few of the pieces that  
14 you have asked for in the form of an example NR, the  
15 URL to get to that. I heard somebody ask, could you  
16 chart out for us the data pieces that you already have  
17 in that data warehouse. We can certainly try to put  
18 those on charts for you if that's useful to you, and  
19 if that's helpful, we'll be glad to do that while  
20 you're in your workshops so that you can see that as  
21 you move forward.

22 But we really do need your answers to these

1 questions which in some ways you were starting to do  
2 with your own questions, your comments that you're  
3 giving for us, to help move us forward and looking at  
4 these two papers and how they relate in coming  
5 together for implementing our more robust risk-based  
6 inspection for processing inspection assignments and  
7 off-line slaughter inspection assignments.

8 MS. GRANT: Caroline.

9 MS. SMITH DEWAAL: Thank you, and I beg your  
10 tolerance on this. I wasn't going to take the mic,  
11 but I will not be here to participate in most of the  
12 small groups, so I won't be here tomorrow.

13 I think Craig Henry has really raised an  
14 important question on the expert elicitation. And  
15 I've heard the Under Secretary come in and say we  
16 don't have two and a half years, but you need to take  
17 the time to do it right, and we, you know, in leaving  
18 this meeting this afternoon, I just need to share with  
19 you that I don't have confidence in that piece of this  
20 work. I have not spent as much time delving into the  
21 plant based thing, the plant based component of the  
22 algorithm but I think there are people in this room,

1 both on the industry side and on the consumer side who  
2 can comment on that, but I just -- I thought I'd tell  
3 the Agency that what I hope comes out is a plan for  
4 how you plan to move forward with, with the product  
5 ranking in a way that's trustworthy to everyone, and  
6 if it is not through the NACMCF, you need another  
7 vehicle because what you've got right now isn't ready  
8 for prime time.

9 DR. MASTERS: Caroline, I think that's a  
10 good example. Where we ask some questions, we shared  
11 with you what we have. We asked for some additional  
12 help, how we could start getting input on how we  
13 could, in fact, look at measures to insure that we  
14 were considering severity, and if an answer that comes  
15 out of this group is to have somebody like NACMCF, be  
16 a body that helped us do that, or another body, to  
17 make this a work that could move forward, then that's  
18 a way of answering that question that might not only  
19 answer that question but give this body of individuals  
20 some assurances around the whole body of work. I  
21 think that's what I'm saying, but what I was hearing  
22 allowed me to believe that you were starting to answer

1 some of the questions while you were providing some of  
2 the comments.

3 So I was hearing things maybe differently in  
4 the front of the room than you might have intending to  
5 present them from the back of the room. But I believe  
6 you were starting to answer some of the questions with  
7 some of your comments.

8 We recognize there's questions still to be  
9 answered, and how you might present a comment could  
10 help us move forward in many realms. So I think  
11 that's a good example of how you might choose to  
12 answer a question to help us move forward. Does that  
13 make sense?

14 (No response.)

15 DR. MASTERS: So we may ask it about  
16 severity and you may choose to suggest that not only  
17 around severity, but around the whole process may take  
18 it to the whole next level, Caroline.

19 MS. SMITH DEWAAL: I just -- Barb, I  
20 appreciate your focusing on severity, and that's  
21 certainly an important question but in delving down  
22 into the actual expert elicitation, there was not

1 substantial agreement. There wasn't even agreement on  
2 how to rank it, and I think that's a flawed tool. And  
3 you need to come in with the public health, a public  
4 health tool that either -- I mean either start over,  
5 throw it out and start over or use that as a base, but  
6 bring other public health experts and, you know, I  
7 might suggest a ratio of 22 public health experts to 1  
8 industry expert for your next panel. You did not  
9 deliver a baseline product that is at all trustworthy  
10 to us. It did not appear to be substantial agreement  
11 among the experts, and I think you need to go back and  
12 rethink that. That is not ready for prime time.

13 And maybe I'm -- I'm not just answering you  
14 on severity. I need to be very clear about that. I'm  
15 not just saying look at this on severity. I'm saying  
16 look at the answers that you have come up with and  
17 make it balanced and make it something that we can  
18 trust, because it's not that way yet.

19 DR. MASTERS: I appreciate that, and I don't  
20 think that's what I was suggesting you were saying.  
21 Thank you.

22 MS. GRANT: Nancy.

1 MS. DONLEY: This is kind of as a follow up  
2 to what I was saying earlier, is as far as I can  
3 determine from what I've read is that the Agency has  
4 been going down this path of risk-based inspection  
5 since about 2000. And most of it, which none of us  
6 has seen anything until July when these two papers  
7 were put up, and so there's been a process, an ongoing  
8 process for years that we haven't been privy to.

9 You used a word, Dr. Masters, that really  
10 causes me grave concern, and that is the word  
11 consensus. I do not see how we can be given the  
12 materials that we've been given, and can we give you  
13 some specific points on the papers? Yes. But we  
14 cannot give you our best thinking with what we've been  
15 given. Those papers beg more questions than they do  
16 provide information for us to be able to really  
17 respond in a meaningful way.

18 I don't think there's any way that five --  
19 we can be given five, six years worth of work and be  
20 asked in a two-day session, to come out with a  
21 consensus among all of us. I just think that that is  
22 just -- I'm not prepared to do that. And I really

1 don't know who really could honestly say they could.

2 And I also think that after having built a  
3 model going forward for five years, six years now,  
4 that you can't honestly tell us what your next steps  
5 are going to be. I find that hard to believe.

6 MS. DILLEY: I appreciate your input. I do  
7 think it's important to understand, we have had public  
8 meetings on our processing inspection optimization  
9 system, and have talked about the HCC and the HC with  
10 our consumer groups. So I don't want anyone to  
11 suggest that we haven't had public forums on these  
12 other documents.

13 And as to not being able to speak to our  
14 next steps, I think it's important to understand that  
15 Matthew and Don are being open to hearing from our  
16 stakeholders, and they don't have perceived next steps  
17 because we've asked them to allow the public process  
18 to play forward.

19 So I appreciate your comments, and I don't  
20 want to take away from your comments, but we don't  
21 have definitive next steps because we are allowing  
22 this process to play out, and we are looking for that

1 public input.

2 Just two other things. Do you think we will  
3 all come back to the question of what are the next  
4 steps, and we talked about that earlier, and we do  
5 have a place for that in the agenda tomorrow. So we  
6 need to come back and revisit that question.

7 The other is whenever a facilitator hears  
8 the word consensus, we kind of go -- and the  
9 expectation is not to come out of this meeting with  
10 consensus in terms of saying, do we have consensus,  
11 and it's impossible with the amount of information you  
12 have.

13 I think what we are -- what the charge is,  
14 is to get as much information and input on these  
15 concepts. We recognized from the beginning that two  
16 days on these big chunks of information is a lot to  
17 ask. No doubt about it. We're not looking for  
18 consensus. We're looking for as much input as  
19 possible. So I do want to be clear about that because  
20 it's important to distinguish what you're being asked  
21 to do.

22 MS. KOWALCYK: Barbara Kowalcyk, Safe Tables



1 Our Priority. I have a couple of comments.

2 First of all, I am still a bit confused as  
3 to whether or not we're supposed to be looking at the  
4 big picture versus the details. If we are looking at  
5 big picture, then there are other things that we  
6 should be talking about such as does the Agency even  
7 have the legal authority to implement risk-based  
8 inspection and will this get challenged in Court, but  
9 I'll stay away from that for now.

10 The other thing I wanted to follow up on was  
11 Caroline's comment on the expert elicitation. And I  
12 agree completely with her. I think that the expert  
13 elicitation that you do have, when it comes to product  
14 risk, has some very significant problems in the fact  
15 that, you know, participants were told to ignore -- to  
16 assume healthy populations. They were told to ignore  
17 severity of illness, and personally I believe that's  
18 probably why you got large scores is because people  
19 thought that was absolutely ridiculous. Had I been  
20 asked to fill that out, I probably would have done  
21 something similar just to send the message that you  
22 cannot think about that in a vacuum.

1           I think that you do have a challenge ahead  
2 of you, and something that Dr. Raymond has brought up  
3 many times, is that the plant, if I believe in level 5  
4 is not the plant that anyone wants to eat meat from,  
5 there are certain steps that you can take in the  
6 intermediary to certainly reduce the risk of people  
7 eating meat from a plant in level 5.

8           You need to start somewhere, and I  
9 understand the Agency doesn't want to wait two and a  
10 half years until it collects all the perfect data, and  
11 the expert elicitations could potentially provide a  
12 baseline if there was any assurance that the Agency  
13 would then move towards a data driven system. I have  
14 absolutely no faith, based on what I have seen with  
15 the microbiological baseline surveys and the other  
16 things, that the Agency will go back and fix this  
17 system after it gets implemented, and that's what my  
18 concern is, and that's why I have significant problems  
19 agreeing to this expert elicitation because it needs  
20 to come from data. An expert elicitation is a very  
21 subjective opinion, and you do not have nearly enough  
22 sample sizes to -- and I don't see how bring NACMCF

1 and NACMPI will even correct that.

2 DR. MASTERS: Just so everyone is clear, we  
3 are talking about the two papers for the workshop this  
4 afternoon and about the specific questions that we  
5 have framed out for those two papers for this  
6 afternoon.

7 MR. HENDRICKS: Lamar Hendricks. I'm an  
8 industry consultant. I've worked in the industry for  
9 40 some years.

10 I think we're making this a little too  
11 complicated. I think we're getting away from the  
12 picture. I believe, and the gentleman from Safe  
13 Tables mentioned it. We have a wheel. We have a  
14 design. We have an enforcement piece. All I think  
15 we're looking for is how to assign different weights  
16 to determine whether inspection needs to be double  
17 here versus twice here or whatever. That's all I  
18 think we're charged with here. I think you guys have  
19 gone out and done interviews with industry experts,  
20 consumer experts, and had input from all of these  
21 people. I think let's not over complicate this thing.  
22 Let's see where we go. Let's go through some

1 workshops, answer some questions and then come back  
2 and find out what's happened here or how much weight  
3 we can put on enforcement activities.

4           When we were talking earlier about  
5 comprehensive food safety assessments, we have a tool  
6 in place. It was tough for the industry to comply  
7 with all of the directives, the notices, the EIOs, the  
8 NOIEs and everything else, but we -- we've still got a  
9 lot of work to do but we're doing it. We're making  
10 safe product, but what we need to get down to is,  
11 okay, our plant here is down in that bottom quadrant.

12       We don't need the inspector there all the time  
13 because we have a real good facility, whereas this  
14 product has a higher risk, and that's where we need to  
15 assign those inspectors.

16           So that's my input, but I just don't think  
17 we need to over complicate this thing. Thank you.

18           MS. DILLEY: Let me just say one more thing  
19 and make a suggestion, and then we'll take Felicia and  
20 Barb's comments.

21           Barb, to your question of are we being asked  
22 to look at the big picture and small picture? You're

1 asking to do both. We started with a vision piece.  
2 We're trying to get into -- we want to get and will  
3 get into the small groups to wrestle with some of the  
4 questions. That's at obviously a more detailed level,  
5 but we also have time, we haven't even touched on the  
6 implementation piece. That's tomorrow.

7           Unfortunately, you have disaggregate and  
8 then re-aggregate it to see, do the piece, to get a  
9 little deeper into the different dimensions of it, and  
10 then step back and that's why we have some time  
11 allocated tomorrow. I know an hour isn't much, but it  
12 is some time to surface at least additional issues  
13 that need to be raised. And I think when you're being  
14 asked to put input on, drilling down, how do you think  
15 through volume, this equation, and then take a big  
16 picture question and say, how do you get an expert  
17 elicitation or the basic calculation to have some  
18 validity, et cetera? You're providing comments at  
19 both levels. Those are -- so you are -- it's a big  
20 charge, and we knew that coming in and it is hard. I  
21 think the problem is we want to get to doing it, and  
22 then come back and say, okay, so what? Then we have

1 to ask the big question that both you and Felicia and  
2 Nancy have been asking, and others, so what next after  
3 that? And we don't have the answer to that. We won't  
4 be able to answer that question I don't think until or  
5 at least take another run at it tomorrow afternoon.

6 So we're doing all sorts of those pieces  
7 together, and then we need to step back and say, okay,  
8 what's the information that we gathered, and what do  
9 people want to see in terms of next steps and a  
10 stakeholder input process.

11 So I'd like to take Felicia and Barb's  
12 questions, you're standing at the mic. Dr. Raymond,  
13 you have a comment as well, and then I really would  
14 like to get into the small groups to be able to spend  
15 some time talking about those two pieces, and the  
16 questions that have been posed. Felicia.

17 MS. NESTOR: Felicia Nestor, Food and Water  
18 Watch. I have a couple of more questions about this  
19 diagram with everything around the central hub, and I  
20 just wanted, you know, to figure out how much data you  
21 actually have. How many plants, how many processing  
22 plants that might be subject to this risk-based

1 inspection right now get no Government sampling?

2 MR. ANDERSON: The question was about the  
3 number of establishments, I think you're asking that  
4 do and don't have laboratory test results?

5 MS. NESTOR: Yeah.

6 MR. ANDERSON: It is true that a lot of  
7 establishments produce products that by virtue of the  
8 fact that they're not ready to eat or they're not raw  
9 ground beef product or they're product that's not  
10 subject to some *Salmonella* program. It is true that  
11 we have a lot of establishments, I believe it's  
12 between 1500 and 2,000, I look back to Loren to give a  
13 nod, but we do have over 1,000 plants, maybe 1500,  
14 that by the nature of the work they do, they're not  
15 subject to any of the Agency's sampling requirements.

16 MS. NESTOR: Can you give me an  
17 authoritative percentage of the number of plants that  
18 are going to be subject to this RBI that don't -- I  
19 mean I, you know, it makes a difference whether it's  
20 10 percent of the plants that are going to be subject  
21 to RBI or it's 50 percent.

22 MR. ANDERSON: Oh, I'm sorry. Yeah, well,

1 we currently have approximately between --

2 UNIDENTIFIED SPEAKER: 25 percent.

3 MR. ANDERSON: 45.

4 UNIDENTIFIED SPEAKER: 25.

5 MR. ANDERSON: -- 25 percent sounds about  
6 right, because we've got about 5500 plants under  
7 active federal establishment, you know, inspection.

8 MS. NESTOR: And those are processing plants  
9 you're talking about, not slaughter plants that  
10 wouldn't be subject to this?

11 MR. ANDERSON: Well --

12 MS. NESTOR: Barb's shaking her head yes.  
13 So --

14 MR. ANDERSON: Yeah, we have slaughter  
15 establishments that also do processing --

16 MS. NESTOR: Right.

17 MR. ANDERSON: -- combination establishments  
18 but we're not talking about slaughter inspection here.  
19 We're talking about processing.

20 MS. NESTOR: Okay. How many companies would  
21 you say are identified each year by the USDA's  
22 complaint system? Because that's another, that's



1 another data collection point you have, is the in-  
2 commerce findings.

3 MR. ANDERSON: I don't know the answer to  
4 the consumer complaint number. I know there are  
5 hundreds. Maybe there are over 1,000 consumer  
6 complaints. I know recalls, there's only been  
7 approximately I believe, because I checked the other  
8 day, there's been 15 Class 1 and Class 2 recalls since  
9 January 1st.

10 MS. NESTOR: So you're talking about 1,000  
11 complaints that actually identify a plant. So that's  
12 data that --

13 MR. ANDERSON: I do not have an  
14 authoritative answer to that question, no. On  
15 consumer complaints, I don't.

16 MS. NESTOR: Okay.

17 MS. GRANT: Felicia, how many more questions  
18 do you have? I don't want to cut you off on one hand,  
19 but on the other hand, we really do need to get into  
20 the smaller groups.

21 MS. NESTOR: Yeah, I don't actually have  
22 other questions. I was just going to read a couple of

1 little statements that I got from inspectors since we  
2 don't have any inspectors here on NRs. I mean I know  
3 the Agency invited Stan Painter (ph.) but  
4 unfortunately they didn't give him his authorization  
5 code. So he's not here. So we have no inspectors  
6 here.

7 MS. GRANT: Okay. I'm trying to think of  
8 the best way to do that, for the public record, to be  
9 able to do that tomorrow or I'm just worried about --

10 MS. NESTOR: I can do it tomorrow. I just  
11 thought it was relevant to the question of NRs today,  
12 but I can do it tomorrow.

13 MS. GRANT: Okay. You had a response to  
14 that question?

15 UNIDENTIFIED SPEAKER: Just an answer to  
16 your question about consumer complaints. There are  
17 about 5,000 consumer complaints in the system, and  
18 your question was how many individual establishments  
19 that represents, I don't know. It's smaller than  
20 5,000. I don't have the exact number.

21 MS. NESTOR: Okay.

22 UNIDENTIFIED SPEAKER: And each of those

1 complaints is referable to a particular establishment.

2 MS. NESTOR: It is?

3 UNIDENTIFIED SPEAKER: Right. We have to  
4 have an establishment number.

5 MS. NESTOR: But you have no idea what it  
6 is?

7 UNIDENTIFIED SPEAKER: What the total number  
8 of establishments represented?

9 MS. NESTOR: Each year. I mean, I'm just  
10 wondering what kind of --

11 UNIDENTIFIED SPEAKER: That's over about a  
12 five year period of time.

13 MS. NESTOR: All right. So I'm trying to  
14 figure out what kind of source of information is this  
15 going to be. If you've got the data coming in from  
16 all these different points, food safety assessments  
17 are done once every three years on average, 25 percent  
18 of the plants get no pathogen control, we don't know  
19 what percentage of the plants there's any in-commerce  
20 findings on, the NRs are not written at a number of  
21 plants because of shortages, and the Agency doesn't  
22 know why. So I mean I think these are important piece

1 of information.

2 MS. DILLEY: They are important pieces of  
3 information. I think what you're trying to do is a  
4 gap analysis right now which I think has been offered  
5 to do some of that between now and tomorrow. To come  
6 back to the question, I think your questions are right  
7 on target, and I think part of the conversation this  
8 afternoon is how do we collect this information? What  
9 are the best types of information you need to collect,  
10 and then where do we have that information and we here  
11 don't we have that information. We're kind of doing  
12 it in bits and pieces, and we need to pull it  
13 altogether. Barb, you have a comment?

14 MS. KOWALCYK: Barbara Kowalcyk, Safe Tables  
15 Our Priority. I just wanted to follow up on the  
16 gentleman's comment earlier, I think that the purpose  
17 we are -- the reason we are here is we're trying to  
18 give the Agency feedback as to where they should  
19 allocate resources in an efficient and effective  
20 manner to prevent food borne illness.

21 I do not take this as lightly as maybe some  
22 others do, but the problem is if we do not -- if we

1 are not very careful about how we classify these  
2 plants, my concern is what if a level 5 plant gets  
3 misclassified into a level 1 plant. That will have a  
4 profound effect on public health because there will  
5 not be inspectors there on a regular basis. So what  
6 we need to do when we are looking, going through this  
7 process of trying to figure out the algorithm for  
8 which cell plants fall into, you need to take the most  
9 conservative approach in order to protect public  
10 health. So I take this very seriously because one of  
11 my gravest fears is a level 5 plant is going to be  
12 misclassified into a level 1 plant, and when is the  
13 next opportunity for that plant to get shot back up to  
14 level 5. So I think this is a very important public  
15 health task that we are faced with.

16 DR. RAYMOND: Dr. Raymond. Barb, first of  
17 all, I don't think a level 5 could get misclassified  
18 as a level 1. First of all, the product they make  
19 would have to go from a very risky product to a very  
20 safe product, and even though we may disagree on  
21 expert elicitation, who those individuals were, et  
22 cetera, I don't think too many of us have too much

1 disagreement on the list that has been compiled of the  
2 24 food products, and that's one thing I would task  
3 this group to come up with.

4 For instance, the consensus of these 23  
5 scientists said ready-to-eat meat, fully cooked  
6 without subsequent exposure to the environment is the  
7 safest of the 23. This group of scientists also said  
8 that the riskiest of the 23 is raw ground, comminuted,  
9 otherwise nonintact chicken and turkey, and again, I  
10 don't think too many of us would disagree wildly with  
11 that. The question is where do we put the other 22 in  
12 between, and I don't care if number 16 becomes 17 or  
13 17 because 15, because we're splitting hairs here,  
14 that won't move you from a 5 to a 3 to a 1.

15 Same with the plants. All of our poultry  
16 plants are doing *Salmonella* testing. They can't move  
17 from a 5 to a 1, just based on *Salmonella* testing  
18 alone. They're either going to be at a 1 with less  
19 than 5 percent, or they're going to be in 5 with more  
20 than 20 percent or they're going to fall somewhere in  
21 between. They just can't get around that. There are  
22 1,000 plants, 1500 plants, maybe that don't have

1 microbiological testing or producing product for which  
2 we do not microbiologically test. They're not ready  
3 to eat. They're not ground poultry. They're not  
4 ground beef.

5 Now there's a reason that we don't do  
6 testing in those plants. It's not a gap. It's not a  
7 gap. Consumer complaints is a small part of this  
8 whole overall thing that we cannot ignore. It's not  
9 one-sixth of the whole spoke. It's not going to carry  
10 one-sixth of the weight. It's not that big of a deal,  
11 but it's a deal that we cannot ignore. Someone  
12 complains they got glass in their ground beef, we  
13 should know that, and the plant should be held -- with  
14 that and have a different inspection. Not everyone of  
15 these is 100 percent for every plant.

16 I would ask you to take a look at the 24  
17 types of raw and processed meat and tell us where we  
18 are wrong with our expert elicitation. Caroline, I  
19 talked to you earlier in a private meeting. If you  
20 want to give me a list of 20 public health scientists  
21 that are willing to take a look at this, and 1 from  
22 industry, Dane will be happy to be that person. And

1 I'll facilitate that meeting. That won't take us two  
2 and a half years. You know, you and I had the  
3 conversation, you had some ideas, I had some ideas. I  
4 don't think either one of us are right on who it  
5 should be, but you come up with the names, we'll do  
6 that.

7           When I said two and a half years from  
8 NACMCF, that's on the good side, two and a half years,  
9 and I don't want to wait two and a half years, you  
10 know, people playing football, tackle them by the face  
11 masks right now. We need to move and do the best we  
12 can which is better than we're doing. I think  
13 everybody in this room agrees we can do a better job.  
14 This is public health. This is lives saved. That's  
15 what it is. And we need to get off the dime and move,  
16 and I will use the word consensus. Barb will use the  
17 word consensus. Our facilitators won't, but that's  
18 okay. We need to stop the harping about what we  
19 haven't done right in the last 10 years, and we need  
20 to talk about what can we do with the resources we  
21 have, how can we better utilize them to get the  
22 biggest bang for the buck, built the best mouse trap,



1 and let's get this thing moving forward with your  
2 input. That's why we're here today. That's why we've  
3 been having monthly meetings with industry and with  
4 consumers, quarterly meetings with the two together.  
5 NACMPI has been meeting on this. We've been doing  
6 this for the whole last year.

7 Now I'm not going to go back to 2001. I  
8 wasn't here. Barb wasn't here then. Bryce Quick  
9 wasn't here then. Dr. Mann, our Deputy Under  
10 Secretary. None of us were in our positions back  
11 then. We all came in about 14 months ago. And we've  
12 tried to change things in the last 14 months to get  
13 this on the table for open discussion, and that's what  
14 we're having. I encourage you to keep it up tonight  
15 and tomorrow.

16 MS. DILLEY: Carol -- and then Paul's coming  
17 up to do the instructions for the small groups.

18 MS. TUCKER-FOREMAN: Dr. Raymond, I have a  
19 real problem. I'm Carol Tucker-Foreman with Consumer  
20 Federation. I have a real problem with your -- with  
21 the structure of your comment. In fact, as we all  
22 know, if you do not have the right information, it is

1 quite possible that at the end of the process you will  
2 have something that's worse. You'll have unintended  
3 negative consequences.

4 We all have serious reservations, not just  
5 about the process on the expert elicitation but about  
6 the fact that the National Academy of Sciences and  
7 every other document that I have checked since I first  
8 saw these things, says that this is one way to collect  
9 data, not the preferred way. Okay, to use if it is  
10 joined with other ways to get the data, in the case  
11 here, food attribution data would seem to be  
12 essential.

13 Well, now you're going to tell me you don't  
14 have time to do food attribution data. I want to tell  
15 you that I spend the last weekend going through all of  
16 the documents back to 2000. In 2001, there was a  
17 discussion, the Agency went to the Congress and said,  
18 it's a Dilley. It said this was a way to avoid  
19 inspector shortages. That's how it described risk-  
20 based inspection. But they said we need risk  
21 attribution or we need food attribution data. FDA  
22 said it. CDC said it. Everybody said it. It's been

1 talked about but nobody's done anything about it.

2 Now it's hard for me to have you come and  
3 say, you have to sign off on this because we don't  
4 have anymore time when we've made no effort to get  
5 what every expert source that I have checked said is  
6 the preferred source of data for this kind of decision  
7 making.

8 It is possible to come out with something  
9 worse than what we have.

10 MR. DeMORGAN: I think I introduced myself  
11 previously, Paul DeMorgan with RESOLVE. I think, you  
12 know, I'm sure it was a little bit challenging for  
13 some of you to kind of listen to that back and forth.

14 At the same time, I think it's better to get that out  
15 now in front of everybody and have the conversation  
16 because that is the underlying concern here. And in  
17 speaking with FSIS staff and others at FSIS, I think  
18 the reality is that's what needed to come out, what  
19 was wanted to come out of this conversation.

20 So to Barb and to others about the big  
21 picture, little picture, I think what we've just been  
22 talking about, not little picture, but big picture,

1 specific papers, that we've been talking over the last  
2 half an hour now, is big picture related for the most  
3 part. And as Abby has said, we do have an  
4 opportunity, and I would encourage everybody to  
5 reflect on tonight and think -- or reflect on today  
6 and this evening, and think about what kind of  
7 additional thoughts. I've heard a number of good  
8 options or ideas at least in terms of, and I know it's  
9 not the only concern that's out there, but with  
10 respect to the expert elicitation, some ideas. I  
11 think we just heard that Caroline is going to come up  
12 with 20 names and that could be one of those options,  
13 but there have been some others.

14 So those kinds of ideas are going to be  
15 helpful, and RESOLVE, as those of you who kind of were  
16 interviewed, I know there are some of you out there,  
17 understand, we are developing a report, kind of a  
18 summary if you will of the steps that we've taken,  
19 that have included the interviews that have included  
20 this meeting and some other conversations we've been  
21 having, and part of that is going to kind of be a  
22 capturing of, some of the key concerns as well as our

1 recommendations in terms of, from a process  
2 perspective or at least options that FSIS can take  
3 under advisement and then do what they will.

4 So tomorrow afternoon we do have an  
5 opportunity for you to get some of those feedback in  
6 after you heard from Dr. Masters and Dr. Raymond.

7 What I want to turn us to now is to shift  
8 gears a little bit and transition us into the  
9 conversation in the breakout or small group  
10 discussions that we're going to be in for the rest of  
11 the afternoon. It's going to be a little bit  
12 challenging. There are some logistical issues, but  
13 once we get started, I think we'll be fine.

14 What I'm going to need to do, and I  
15 apologize and if we would have had complete  
16 information, i.e., who was here, and what  
17 organization, we might have been able to go through  
18 and kind of parse out and allocate you into all  
19 separate groups. Instead what we've done or what  
20 we've decided we're going to do is just count off one  
21 to four, and go around and around, and that way,  
22 whoever you're sitting next to, you won't be in that

1 next group. We realize that many of you sit with your  
2 friends and folks that you want to chat with off-line.

3 So in this instance, you won't be able to. Maybe  
4 that isn't always the case, Carol, but -- so we'll do  
5 that in just a moment.

6 Let me just give you a quick sense of what  
7 we're going to do though. Each of -- there's four  
8 groups. The first two groups are going to look at  
9 product inherent risk, that paper and those six  
10 questions first. Each group will get a chance to look  
11 at both papers and the six questions but we also  
12 realize that embedded within those questions,  
13 underlying those questions are a lot of conversation,  
14 and if we started all the groups on the product  
15 inherent risk paper, we might not get you -- any of  
16 the groups might not get into sufficient depth of the  
17 six questions associated with establishment risk  
18 control.

19 So the first two groups, group 1 and group  
20 2, will take a look at product inherent risk first.  
21 They'll spend some time talking about the six  
22 questions, and then depending on the time that that we

1 -- we didn't pick up any extra time in this session.  
2 So we'll see if there's any additional comments on  
3 that paper that people feel they didn't get out as it  
4 relates to those questions. But after about a half an  
5 hour or so talking about the one paper and those six  
6 questions, we'll spend a quick second talking the  
7 highlights, and then transition to the second paper  
8 and the second set of six questions.

9 I think as Dr. Masters has mentioned, at  
10 least from my hearing, a lot of what you've been  
11 talking about has already been started, you get into  
12 that, has engendered a lot of good comments already.  
13 So hopefully people feel that was as well, but I'm  
14 sure there's going to be some more specifics as it  
15 relates to those questions.

16 We do need to prepare -- just so that all of  
17 you get to share the kind of wealth, the benefit of  
18 each other's ideas, we will be sharing some brief  
19 group reports. And so the specific facilitators will  
20 work you through that or help you with that before you  
21 adjourn this afternoon at 5:30.

22 Tomorrow, you will -- each group will have

1 about 10 minutes or so to present their thoughts.  
2 We'll have kind of a PowerPoint scheme that just  
3 answers the six questions, and we'll kind of go  
4 through that relatively quickly. We'll also have the  
5 opportunity to see if any of the groups from out in  
6 the Net meeting world were able to submit any comments  
7 on that kind of stuff.

8 I think that really is it in terms of the  
9 specifics of the notes. The only thing I would add  
10 now --

11 (Away from microphone - counting off).

12 MR. DeMORGAN: Okay. Great. So Group 1,  
13 raise your hand. Okay. Good. You're going to be with  
14 Kathy. Kathy, you want to stand up for just a second,  
15 in Room 302. All the other rooms are upstairs. So 1,  
16 2, 3, you're upstairs. Go up the escalator, as you  
17 come off the escalator, it will be the first one on  
18 the left.

19 Group 2, raise your hands. You're going to  
20 be in Room 317, which I think is on the right.

21 Group 3, raise your hands. This is Brad,  
22 and that's going to be Room 303.



1                   Group 4, you stay here.

2                   (Whereupon, at 3:35 p.m., the meeting was  
3 concluded.)

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

## C E R T I F I C A T E

This is to certify that the attached proceedings  
in the matter of:

RISK-BASED INSPECTION (RBI) PUBLIC WORKSHOP

Arlington, Virginia

October 10, 2006

were held as herein appears, and that this is the  
original transcription thereof for the files of the  
United States Department of Agriculture, Food Safety  
and Inspection Service.

---

Timothy J. Atkinson, Jr., Reporter

FREE STATE REPORTING, INC.