

UNITED STATES DEPARTMENT OF AGRICULTURE  
NATIONAL ADVISORY COMMITTEE ON MEAT AND  
POULTRY INSPECTION

+ + + + +

SPRING MEETING

+ + + + +

STANDING SUB-COMMITTEE NUMBER 2

+ + + + +

TEST AND HOLD

+ + + + +

THURSDAY,  
JUNE 16, 2005

+ + + + +

The Sub-Committee convened in Room 1160 of the South Building of the Department of Agriculture, 1400 Independence Avenue, S.W., Washington, D.C., at 3:00 p.m., Mark Schad, Chairperson, presiding.

SUB-COMMITTEE MEMBERS PRESENT:

MARK SCHAD	Schad Meats, Inc.
DAVID CARPENTER	Southern Illinois University School of Medicine
SANDRA ESKIN	Public Policy Consultant
JOSEPH J. HARRIS	Southwest Meat Association
MIKE FINNEGAN	Montana Department of Livestock

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 P-R-O-C-E-E-D-I-N-G-S

2 (2:45 P.M.)

3 CHAIRPERSON MARK SCHAD: This is Sub-  
4 Committee Number 2 on test and hold products. If it's  
5 okay with you guys, there was a few of us in the sub-  
6 committee that we're talking about, and between you,  
7 Joe Harris, and Charlie, give an update on these  
8 guidelines, so that we're all on the same page.

9 First of all, I thought we'd go around and  
10 everybody introduce themselves, so we've got that on  
11 the record. My name is Mark Schad, with Schad Meats,  
12 in Cincinnati, Ohio.

13 MR. FINNEGAN: Mike Finnegan, Montana  
14 State Meat Inspection.

15 DR. HARRIS: Joe Harris, with Southwest  
16 Meat Association.

17 DR. CARPENTER: David Carpenter, with  
18 Southern Illinois University School of Medicine.

19 MS. ESKIN: Sandra Eskin, I'm a Public  
20 Policy Consultant. I do a lot of food safety work for  
21 groups like AARP, CSPI, and other acronyms.

22 MR. GIOGLIO: I'm Charles Gioglio, from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 FSIS. Some of my staff is here, Mark, too, to help  
2 facilitate.

3 CHAIRPERSON MARK SCHAD: Shall we  
4 introduce them?

5 MR. GIOGLIO: Murray, would you please  
6 state your name for the record.

7 MR. PENNER: Murray Penner, I work for  
8 Charlie.

9 MS. JEFFERSON: Val Jefferson, I also  
10 work for Charlie.

11 MS. HAXTON: Wanda Haxton. I also work  
12 partially with Charlie.

13 MS. MORRISSETTE: We're public. I don't  
14 know if you want us to --

15 CHAIRPERSON MARK SCHAD: Yes, go ahead.

16 MS. MORRISSETTE: I'm Lynn Morrisette,  
17 I'm with the American Meat Institute.

18 MS. RAZOR: Anne Razor, I'm with the North  
19 American Meat Processor's Association.

20 CHAIRPERSON MARK SCHAD: Unless there's an  
21 objection, you two are welcome to sit here at the  
22 table.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Anybody object to that?

2 (No response.)

3 Okay. Join in.

4 Charlie, Joe, one of you guys want to give  
5 us a synopsis?

6 DR. HARRIS: I'd be glad to. You heard a  
7 little of my spiel earlier in the day. Maybe just to  
8 add to that, I think there are at least four  
9 organizations of the eight on the cover of that thing,  
10 there, at least four of them represented in this room.

11 Mark, I believe you were -- Did you attend the  
12 meetings?

13 CHAIRPERSON MARK SCHAD: I did not attend  
14 the meetings. I --

15 DR. HARRIS: Someone did, I don't remember  
16 who did.

17 CHAIRPERSON MARK SCHAD: Yeah, I don't  
18 know whether it was Jay or not. I'm on the board.

19 DR. HARRIS: I know Jay was there.

20 CHAIRPERSON MARK SCHAD: Yeah.

21 DR. HARRIS: I think there was one of your  
22 members, because we did try to emphasize not having

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 association members develop this document. We wanted  
2 this document to be developed by people out there in  
3 the field doing this stuff.

4 CHAIRPERSON MARK SCHAD: Just so everybody  
5 knows, I'm on the board of AAMP, and I saw these draft  
6 guidelines, I'm guessing, two or three weeks ago, and  
7 sent my comments to Carrie Harris. I've seen them  
8 before. The FSIS guidelines, I have not seen until  
9 today.

10 MS. ESKIN: Joe, when did that process  
11 start? When did the --

12 DR. HARRIS: March.

13 MS. ESKIN: The decision was made  
14 collectively that this would be really useful?

15 DR. HARRIS: Well, it started either late  
16 in the fall last year, or maybe even in January of  
17 this year, whenever we had some discussion with the  
18 Agency regarding their draft. They produced their  
19 draft before we did. Frankly, we had some real  
20 concerns with some of the things, business related  
21 functions, logistics, of some of the recommendations.

22 MS. ESKIN: The FSIS?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. HARRIS: And that's when we decided  
2 that it might be appropriate to get industry people  
3 that live with this stuff every day, to get their  
4 input on -- we use the Agency's document as a starting  
5 point, because we did not want to just, you know,  
6 throw out their efforts, because they had some very  
7 legitimate needs and things that we needed to  
8 accomplish as well, and we wanted to try and make sure  
9 to preserve those.

10 MS. ESKIN: Right.

11 DR. HARRIS: We felt that it would be  
12 appropriate for industry to develop a set of voluntary  
13 guidelines for a couple of reasons, not the least of  
14 which is, when they are finished and able to be  
15 disseminated, we can do more arm twisting than the  
16 Agency can when we send these things out. We can send  
17 them with a cover letter to establish, for instance,  
18 hey guys, ignore these with your own peril, here's  
19 what you could be faced with. The Agency can't be  
20 quite so blunt when they send out a set of voluntary  
21 guidelines.

22 MS. ESKIN: Sure.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. HARRIS: And, frankly, with the amount  
2 of regulation particularly the small plants deal with  
3 on a day-in, day-out basis, when they get a document  
4 from FSIS that is labeled voluntary, they tend to  
5 ignore it. They say, believe me, if I have to do  
6 this, they'll tell me that I have to do this.

7 There's that problem and, the other issue  
8 that we saw is, when the Agency issues documents, you  
9 have some plants -- most plants are doing a good job  
10 of holding the products. We were concerned that the  
11 same ones that don't hold the products, are the same  
12 ones that would ignore voluntary guidelines --

13 MS. ESKIN: Guidelines, yes.

14 DR. HARRIS: -- from the Agency. So there  
15 was that concern. The other side of that coin is,  
16 inspection field staff tends to view anything in  
17 writing that comes from the Agency as being a new  
18 regulation, regardless of how many times the word  
19 voluntary appears in our guidance.

20 MS. ESKIN: In our guidance, yes.

21 DR. HARRIS: So there were all of those  
22 things, and we got together as a group of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 associations, and each invited several of our members  
2 to get together, and this is the document that has  
3 been developed.

4 MS. ESKIN: I only read it rather quickly  
5 at lunch, but is it all of your view that these  
6 guidelines are useful for any size plant; in  
7 particular, small and very small? I know throughout  
8 it it talks about you have to make it fit your  
9 operation, et cetera, et cetera, but you are all  
10 pretty confident that a small processor will look at  
11 this and say, oh, okay?

12 DR. HARRIS: Absolutely. We had -- I've  
13 definitely had -- I'm thinking about the members that  
14 I took, were definitely all small processors.

15 MS. ESKIN: All small processors. Well,  
16 maybe.

17 DR. HARRIS: There were some large ones  
18 involved in the development as well.

19 CHAIRPERSON MARK SCHAD: I'm just going to  
20 make one comment on that, and this is as a very small  
21 operator.

22 MS. ESKIN: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON MARK SCHAD: I'm going to be  
2 honest, I don't know whether that's still in what we  
3 got today, but the ones that came from Carrie two or  
4 three weeks ago, there was that part about putting  
5 your product in cold storage, if you didn't have much  
6 space.

7 In the real world, the reality of it is  
8 controlling product in a rented cold storage facility  
9 is very difficult to do, and it is expensive for a  
10 small processor.

11 MS. ESKIN: Right.

12 CHAIRPERSON MARK SCHAD: So, to me, the  
13 practicality of that is not there. For a large  
14 processor it is, but for a small processor, that is  
15 not there.

16 MS. ESKIN: Now, the other thing that --  
17 Maybe this is a FSIS figure and, Joe, I don't know if  
18 you all can agree with it. It talks about the  
19 majority of plants actually do hold product, and that  
20 there is yet a significant number of establishments  
21 that do not. Any sense of how those translate again  
22 with some sort of number?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. HARRIS: Yeah, as I was saying  
2 earlier, from looking at the data that was on the  
3 amount of samples we've collected and how many were  
4 held, it seems that possibly up to about 20 percent of  
5 those samples are not held.

6 MS. ESKIN: The product lots associated  
7 with those --

8 DR. HARRIS: Right. That's not pound  
9 exact lots.

10 MS. ESKIN: Right.

11 DR. HARRIS: Yeah, that's not poundage.

12 MS. ESKIN: Which doesn't address poundage  
13 or who is producing it?

14 DR. HARRIS: Right. These are just  
15 numbers. Let me just throw out the numbers. It's  
16 true that I did not calculate them out, so the 20  
17 percent is real quick.

18 MS. ESKIN: I have a calculator here.

19 DR. HARRIS: In 2004 we took 7684 samples  
20 for *Listeria Monocytogenes*. 6208 of those were  
21 indicated as held in our data base.

22 MS. ESKIN: That's the companies that --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the plants held them while the sample was --

2 DR. HARRIS: That's right. The plants  
3 held those samples until the testing came back. What  
4 we do is, it is actually on the form itself that the  
5 inspector fills out when he or she takes -- you know,  
6 sends into the lab for a sample. We do have them  
7 indicate whether or not the establishment is holding  
8 the sample. That's really for our purposes,  
9 practically, because I used to have that job at one  
10 time as the director of the recall staff. Now my good  
11 friend Dr. Sidrak has that job.

12 If you know the product is held, if there  
13 is a positive, your blood pressure remains a little  
14 bit lower until, you know, while you're verifying  
15 things than if it's not held. You know, you react a  
16 while lot more quickly. That's why I said, quick and  
17 dirty, that looked like about 20 percent.

18 MS. ESKIN: That still had the --

19 DR. HARRIS: Or it seemed like about 20  
20 percent or so are not held.

21 MS. ESKIN: Again, that's lots.

22 DR. HARRIS: Those are product lots, yeah.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Let's make that clear. Somebody on the committee  
2 made that point pretty well earlier, that the vast  
3 majority of product volume is held.

4 MS. ESKIN: Right.

5 DR. HARRIS: Okay. A lot of these recalls  
6 and a lot of these production lots, they may be a few  
7 hundred pounds, some as small as 40, 50 pounds, and --  
8 but those are the ones that are still -- they are  
9 still problematic, put it that way. Whether it's 40  
10 or 50 pounds of chicken salad or frankfurters, or  
11 whatever it is, it's still obviously a problem for the  
12 plant, a problem for the agency, and much more so, a  
13 problem for the public if that problem is adulterated.

14 We want to prevent the illnesses that can come from  
15 that.

16 MS. ESKIN: Does FSIS or, for that matter,  
17 industry trade associations, or whoever, have any  
18 sense of that 20 percent, how much of that are lots  
19 produced by small or very small plants?

20 DR. HARRIS: I would venture to say that  
21 it is approaching 100 percent.

22 MS. ESKIN: Okay. So that one can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 therefore deduce that maybe one of the major issues  
2 here is not evil -- excuse me, bad word -- but not  
3 people intentionally meaning to subvert the whole  
4 system, but just practically speaking, there are real  
5 obstacles to them being able to just test and hold?

6 DR. HARRIS: Yeah. I think that --

7 CHAIRPERSON MARK SCHAD: I think the key  
8 word is practical.

9 MR. GIOGLIO: It's not because they are  
10 trying to, you know --

11 MS. ESKIN: No.

12 DR. HARRIS: To give you an example, a  
13 story I heard from a real live company. One of my  
14 members called me, because I was talking to him about  
15 this whole issue, about how he needs to hold products  
16 when they are being tested for an adulterant. He  
17 said, "Look, I make about 500 pounds of ground beef a  
18 day. My policy is, if you order by 10 a.m., you get  
19 it by 2 p.m."

20 He said, "When they tell me I'm going to  
21 take a sample, I can't, you know --" He said, "I'm  
22 shipping my product just to the local community here,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to restaurants. By the time I get the results back,  
2 those products have been cooked and consumed."

3 He said, "You know, I understand the risk  
4 I'm taking if they find a positive, I'm going to have  
5 to recall everything. You know, it's just a risk I'm  
6 going to have to take."

7 MS. ESKIN: Right.

8 DR. HARRIS: So I don't know if you'll  
9 ever get to a company like that in terms of --

10 MS. ESKIN: How could you? I mean,  
11 practically speaking.

12 DR. HARRIS: And we worked with him in  
13 trying to come up with -- And we're still working with  
14 him, by the way, and we've about got him convinced, I  
15 think. It has taken a long time to work with him on  
16 developing a means of doing that.

17 MS. ESKIN: Of actually holding it, or at  
18 least tracing -- being able to identify that lot.

19 DR. HARRIS: Actually holding all the  
20 implicated product.

21 MS. ESKIN: And if it's out the door  
22 before you get the test results back in, is that --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. HARRIS: Well, what we were able to do  
2 is, by communicating better with FSIS inspection  
3 personnel, and him getting enough advance notice to  
4 where he can make a small batch, they can pull their  
5 sample, he can stop, clean up, change to a different  
6 raw material supplier, and go on and produce the rest  
7 of the day and still --

8 MS. ESKIN: And stick the rest of that  
9 batch where? In a freezer?

10 DR. HARRIS: Sure. We're talking about a  
11 very small amount in this case.

12 MS. ESKIN: And if it's negative release  
13 it.

14 DR. HARRIS: Release it.

15 MR. FINNEGAN: I think what you just said  
16 is the key, here, to give enough advance notice. I  
17 mean, you yourself, you want some advance notice where  
18 you can hold it.

19 CHAIRPERSON MARK SCHAD: Yeah, and I think  
20 that's where FSIS's role is key.

21 I'm trying to -- I guess that was you,  
22 Charlie, that said the policy is there for the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 inspector to give prior notification.

2 MR. GIOGLIO: Yes. Right.

3 CHAIRPERSON MARK SCHAD: But it does not  
4 always take place in the field. It has happened to  
5 me, and it has happened to several, you know, plain  
6 operators that I know.

7 MR. GIOGLIO: That was one of the things,  
8 because the question, I think, from the committee came  
9 up, what are some of the barriers, or whatever, and  
10 those are some of the things that we have heard from  
11 industries that -- although the directives now on  
12 sampling do instruct the inspectors to provide  
13 adequate notification to the establishment so that  
14 they can hold the entire lot back, or the entire  
15 production that's represented by that sample. There  
16 are times, possibly, that that has not happened in the  
17 past.

18 What we've attempted to do, and we still  
19 are attempting to do now, is through the IKE  
20 scenarios, and so forth. Continue to get that word  
21 out to the inspectors, and give them some better  
22 understanding of how to have that communication

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 happen. We actually have them document that  
2 communication with the establishments. Okay. So, you  
3 know, that is something that we recognize and we're  
4 doing all that we can, you know, to make sure that  
5 that happens.

6 MR. FINNEGAN: The thing is, you can't  
7 allow too much time, because I'm just thinking of some  
8 small grinding operations that grind their own burger.

9 If you, you know, say today, this is Thursday, say  
10 we're going to take a sample Friday afternoon, that  
11 plant owner is going to take -- use Conagra, use IBP,  
12 use Tyson, or if you give them too much time -- the  
13 key is to give enough notification, but not enough to  
14 where they alter their process, you know.

15 MR. GIOGLIO: That is exactly right. And  
16 that is the way the instructions are set up. In other  
17 words, we could not inspect -- We've instructed  
18 inspectors to turn back the sample if the  
19 establishment has changed the production processes  
20 just for the sampling purposes.

21 MR. FINNEGAN: Sure.

22 MR. GIOGLIO: That, all of a sudden, they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are taking some intervention that they never did  
2 before.

3 MS. ESKIN: And won't ever again.

4 MR. GIOGLIO: And may not ever again.

5 MR. FINNEGAN: Won't do it again, yes.

6 MR. GIOGLIO: Right. The instructions are  
7 that the sample is to in fact represent the process as  
8 it normally happens.

9 MR. FINNEGAN: Right.

10 MR. GIOGLIO: Okay. And not do some  
11 extraordinary measures that would alter -- that would  
12 give a skewed result, so to speak.

13 DR. HARRIS: In an idea world that works  
14 very well, and most of the time, I will tell you that  
15 it does. In an ideal world, what we ask companies to  
16 try to do, is to have that communication long before  
17 the inspector ever receives that notice that he's  
18 supposed to pull a sample, so that he knows on an on-  
19 going basis enough about the production practices to  
20 know what that company needs in terms of notice  
21 because, again, small companies just present such  
22 unique issues.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           If they are only grinding a small amount  
2 of product, and yet there are buying combos full of  
3 trimmings, these huge containers, they may be using  
4 out of the same combo over four or five days in a row.  
5 So if Thursday of that week the inspector says -- Say  
6 Wednesday night the inspector says, "I've got to pull  
7 a sample tomorrow," well, they've already been  
8 grinding those raw materials for a couple of days and  
9 shipping product. Now, you know, then that's a  
10 dilemma for everybody involved. So that's why we try  
11 to get companies and inspectors to communicate before  
12 he's holding a sample for them, saying, "I need to  
13 take a sample," so that he understands enough about  
14 how they operate day to day, that maybe he knows  
15 that -- I understand that when an inspector gets a  
16 notice, he's got a window of opportunity, a 30 day  
17 window, to take that sample.

18           MR. GIOGLIO: Yes.

19           MR. FINNEGAN: That's right.

20           MS. ESKIN: Now I understand the scenario  
21 you just explained before that one way for a small  
22 plant to respond is to have a very small product lot

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from which the sample is taken. I mean, what else  
2 would be -- Again, the presumption is they can't  
3 arguably continue to take their product lot and stick  
4 it for some place eight days, maximum. So what else,  
5 in addition to smaller lots, are things that small  
6 companies can or have done, to adequately, basically  
7 effectuate whatever test and hold --

8 DR. HARRIS: Well, they just, you know,  
9 produce the same size lot they always produce, but  
10 just hold it, is obviously one option.

11 MS. ESKIN: Obviously, yes.

12 DR. HARRIS: I don't know, help me out  
13 here, guys.

14 CHAIRPERSON MARK SCHAD: Okay. Well, when  
15 it happens to me, you know, I got the 30-day window.

16 MS. ESKIN: Right.

17 CHAIRPERSON MARK SCHAD: I know when the  
18 sample is there, because the mail comes to me and it  
19 says, "To FSIS Inspector," but you can tell by the  
20 envelope, you know what it is. I say, "Look,  
21 inspector, you're going to take another sample from  
22 me." He goes, "Yeah, I know, I can tell by looking at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it." So he'll open it up and it's not like 30 days  
2 from the time we receive it. There's a date in there,  
3 and he says, "Well, we've got to take the sample  
4 within the next 30 days." So we'll sit down with him  
5 and say, "Okay, can we do it on this day when I'm  
6 making this product here that is, to me, a small  
7 volume." Let's take it from that one. Because when I  
8 make hams, I'll make 136 hams at a time. For me, that  
9 is a lot of money to tie up. So I'll say, "Can we  
10 take it from ham shanks or Canadian bacon? Let's do  
11 it from that, which I just got a few pounds tied up."

12 MS. ESKIN: Right.

13 CHAIRPERSON MARK SCHAD: One thing that's  
14 fortunate for me, all these different items I make are  
15 basically the same product. I'm curing them and I'm  
16 curing them, and I'm fully cooking them, and I'm  
17 smoking them.

18 MS. ESKIN: The same materials, right.

19 CHAIRPERSON MARK SCHAD: It's all the same  
20 process, so there's never any question there. So he  
21 takes a sample from that small lot, and I hold that  
22 until I get the tests back.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. ESKIN: Right.

2 CHAIRPERSON MARK SCHAD: And the other  
3 thing that I do, that I've tried to talk to small  
4 processors -- but this has only to do with listeria.  
5 You know, every time you do a -- I don't want to get  
6 too much off on tangents, but I'm trying to think of  
7 ways to help small processors.

8 MS. ESKIN: Right.

9 CHAIRPERSON MARK SCHAD: Whenever the FSIS  
10 inspector comes in to take his sample for finished  
11 product --

12 MS. ESKIN: Right.

13 CHAIRPERSON MARK SCHAD: See, I'm taking a  
14 food contact service sample once a month. I'll do  
15 that out of the same lot, so I'm not holding two lots  
16 a month instead of one.

17 MS. ESKIN: Right. Now, again, the  
18 question is, you say your products are basically made  
19 from the same raw materials. What happens, I guess,  
20 in a situation where you have a small processor, I  
21 assume it happens, who produces a number of different  
22 products that maybe have different levels of risks. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mean, that is problematic because, again, the product  
2 that winds up being the one that's tested may not be  
3 the one -- I mean, the ultimate goal is to get to risk  
4 base, but it actually may not be the one, right?

5 CHAIRPERSON MARK SCHAD: Doesn't the  
6 sample say for a specific type of process based on the  
7 HACCP plan, or --

8 MR. GIOGLIO: The sample requests, I mean,  
9 they are different for the, you know, ready to eat and  
10 the ground beef, 40157H7, but we do have different  
11 programs for, let's say, ready to eat products, but  
12 the inspectors are instructed to decide randomly which  
13 products they are going to select. We have other  
14 programs, and they may have been speaking a little bit  
15 about risk.

16 You know what some of the other -- other  
17 programs are, you know, more targeted -- that may not  
18 be the exact word we're using right now, but are to  
19 the higher risk products.

20 MS. ESKIN: Right.

21 MR. GIOGLIO: Based on the alternatives  
22 that they're following in that plant to control

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 Listeria Monocytogenes, and so forth. So within those  
2 programs that the inspectors would be directed to pull  
3 those samples, then they are supposed to choose the  
4 more risky products, and then the times and so forth  
5 of the sampling should be random. Okay.

6 But that doesn't preclude the inspectors  
7 from providing enough advanced notification, depending  
8 on I think what Joe said earlier that the really  
9 important piece is the inspector obviously needs to  
10 know the processes that are going on in that plant,  
11 and there does need to be -- from my thought anyway --  
12 that up front communication between the establishment,  
13 management and the inspector, so that the inspector  
14 may be able to inform the plant on a Monday, let's  
15 say, sometime this week I'm going to pull a sample,  
16 and I've chosen I'm going to pull this sample on  
17 Thursday, kind of thing, you know.

18 MS. ESKIN: Right.

19 MR. GIOGLIO: He's made that random  
20 selection that he's going to pull the sample on  
21 Thursday, which may give you adequate time, then, to  
22 either look at your process to either make what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 adjustments that would not change the process, but in  
2 the production lot size, or whatever, to be able to  
3 hold a smaller amount.

4 MS. ESKIN: Joe, what's the time table, or  
5 at least the groups thoughts with your guidelines,  
6 taking FSIS aside for a minute and just you all? Are  
7 you now circulating this for people to comment on, or  
8 have people already commented on it?

9 DR. HARRIS: It has been circulated to all  
10 of those associations listed for comment.

11 MS. ESKIN: Okay.

12 DR. HARRIS: All those comments have been  
13 received, implemented. We are within days of  
14 finalizing this document and ready to disseminate it,  
15 you know, as widely as possible.

16 MS. ESKIN: What are some of those  
17 dissemination plans? Again, how are you going to get  
18 to all of those little operations?

19 DR. HARRIS: I don't know that we have  
20 formalized our plans on that yet, and some of the  
21 other associations presently wish to comment.

22 MS. ESKIN: Right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. HARRIS: Obviously, the first step is  
2 posting it on our website, disseminating it to our  
3 members.

4 MS. ESKIN: Right.

5 DR. HARRIS: I will tell you, our hope is  
6 that we can get to a point where we have a single  
7 document that FSIS can endorse or support in some form  
8 or fashion, that we can say, look, FSIS has had input  
9 into this as well and, you know, we can disseminate it  
10 widely and even, you know, most of us as associations,  
11 you know, our members tend to be the ones that are  
12 better about holding their products.

13 We are not very proprietary when it comes  
14 to disseminating this kind of information, and we'll  
15 do everything we can do to get it to every small plant  
16 out there. We don't care if they are a member. They  
17 don't have to be a member to call us and ask us  
18 questions about it.

19 MS. ESKIN: But, again, they are inspected  
20 by either the State or the Federal. A small plant  
21 somewhere in this country is theoretically inspected  
22 by somebody. So, ideally, if you could get guidelines

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 to whoever that person is who walks in the door, that  
2 person can then hand them to the plant operator.

3 CHAIRPERSON MARK SCHAD: And if I could  
4 speak AAMP, on an issue such as this, of this  
5 significance, I'm going to go out on a limb, here,  
6 because I haven't discussed it with the rest of the  
7 board members, here. Like I say, on the issues such  
8 as this, we won't say, well, we're just not going to  
9 send it to just our members, because we've got mailing  
10 lists that go out nationwide to non-members, too.

11 MS. ESKIN: Right.

12 CHAIRPERSON MARK SCHAD: And it's good for  
13 us, too, you know, look what our association is doing.

14 DR. HARRIS: Comment back there.

15 CHAIRPERSON MARK SCHAD: State your name,  
16 please.

17 MS. MORRISSETTE: Lynn Morrissette, with  
18 the American Meat Institute. I just wanted to add  
19 that we have had some conversations with Charlie and  
20 his staff and with Barb. I've heard Masters and her  
21 staff, about getting a list of the State inspection  
22 heads, essentially, and also working through AAMP,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because they have some good connection at that level  
2 as well with some of those people. We're going to try  
3 to get it out that way. Also through AMSA, the  
4 American Meat Science Association, has a huge data  
5 base that keeps up with their local extension people,  
6 and things like that. They have also said that they  
7 would be more than happy to help us disseminate the  
8 documents.

9 CHAIRPERSON MARK SCHAD: AMI is one of the  
10 groups?

11 MS. ESKIN: Yes.

12 CHAIRPERSON MARK SCHAD: I just wanted to  
13 be sure. Okay.

14 Do we kind of feel like we're all on the  
15 same level of playing field, here?

16 MS. MORRISSETTE: Yeah, I think so.

17 MS. ESKIN: Yes.

18 DR. CARPENTER: Yes. I just want to make  
19 sure that when your members saw these guidelines and  
20 then saw FSIS, that there was consensus, that they  
21 were all on the same page. Is that kind of what --

22 MS. ESKIN: Well, it sounds like yours, s

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you indicated, started with FSIS, but provided more,  
2 from your view, more detail and more direction for  
3 companies.

4 DR. HARRIS: We did.

5 MS. ESKIN: Has FSIS seen this document?

6 MR. GIOGLIO: Yes.

7 MS. ESKIN: Earlier in the process?

8 MR. GIOGLIO: No. Essentially, I think  
9 this document that you have here is what was presented  
10 to us.

11 MS. ESKIN: Have you all commented on it  
12 formally or informally?

13 MR. GIOGLIO: No.

14 MS. ESKIN: Again, you all started the  
15 process first?

16 MR. GIOGLIO: Correct.

17 After we presented the issue at the last  
18 meeting, and so forth, and I think the time line, Joe,  
19 was sort of laid out before and is about right. I  
20 think it was about, you know, December or January  
21 where we had that first draft. The document that you  
22 have now is substantially different from that and has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       been -- has gone through a number of different stats  
2       within FSIS.

3                   MS. ESKIN:   Your document, right?

4                   MR. GIOGLIO:   Our document.   Right.

5                   It has not been cleared through the Agency  
6       yet for -- that's something that the Administrator  
7       would sign off and we would post on our website, or  
8       anything like that, but it is getting closer to that  
9       point.  We basically got it ready for discussion here  
10      at this meeting.

11                  I will say regarding the two, they  
12      probably are fairly close as far as the substance,  
13      because there are only so many ways, you know, that  
14      you can look at this problem, and we both sort of came  
15      up with a lot of the same thing.  Ours focuses on a  
16      little bit more on maybe the control of product.

17                  MS. ESKIN:   Right.

18                  MR. GIOGLIO:       Because that's really  
19      important for us, as Joe was saying earlier.  If I can  
20      comment, I think the industry documents does a good  
21      job with giving people practical -- there's sort of  
22      the forms that they give you, and other things, which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we don't have in ours, but it gives them worksheets  
2 and forms that they can sort of use to help them  
3 through the process.

4 CHAIRPERSON MARK SCHAD: I'm wondering now  
5 how we should approach this, when we have some  
6 questions, here, and we need an answer.

7 MR. GIOGLIO: I guess, from my  
8 perspective, if you can go back, Mark, and look at --  
9 just really try to look at the questions were posed  
10 and see if -- And what other subcommittees have done  
11 in the past is simply looked at each question and try  
12 to answer each question with a bullet, or a couple of  
13 bullets, whatever it is. That's sort of the way I  
14 think you all approached it in the past.

15 MS. ESKIN: But yet in your situation,  
16 they sort of all collapsed into one sort of policy  
17 statement, in which you say that we think they need  
18 guidelines and here's where they should come from, and  
19 here's how they should be distributed.

20 MR. GIOGLIO: Yeah, and that's perfectly  
21 fine with us.

22 CHAIRPERSON MARK SCHAD: Yeah, I think we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 do have to start after we answer these questions. Do  
2 we want to form just one or two questions? I mean,  
3 that's fine with me.

4 MS. ESKIN: Maybe it would be useful just  
5 to talk a little bit before we start word smithing,  
6 only if we get a consensus as far as -- Yeah, go  
7 through the four questions and then we'll get a  
8 consensus of how it will fit together.

9 CHAIRPERSON MARK SCHAD: Okay.

10 Should the Agency issue it's guidelines  
11 for holding products when sampling?

12 I slowed down there because I was thinking  
13 maybe that shouldn't be the first question.

14 MS. ESKIN: Right.

15 CHAIRPERSON MARK SCHAD: Because it seemed  
16 like the first question should be number three, should  
17 the Agency and Industry issue their guidelines  
18 simultaneously?

19 MS. ESKIN: Right. Or, there's another  
20 way to ask that question, should they work together to  
21 issue one set of guidelines?

22 CHAIRPERSON MARK SCHAD: Is that just for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the small operator, the small operators that I know,  
2 you get two things out here and you're just going to  
3 cause confusion, you're not going to aid anybody.  
4 FSIS says this and the Trade Association says this, or  
5 should I call FSIS if I'm reading this one, and I'm  
6 not sure. I think everybody knows what I mean.

7 MS. ESKIN: Right.

8 DR. HARRIS: What Charlie is saying, he's  
9 got to get the secretary to endorse the industry  
10 document, and that will be like --

11 CHAIRPERSON MARK SCHAD: Is that a big  
12 hurdle, Charlie?

13 MR. GIOGLIO: No, no, I did not say that.  
14 I did not say that.

15 MR. FINNEGAN: Are you going to sign off  
16 immediately, as soon as --

17 MR. GIOGLIO: No, that's not what I'm  
18 saying.

19 DR. CARPENTER: Their own guidelines,  
20 that's what he meant.

21 MR. GIOGLIO: Our own guidelines.

22 I guess what I was saying, Dr. Carpenter,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is that our guidelines, okay, although they have  
2 worked through a number of different staffs at sort of  
3 my level. I mean, between my staff and some of the  
4 folks here that drafted them, Mary's staff, Mary  
5 Cutshall's staff, has looked at them because of the  
6 small plant implications, and us disseminating that  
7 information. The recall staff, to give us some  
8 practical and some technical insight as to what are  
9 the kinds of things that we need to deal with in these  
10 documents.

11 So that's what I was saying. They have  
12 not gone beyond that level to be cleared -- when I say  
13 cleared through FSIS, that means all of the assistant  
14 administrators and then, ultimately, Dr. Masters, the  
15 acting administrator, clearing the document to say,  
16 okay, you can now post this up on your website. Okay.

17 We do work through that internal process, here, as  
18 I'm sure every other government agency does.

19 DR. CARPENTER: But does that exacerbate  
20 what Mark's talking about, having two documents to  
21 work with. Having the industry in its hand and then  
22 eventually FSIS having one on the website?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MR. GIOGLIO: That's really one of the  
2 questions, and that, essentially, is question number  
3 five.

4 MS. ESKIN: Right. Well, it's  
5 problematic. I'm thinking of a totally different  
6 context in which I was involved in a process that  
7 developed voluntary guidelines. In the process were  
8 all various stakeholders. The regulatory agency, FDA,  
9 was involved in the process in terms of providing  
10 advice and some guidance, but did not sanction the  
11 guidelines, did not -- doesn't even enforce them.

12 I said a minute ago, well, shouldn't the  
13 agency and the industry work together cooperatively  
14 under all these guidelines, but perhaps that's not  
15 appropriate because you all are the enforcers,  
16 ultimately. You enforce the law.

17 MR. GIOGLIO: Right.

18 MS. ESKIN: These are voluntary  
19 guidelines. I know practically speaking FSIS, USDA's  
20 name is on them, anybody is going to look at it as  
21 regulatory. While, personally, that may not be a  
22 problem for me, I understand that's really not the way

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it is. So maybe what we have to do is start at four  
2 and propose -- let the industry put out their  
3 guidelines.

4 Perhaps FSIS could at least in some  
5 capacity comment on them, because that would at  
6 least -- if there were real problems with them, that  
7 would be addressed, but let the industry put them out,  
8 see how they go and, after a certain period of time,  
9 if FSIS has concerns, then there are other options. I  
10 understand -- asked the question, well, can't you  
11 compel test and hold, and the answer was, if we issued  
12 a rule, but that takes a long process. But either you  
13 do that or you don't. Meaning, you know, either you  
14 enforce or you wait and see, I guess, is my point.

15 MR. GIOGLIO: Right. However, I mean,  
16 just to follow on with what you're saying, I think  
17 that FSIS is in the position to say if we can effect  
18 this through a voluntary means a lot quicker than  
19 going through whether it's a rule, or however we need  
20 to publish it in the federal registry notice, and all  
21 the process that we need to go through there.

22 MS. ESKIN: Right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           MR. GIOGLIO:    We think the sooner the  
2 better we get the voluntary cooperation.

3           MS. ESKIN:    Right.  But, the issue here is  
4 what is this?  Is it your "this," or is it the  
5 industry's "this"?

6           MR. GIOGLIO:   That's the question.  To go  
7 on, on other types of guidance in years past, FSIS and  
8 the industry have followed a model, sort of what  
9 you're suggesting.

10          MS. ESKIN:    Collaborative --

11          MR. GIOGLIO:   The industry developed --  
12 I'm thinking in terms of -- and I don't know if  
13 anybody here was involved in it, but maybe 10 years or  
14 so ago, the industry trade associations developed  
15 guidelines for producing dried and fermentive sausage,  
16 okay, to control 40157h7.

17          MS. ESKIN:    Right.

18          MR. GIOGLIO:    Okay.    And that was  
19 something, ultimately, the Agency did look at and  
20 then was able to not sanction as an FSIS document, but  
21 did say, yeah, this should control it and we will.  
22 It's consistent with good science and good policy, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 good practice, and we think this is something that you  
2 all should recommend to your members.

3 MS. ESKIN: And either in effect, or still  
4 in effect, I assume, these guidelines?

5 MR. GIOGLIO: Yeah. That's still is in  
6 effect, and a number of plants are relying on those.

7 CHAIRPERSON MARK SCHAD: It sounds like  
8 we're leaning towards the voluntary guidelines, but it  
9 reminds me of a tough question I want to ask. From  
10 the FSIS standpoint, say we did that. Say this  
11 subcommittee recommended that.

12 MS. ESKIN: The industry guidelines.

13 CHAIRPERSON MARK SCHAD: The industry  
14 guidelines, and the committee agreed.

15 MS. ESKIN: Start there.

16 CHAIRPERSON MARK SCHAD: How would FSIS  
17 grade this?

18 MR. GIOGLIO: How would we grade --

19 CHAIRPERSON MARK SCHAD: Okay. Say the  
20 industry went out with the voluntary guidelines and  
21 the industry started to follow these guidelines.  
22 What --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. ESKIN: How would you assess their  
2 effectiveness?

3 CHAIRPERSON MARK SCHAD: Yeah. What  
4 percent drop --

5 MR. GIOGLIO: I think, yes. I don't know  
6 that I would, sitting right here, put a percentage  
7 drop on it. But if we see -- we obviously want to get  
8 down to zero, all of us do.

9 CHAIRPERSON MARK SCHAD: Yes.

10 DR. HARRIS: Right.

11 MR. GIOGLIO: I don't know that I have a  
12 percentage, but if we see a dramatic drop in the  
13 numbers of these types of recalls, and we look at just  
14 even the amount of product that's held when we sample,  
15 and that really is a dramatic drop, we think we're  
16 getting some success. I think what Joe is -- I don't  
17 know --

18 MS. ESKIN: Right. Could you break it  
19 down to the small plant and very small plant? Do you  
20 see a --

21 MR. GIOGLIO: We probably could cut the  
22 data that way, ultimately, to look at it a little bit

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 differently.

2 DR. HARRIS: Just as maybe for some  
3 perspective. This number has -- I guess it was  
4 mark that looked at it over the last several years.  
5 This 33 percent number that's floating out there  
6 now --

7 MS. ESKIN: Right.

8 DR. HARRIS: -- was at almost 70 percent  
9 about four years ago.

10 MS. ESKIN: Again, that's of the products  
11 that were tested.

12 DR. HARRIS: Recalls that were  
13 attributable to companies not holding their products.  
14 So progress has been made.

15 MS. ESKIN: Right.

16 DR. HARRIS: What our goal would be, is  
17 for us to issue our guidance, try to really force feed  
18 it to those out there that haven't come on board with  
19 doing this, and just see how much progress we can make  
20 before FSIS gets too serious about considering rule-  
21 making.

22 MS. ESKIN: Would it be reasonable -- and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I guess we can suggest whatever we feel like  
2 suggesting, and maybe it doesn't have to be listened  
3 to, but if we were to endorse using these industry  
4 guidelines to recommend or suggest an industry track  
5 as best they can amongst themselves. You know, sort  
6 of the effectiveness of these guidelines?

7 DR. HARRIS: I will say that there are  
8 some effectiveness measures built into the guidelines.

9 I know one of the things that -- one of our early  
10 discussions with Barb, she had indicated that she  
11 really needed to be sure, as we developed these, that  
12 we thought about ways of measuring effectiveness.

13 MS. ESKIN: Okay.

14 DR. HARRIS: Now it's a little bit  
15 challenging, other than just seeing how many recalls  
16 are attributed to companies not holding products.

17 MS. ESKIN: Right.

18 DR. HARRIS: I don't really know how else  
19 to truly measure success.

20 MS. MORRISSETTE: I'll just add that,  
21 really, you know, the best way to do it is, we don't  
22 have the right to look at companies and watching to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 see whether they held the product or not.

2 MS. ESKIN: I understand.

3 MS. MORRISSETTE: So that 20 percent  
4 number that Charlie mentioned could change, without us  
5 actually seeing too much change in the amount of  
6 actual recalls that occur. In addition to that, we  
7 had talked about that that was one of the positives  
8 about having worksheets in our guidelines, that we  
9 could possibly survey people, or do additional follow-  
10 up work in the future to find out how many people are  
11 using those work sheets, and things like that, to see  
12 if they are actually putting the ideas into practice.

13 MS. ESKIN: It's cumulative data. You're  
14 not identifying individual plants and all the specific  
15 information?

16 MS. MORRISSETTE: Right. Probably.

17 MS. ESKIN: I mean, again, this is a  
18 question of --

19 MS. MORRISSETTE: But, generally, we know  
20 from our members, from surveying our members, that we  
21 have about -- I want to say about 98 percent of our  
22 members are already holding the product.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. ESKIN: Right.

2 MS. MORRISSETTE: So, generally, we know  
3 where those numbers are coming from, and see  
4 whether --

5 MS. ESKIN: Sure. But, again, there is  
6 this other two percent who are, you know, either  
7 within your membership or all those other small and  
8 very small plants that maybe are not members of AMI.

9 MS. MORRISSETTE: Right. Exactly.

10 CHAIRPERSON MARK SCHAD: Because AMI, just  
11 so you know, that's the big packers.

12 MS. ESKIN: I know that.

13 MS. MORRISSETTE: I have to correct that.

14 MS. ESKIN: Oh, okay. Good.

15 MS. MORRISSETTE: We do have -- actually  
16 80 percent of our membership is small and very small  
17 establishments. We do represent the big packers, but  
18 if you actually look at the number of plants that we  
19 represent, more than 80 percent are --

20 MS. ESKIN: Can you divide that 80 percent  
21 between the small and very small?

22 MS. MORRISSETTE: Probably the majority of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those are about --

2 MS. ESKIN: Because that small is a pretty  
3 big number.

4 MR. GIOGLIO: Yes, that can go up to 500.

5 MS. ESKIN: Yes.

6 MS. MORRISSETTE: Probably the majority of  
7 those are small. I mean, I know we have several  
8 members, and I brought some of them to our meeting  
9 that we hosted. The group that has 10 employees or  
10 fewer. You know, one has only two employees.

11 MS. ESKIN: Right.

12 MS. MORRISSETTE: I mean, we do have quite  
13 a few very small plants.

14 DR. HARRIS: I was going to say, she's not  
15 going to let that go by, Mark.

16 CHAIRPERSON MARK SCHAD: I've got say,  
17 I've got to be corrected by women at least once a day.

18 DR. HARRIS: I knew that wasn't going to  
19 fly.

20 MS. ESKIN: So, again, Joe, you said that  
21 you all, in the development of these guidelines did  
22 talk about -- Maybe Barb is the one who suggested

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 building in ways to determine effectiveness.

2 DR. HARRIS: And I had to look back  
3 through here to refresh my memory, because we talked  
4 about it, and I'll be honest, we never really came up  
5 with a good consensus, other than the kinds of things  
6 Lynn just talked about.

7 MS. ESKIN: Right.

8 DR. HARRIS: It's hard for a company by  
9 company approach to measure effectiveness. I mean,  
10 they either held it or they didn't.

11 MS. ESKIN: Right.

12 DR. HARRIS: Again, the Agency is probably  
13 the one to best answer those questions, because they  
14 do get those forms back that say -- from the  
15 inspectors when he sends the sample in -- was the  
16 product held or wasn't it.

17 MS. ESKIN: And then to work backwards  
18 with the recall. The product was recalled from where,  
19 what procedures did they follow? Okay.

20 MR. FINNEGAN: Ray, if we go along with  
21 the industry, the field force of the Agency, they'll  
22 be out of it, really. Is this what we're talking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about, rather than the inspectors?

2 MS. ESKIN: Well, what do the  
3 inspectors -- You're saying the inspectors won't be  
4 able to check on this?

5 MR. FINNEGAN: Right. You know, if it's  
6 an industry guideline, are we -- yeah. Can the agency  
7 be involved, if this comes right from the industry, is  
8 what I'm asking, Joe.

9 CHAIRPERSON MARK SCHAD: Wouldn't their  
10 position remain the same as we encourage you to hold  
11 product?

12 MS. ESKIN: Yes.

13 MR. GIOGLIO: Yes, I think that's true.

14 MS. ESKIN: An inspector notes whether or  
15 not the product is held, but there's no --

16 MR. GIOGLIO: The inspector does not  
17 enforce, and would not enforce --

18 MR. FINNEGAN: Right.

19 MS. ESKIN: Even your guidelines.

20 MR. FINNEGAN: Right. Okay.

21 MR. GIOGLIO: It strongly encourages the  
22 establishments to do so, to have a plan to do so and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 so forth, and I think both sets of guidelines do go in  
2 and sort of provide instruction to the plants to have  
3 those conversations up front so that, you know, both  
4 sides know exactly what's going to be what.

5 MR. FINNEGAN: And so we would still have  
6 that data when they checked their little box in  
7 product held?

8 MR. GIOGLIO: Yeah.

9 MR. FINNEGAN: That would not change?

10 MR. GIOGLIO: Yeah, our process would not  
11 change.

12 MS. ESKIN: It doesn't change.

13 MR. GIOGLIO: Exactly. Our process would  
14 not change in that regard.

15 MR. FINNEGAN: Okay.

16 DR. HARRIS: Maybe I ought to ask this  
17 question of Charlie. One of the things we've got a  
18 little experience with, when they initiated the CSO,  
19 now EIAO reviews, one of the things that an EIAO would  
20 do during this assessment if he was visiting a company  
21 that he thought needed some help, he had a list of  
22 resources that he could refer that company to. Maybe

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 as part of the EIAO assessment the Agency can take a  
2 look at whether or not they are holding products when  
3 they are tested and, if they are not, can refer them,  
4 possibly, to a set a guidelines such as this. Is that  
5 a reasonable recommendation?

6 MR. GIOGLIO: I think that's -- yes.

7 DR. HARRIS: You know, frankly, we've had  
8 some very good results, I think, from EIAO referring  
9 small plants to specific resources that are available  
10 to help them.

11 MR. GIOGLIO: You're saying not Agency  
12 documents, but documents that were developed by  
13 universities and so forth?

14 DR. HARRIS: I mean, there was some of the  
15 EIAOs in Texas, I would get calls from companies that  
16 said the EIAO gave me your name and said you would  
17 help me even if I'm not a member, and they are right.

18 I've never turned a firm away that called for help,  
19 regardless of --

20 CHAIRPERSON MARK SCHAD: Could you please  
21 kind of help Murray put a few words up there on the --

22 MR. PENNER: Yeah, I think I got it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON MARK SCHAD: So we don't  
2 forget that one.

3 DR. HARRIS: Because these are the types  
4 of questions that the EIAOs are asking them anyway, as  
5 far as the procedures and practices that they are  
6 following on a day to day basis.

7 CHAIRPERSON MARK SCHAD: So are we on the  
8 consensus that we would recommend that we go with the  
9 industry guidelines prior to the agency issuing  
10 guidelines?

11 MS. ESKIN: Yes. And I would just want to  
12 put specific language in. If I say that, I'm sure  
13 that if I say that, I need to draft it, that USDA, as  
14 it happened with the dry sausage situation, officially  
15 comments or whatever, blesses, that's probably not the  
16 right word, these guidelines.

17 MR. GIOGLIO: Reviews them.

18 MS. ESKIN: Reviews them and makes sure  
19 that they are consistent with the law and that they  
20 are based on sound science, whatever. We can talk  
21 about a standard, but I'd be comfortable with that if,  
22 in fact, we have that industry -- official Agency

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 review.

2 CHAIRPERSON MARK SCHAD: So you're saying  
3 that it can be a link to question number four?

4 MS. ESKIN: Yes. I would support that,  
5 starting there, as long as the Agency --

6 CHAIRPERSON MARK SCHAD: Does anybody have  
7 a problem with that or not?

8 DR. HARRIS: Are you -- I just want to  
9 clarify, are you opposed to them be disseminated  
10 before that review is complete?

11 MS. ESKIN: I think it would be better to  
12 have the review beforehand, just to make sure there  
13 are no problems. I don't know what type of time table  
14 is feasible, here, for the FSIS review. We can say it  
15 has to be done in a timely manner. I would be  
16 concerned because, ultimately, what I want to make  
17 sure is, everything is consistent with the law.

18 DR. HARRIS: That type of review probably  
19 wouldn't take that long, would it?

20 MR. GIOGLIO: I don't believe it would be  
21 that long.

22 CHAIRPERSON MARK SCHAD: We're talking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about the Agency reviewing these and not sanctioning  
2 them or endorsing them, or making them somehow an  
3 Agency document, but reviewing to make sure they are  
4 consistent with the law and any policies.

5 MS. ESKIN: And any policies.

6 CHAIRPERSON MARK SCHAD: That they are not  
7 saying something that is outside Agency policy  
8 presently. Yes. I don't think, given this document,  
9 that that would take very long.

10 MS. ESKIN: Yes, and we can talk about an  
11 expeditious review.

12 MR. FINNEGAN: It's just like a HACCP  
13 plan. We're not approving their HACCP plan, but we're  
14 just making sure that --

15 MR. GIOGLIO: Correct. I think, you know,  
16 if there were something, I would think we would go  
17 back and say this is something that we see as  
18 problematic, and how can you folks address it?

19 MS. ESKIN: Right.

20 MS. MORRISSETTE: Charlie, just a quick  
21 question. If you guys did in fact do that and the  
22 industry went ahead and disseminated these guidelines

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and a cover letter would be acceptable to the Agency,  
2 then, do you think they'd say these guidelines have  
3 been reviewed by the Agency for --

4 DR. HARRIS: Consistency?

5 MS. MORRISSETTE: Consistency with board  
6 policy, or something of that nature?

7 DR. HARRIS: Yeah, I think that's a good  
8 question. We need some kind of wording that the  
9 Agency is comfortable with so the industry knows,  
10 well, it actually mean something.

11 MR. GIOGLIO: Let me say this.

12 DR. HARRIS: I'm not trying to put you on  
13 the spot.

14 MR. GIOGLIO: No, I understand. I think  
15 that's something that you all can recommend. I'm not  
16 in a position right now to say exactly what the Agency  
17 is going to do, but that is something that you folks  
18 can recommend.

19 MS. ESKIN: Yes, what that's going to look  
20 like.

21 I'm also wondering, if not in the dry  
22 sausage situation, there may be some other situation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 where language like that, whether it's an opinion  
2 letter, or whatever, there's language that's pretty  
3 much boiler plate, that would --

4 MS. MORRISSETTE: We've done it in the  
5 past, perhaps without their knowledge.

6 MS. ESKIN: Whoops. They haven't objected  
7 to these and they've been out here for a long time.

8 MS. MORRISSETTE: We've had conference  
9 calls, and things like that, where there's a question  
10 and answer session, we'll say, you know, these were  
11 given by the Agency, you know. We always send it to  
12 them and say, does this reflect what your thoughts  
13 were, before we send it out, you know.

14 MS. ESKIN: Sure.

15 MS. MORRISSETTE: But they don't actually  
16 have --

17 MS. ESKIN: Yes.

18 DR. HARRIS: And there is other precedent  
19 within the agency from -- you know, they had  
20 several -- the Agency on its website, I assume still  
21 has a lot of guidance documents in its HACCP  
22 implementation, and there were several industry

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 produced documents that they provide on their website.

2 The ground beef document, I'm pretty sure was there,  
3 the animal handling that you guys did was there.

4 MR. GIOGLIO: All right.

5 MS. ESKIN: So there's some sort of  
6 language clarifying FSIS's -- the scope of FSIS's  
7 review?

8 MR. GIOGLIO: There's some type of  
9 disclaimer around it.

10 DR. HARRIS: That's what Mary and I were  
11 conferring on here a minute ago.

12 MS. ESKIN: The language or hanging up the  
13 map?

14 DR. HARRIS: No, not hanging up the map.  
15 Yes, but being able to -- Was it possible to --

16 MS. ESKIN: Again, this is not a new  
17 situation.

18 DR. HARRIS: While we're talking about  
19 maps, I can't help but observe that we have a map that  
20 lists inspected establishments and nuclear plants.  
21 Why those two go together, it's beyond me.

22 MR. PENNER: It's for irradiating meat, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 guess, I don't know.

2 MR. FINNEGAN: There you go. Good answer.

3 MR. GIOGLIO: We are, as was mentioned  
4 this morning, we are very much concerned in this  
5 Agency about food defense and recall and national  
6 security.

7 DR. HARRIS: I've just never seen a map of  
8 that particular content that's --

9 MS. ESKIN: So I guess one of the next  
10 questions is if we're all sort of in agreement as far  
11 as striving for Agency guidelines, then what's next?  
12 Those Agency guidelines are out there. I was asking  
13 earlier, assuming they've been reviewed, if there's  
14 any way to monitor or measure or assess the  
15 effectiveness, I guess FSIS would do that from that  
16 function, as would probably the industry that supports  
17 these? I mean, is there any language we should put in  
18 our recommendation regarding monitoring or measuring  
19 effectiveness of the guidelines?

20 CHAIRPERSON MARK SCHAD: To answer your  
21 question, yes. Now, what is that language? That's my  
22 opinion anyway.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 MS. ESKIN: Right.

2 CHAIRPERSON MARK SCHAD: You're talking  
3 about industry monitoring?

4 MS. ESKIN: Yes. Either or both.

5 CHAIRPERSON MARK SCHAD: I think we  
6 discussed that a little bit already, that it's kind of  
7 difficult for industry to monitor it, but we would be  
8 behind FSIS --

9 DR. HARRIS: And also we're blending roles  
10 a little bit, here, in terms of what we can as a  
11 committee recommend, even though we're about to  
12 recommend -- it sounds like we're on the road to  
13 recommending that we let industry issue the guidelines  
14 and see how they go. I don't know that we can  
15 instruct -- As a committee we can't really instruct  
16 industry how to monitor, but I think that's --

17 MS. ESKIN: We can suggest that FSIS  
18 monitor the effectiveness, and maybe have pretty open-  
19 ended language because that is, you're right,  
20 ultimately their role, and if they determine after two  
21 years or three years or five years that these  
22 guidelines -- or less -- that these guidelines are not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 working, then there are other options, not simply --

2 CHAIRPERSON MARK SCHAD: Well, and it  
3 might take some tweaking. If the Agency keeps on  
4 giving feedback to the trade association, well, we've  
5 got a good start, but maybe what if we changed this or  
6 that as a step, rather than, well, this is not --  
7 Industry's guidelines aren't working so, therefore, we  
8 have to go.

9 MS. ESKIN: No, it's not that simple. It  
10 doesn't go right there.

11 CHAIRPERSON MARK SCHAD: No, I'm saying  
12 maybe it doesn't necessarily need to be. Maybe we can  
13 tweak the industry's guidelines.

14 MS. ESKIN: So the FSIS has a rule to  
15 monitor.

16 DR. CARPENTER: But if the industry is not  
17 going to cooperate, I mean, how should this  
18 recommendation of ours say FSIS should augment the  
19 activity of the industry so that, you know, the  
20 monitoring function is valid or complete? I mean, how  
21 are you going to get industry to share the data?

22 DR. HARRIS: Well, the data is whether --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 did they or didn't they hold the product? FSIS  
2 collects that data every time they pull a sample.

3 DR. CARPENTER: Okay.

4 MS. ESKIN: Yes.

5 DR. HARRIS: So they are collecting that  
6 data already. The idea being, hopefully, as a result  
7 of what we're trying to accomplish. The number of  
8 companies holding their product goes from 80 percent  
9 to, ideally, 100 percent.

10 MS. ESKIN: And the document is what gets  
11 us there. The theory is that you distribute it  
12 widely, small and very small plants, figure out what  
13 to do. The other piece of the data, as we were  
14 talking about before, is that you take the recall  
15 information and try to figure out how that matches the  
16 hold, not hold.

17 MR. GIOGLIO: Right. And that's exactly  
18 what we want to get down to, zero recalls that are  
19 triggered this way.

20 DR. CARPENTER: Right.

21 MR. GIOGLIO: Or any other way, for that  
22 matter, really.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FINNEGAN: What's important to me is  
2 just the word guidelines instead of regulation.

3 MS. ESKIN: Right.

4 MR. FINNEGAN: Guidelines, and it's got to  
5 be done as soon as possible. That's the key, the  
6 guidelines. We wouldn't want to regulate that.

7 DR. CARPENTER: Putting guidelines in  
8 place will prevent regulations from being implemented,  
9 or slow it down.

10 MR. FINNEGAN: We hope.

11 DR. CARPENTER: Well, it will slow it  
12 down.

13 MS. ESKIN: Rather than say prevent, they  
14 will be effective in reaching our goal.

15 CHAIRPERSON MARK SCHAD: So if we do state  
16 that -- I'm thinking about what Sanders said before,  
17 really just one question. Once we -- if we do state  
18 that we recommend that we go with the industry  
19 guidelines, we've pretty much answered all the  
20 questions, haven't we?

21 MS. ESKIN: Just so we do, I think that  
22 adding the issue about monitoring makes it clear that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 FSIS is not merely abdicating its role. In fact, it  
2 has an active role. Number one, to review it.

3 CHAIRPERSON MARK SCHAD: And the fact that  
4 we're going to ask the Agency to review it.

5 MS. ESKIN: And monitor it.

6 CHAIRPERSON MARK SCHAD: And comment on  
7 it.

8 MS. ESKIN: Then it obviously always  
9 retains the right that we can say this or don't have  
10 to state it to take action.

11 MS. CUTSHALL: Can I just say a quick  
12 thing, here.

13 CHAIRPERSON MARK SCHAD: Yes, go ahead,  
14 Mary.

15 DR. CARPENTER: It sounds like you have  
16 come to consensus on the fact that you want to go with  
17 industry guidance and deal with that, and I've heard a  
18 couple things from Joe and some other folks. When you  
19 say you've answered the questions, my next question to  
20 you is, you've come to consensus that this is probably  
21 the way you want to go with FSIS's assurance that it  
22 is in meeting of all policy procedure and is not in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 conflict. How are you effectively going to get it out  
2 there?

3 MS. ESKIN: We had asked that initially to  
4 Joe, it might have been before you came in, I don't  
5 remember, but he was talking about there was a website  
6 or, Joe, were there some other avenues?

7 DR. HARRIS: Everything from -- a lot of  
8 us have mailing lists that go well beyond our mailing  
9 lists. Probably all of us do as associations. We  
10 will disseminate that, and I think there's been some  
11 discussion with the Agency, correct, about --

12 MS. MORRISSETTE: We thought that you were  
13 going to help us with that.

14 MS. CUTSHALL: Is that okay?

15 MS. MORRISSETTE: Actually, they  
16 volunteered you.

17 MS. CUTSHALL: I know I get volunteered.  
18 I get volunteered all the time. Charlie and I had  
19 been working together on some ideas of some ways to  
20 get it out. I think that's something that -- I'm  
21 bringing the issue up, because I think it's something  
22 that should be part of your recommendations as well.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 The one thing that we found and I had a concern and,  
2 maybe to try to pick your brain and get creative is,  
3 we've talked about a number of ways that we could  
4 disseminate information, that we could get information  
5 out, the website, web cast, workshops, mass mailings,  
6 but we know from experience that there's a part of the  
7 population out there that is not --

8 MS. MORRISSETTE: Getting the information?

9 MS. CUTSHALL: -- getting it. They may  
10 get it and from the instant that it comes from a trade  
11 association, they may look at it and --

12 MS. MORRISSETTE: It goes in the trash.

13 MS. CUTSHALL: Exactly. The same thing  
14 that they do when sometimes we send things out. Oh,  
15 my lord, it's another thing from FSIS. I'll round  
16 file it. So I would just kind of challenge you to  
17 maybe define -- it sounds like you have an agreement,  
18 so maybe define a little bit more about ways that you  
19 think we can effectively get it out because, if we --  
20 It sounds like your recommendation is to go that way.

21 If we do proceed that way and we have some  
22 measurement in place, how are we going to make sure

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that everybody possible is getting the word, because  
2 when Charlie was talking in some of the conversations  
3 that we had, we know, particularly, that small and  
4 very small are the ones that are having a problem  
5 holding product. Particularly, a lot of the very  
6 small, and they are some of the hardest folks to  
7 reach.

8 You know, what would -- I'm just throwing  
9 it out there for you all to talk about. What are some  
10 ideas that you can give to us about ways --

11 DR. HARRIS: I think we also need to  
12 piggyback on what the other subcommittees is talking  
13 about, the very issue of disseminating information to  
14 small and very small, or the technology. So we might  
15 want to link with that subcommittee report, to some  
16 extent. I heard this morning suggestions about  
17 extension, district offices.

18 MS. ESKIN: Right.

19 DR. HARRIS: Mary's office. She does a  
20 tremendous job of getting materials out there, and has  
21 ever since HACCP implementation started, and I didn't  
22 really think about it, prior to just now, but you have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 disseminated a lot of things that weren't necessarily  
2 Agency documents over the years.

3 MS. CUTSHALL: We have done a number of  
4 things where we talk about cooperative agreements that  
5 emphasizes cooperating. In those instances, yes, what  
6 we've done is provide some portion of funding and some  
7 input in the same input in the same kind of thing in  
8 making sure it's in keeping with industry policy and  
9 procedures and everything else. From that  
10 perspective, it's not a new concept. It would be  
11 something that would be sort of in keeping with a lot  
12 of the things we have done.

13 When we did the generic models, we went  
14 back out to industry and we said, you know, get  
15 together some groups and lets make these better. Of  
16 course that came out the emphasis of the tour on it,  
17 but it's the same kind of collaborative type of  
18 effort, and I don't think it's precedent-setting for  
19 us to do something like that. Obviously, from our  
20 perspective, we would have to say, no, this is not  
21 regulatory. We can't enforce it, but it's something  
22 that we strongly encourage you to make use of. We're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 going to be tracking progress, et cetera, et cetera.

2 MS. ESKIN: Mark, since you obviously have  
3 a small plant, do you have any thoughts as far as -- I  
4 mean, you belong to an association?

5 CHAIRPERSON MARK SCHAD: Yes.

6 MS. ESKIN: Obviously, there are a lot of  
7 small and very small plants that don't. Yet there is  
8 at least in a local or regional level some  
9 interaction. Do you have any thoughts about how these  
10 can trickle down to these small and very small plants?

11 CHAIRPERSON MARK SCHAD: I wish I could  
12 very easily answer that question, because that is a  
13 tough one. I know through AAMP, and the AAMP office  
14 tracks these more than I do. When we see a recall,  
15 I'm always curious, boy, I wonder if that guy's a  
16 member of AAMP.

17 MS. ESKIN: Right.

18 CHAIRPERSON MARK SCHAD: AAMP has just one  
19 or two instances, never found any of those members  
20 subject to a recall.

21 MS. ESKIN: Yes.

22 CHAIRPERSON MARK SCHAD: So we kind of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 decided, well, how do we reach those members that  
2 aren't, those plants that aren't members of the trade  
3 association. So that's a tough one. So I think --  
4 Joe, you've got a good point there, like you need to  
5 kind of piggyback with that other committee and some  
6 of their ideas, because what Kevin was coming up with  
7 this morning, I thought he had some good ideas, too,  
8 on how to reach these ideas that I had never thought  
9 of before.

10 DR. HARRIS: Obviously, we need to reach  
11 to the State inspection --

12 MS. ESKIN: Isn't that the issue? I was  
13 saying this before that every one of these plants,  
14 small or huge, has an inspector walk in, whether it's  
15 a State inspector or a Federal inspector. Not that  
16 you should put extra burdens on that person, but they  
17 are a resource as well as an enforcer, so to speak.

18 MS. CUTSHALL: From a resource  
19 perspective, and I can't speak for Bill Smith, I would  
20 attempt to speak for Bill Smith, I might get in huge  
21 trouble, but I think he might say from a resource  
22 perspective that maybe having the in plant inspector

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do something like that may not be the most effective  
2 way. We talked about the EIAOs and a lot of the work  
3 that the EIAOs do. They may be a good resource  
4 because they're not directly connected to the day to  
5 day in plant types of activities.

6 MS. ESKIN: The enforcement.

7 MR. FINNEGAN: Yes.

8 MS. CUTSHALL: They have a different type  
9 of training.

10 MS. ESKIN: And they go into plants every  
11 day?

12 MS. CUTSHALL: They are out there, they  
13 are doing different things. They have exposure to a  
14 number of things. They go out and give talks to  
15 groups, and they may be a good resource to be able to  
16 sit there and for us to effectively -- I mean, we can  
17 do workshops, we can do some of these other things,  
18 but to really get down to some of the grass roots  
19 level, use some of the EIAOs and give them some  
20 training, give them some expertise in what it is.

21 CHAIRPERSON MARK SCHAD: What about  
22 bringing that EAIO into a -- like a -- I'm from Ohio,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 so I'll just use Ohio State as an example, where Lynn  
2 Knipe could -- He does this for all types of issues,  
3 especially when new regulations come out. He'd say,  
4 well, if you want to know more about the new  
5 regulations, come out to the campus and we'll talk  
6 about it, while the EIAO spoke to the -- And they are  
7 usually pretty well attended.

8 MS. ESKIN: Did the little small plants  
9 take the time and go to these things?

10 CHAIRPERSON MARK SCHAD: Oh, yes. If they  
11 are convinced it's worth while, and that's part of the  
12 tough part of it. They are usually very well  
13 attended.

14 MS. CUTSHALL: And I think Joe was  
15 mentioning, and we talked about it earlier, that we do  
16 have a lot of contact with the University, with the  
17 University Extension folks. They do a lot of  
18 activities for FSIS on different types of training,  
19 where we say we really can't go out and train people.

20 We partner with these folks, so they actually go out  
21 and do training. In fact, I think this year just  
22 starting, we had a couple of universities that are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 looking at doing some courses on recalls. If we had  
2 something that would serve as a real consistent piece,  
3 that they could deliver the message as well as the  
4 EIAOs, then you've got something that you can really  
5 get your hands around.

6 MS. ESKIN: We do have multiple sources.

7 MS. CUTSHALL: And I think you really have  
8 to look at as many sources as you can because --

9 CHAIRPERSON MARK SCHAD: Can you start  
10 listing those, Mary, before they forget them all?

11 MS. ESKIN: EIAOs, universities.

12 MS. CUTSHALL: One of the things that we  
13 do with the university extension folks, and you've  
14 probably seen this, the materials that we develop in-  
15 house, or whatever, we provide those to the  
16 universities so that they've got a consistent piece  
17 that they can be talking from, and we don't have Penn  
18 State doing one thing, K State doing something else,  
19 as much as it may be causing some --

20 CHAIRPERSON MARK SCHAD: To get back to  
21 your question, Sandra. I guess, again, I speak more  
22 from Ohio than any place else. Ohio State is in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Columbus, so it's centrally located within the State.

2 So it's just, you know, anywhere from the State you  
3 can drive two hours or less, and you're there. It's a  
4 one-day thing, it's not an overnight thing, or  
5 anything like that.

6 DR. HARRIS: Right.

7 MS. MORRISSETTE: Do the EIAOs go into the  
8 State inspected facilities?

9 MS. CUTSHALL: The EIAOs do not go in the  
10 State inspected facilities.

11 MS. ESKIN: So that would leave something  
12 else. Well, unless they come to them.

13 MS. CUTSHALL: I would have to check,  
14 because I think -- Charlie, you may know better than  
15 I, but I think some of the states have started sending  
16 some personnel to EIAO training.

17 CHAIRPERSON MARK SCHAD: I know Ohio State  
18 inspector does.

19 MR. FINNEGAN: In fact, I have to go in  
20 November.

21 MS. CUTSHALL: So I think there is some  
22 cross --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FINNEGAN: But to reach all the state  
2 plants, what is there, 28 states?

3 MS. CUTSHALL: Yes.

4 MR. FINNEGAN: To just have to hit the  
5 state directors, they are going to cover all of  
6 their -- And I know they will. They are going to  
7 cover all of their plants with the 28 state directors.  
8 They're the only ones that do any sampling, either  
9 federal or state. Retail, they are not sampling, are  
10 they?

11 MS. CUTSHALL: Well, we sample in retail.

12 MR. FINNEGAN: Oh, you do.

13 DR. HARRIS: I would tell you, these  
14 guidelines are probably not very well suited to retail  
15 operations. I don't know that they are necessarily  
16 ill-suited, it's just that retail operations were not  
17 the focus of developing those.

18 MR. GIOGLIO: And, actually, the data that  
19 I was talking about earlier and so forth, was really  
20 from the inspected plants, not including retail.

21 DR. HARRIS: Lynn's probably going to  
22 disagree with me.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 MS. MORRISSETTE: No. I was just going to  
2 mention that we did have conversations about trying to  
3 put reverse pressure on these processors as well by  
4 hitting the retail stores with these guidelines and  
5 saying, are you purchasing from people that are doing  
6 this? And trying to hit them back that way, too,  
7 which probably works, but it generally will get to,  
8 again, the small and large companies. A lot of these  
9 real little guys sell to independent grocerers, which  
10 of course could provide it to their association, but  
11 it's still going to be hard to hit those real little  
12 guys that are selling to local restaurants and things  
13 like that. But it was another avenue.

14 MR. FINNEGAN: Well we're just keying on  
15 inspected plants with this policy.

16 CHAIRPERSON MARK SCHAD: I guess we need a  
17 couple more at least. We'll get a copy of what we got  
18 down so far, then we can go over that.

19 Let's see, extensions, universities. Any  
20 other ideas as far as out reach?

21 MS. CUTSHALL: I think you mentioned  
22 partnering in some workshops and doing things like

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that. Sometimes the face-to-face -- I mean, I know we  
2 all like technology, and I like technology as well,  
3 but sometimes the face-to-face is where you're really  
4 going to get folks. I think in talking to the  
5 industry and the associations, you would be folks that  
6 could help target where would be places that would be  
7 the best places to go. Normally, when we would plan  
8 workshops or things like that, we look at the --

9 CHAIRPERSON MARK SCHAD: Oh, we need  
10 Charlie.

11 MS. CUTSHALL: That could be something you  
12 could help us with, particularly involving meetings  
13 that you hold for the members, and other things that  
14 we do. Newsletters, and things like that would be  
15 other avenues that you could start getting the word  
16 out. We did what we call a promo piece, so it's  
17 actually a piece that's -- here's why you need to pay  
18 attention to this. It's something else that you can  
19 take as sort of a -- here's a tactic where we want to  
20 get your attention, and people will start paying  
21 attention to what you're saying, because they have  
22 some vested interest in paying attention to you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. HARRIS: I don't know, but maybe if we  
2 could plant some editorials in a trade press. There's  
3 a lot of ways you could disseminate information.

4 MS. MORRISSETTE: Maybe the envelope that  
5 it's in can say, you know, open this envelope to  
6 receive a chance for a four-year membership.

7 MS. CUTSHALL: Do like Publisher's  
8 Clearinghouse. Do little pennies in the window box.

9 MS. MORRISSETTE: You could. That's  
10 right.

11 DR. HARRIS: The membership is nearly free  
12 already.

13 CHAIRPERSON MARK SCHAD: How much is it?

14 DR. HARRIS: Oh, it depends on how big you  
15 are. Anywhere from -- What do you got? What can you  
16 afford?

17 CHAIRPERSON MARK SCHAD: Well, I think  
18 we've answered the question with the first bullet, and  
19 now we've got a series of questions. I think we need  
20 more statements than questions.

21 DR. HARRIS: Yeah, I think some of those  
22 questions need to be turned into statements. So how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would the agency assess -- Go ahead.

2 MS. ESKIN: No, in response to it, I was  
3 just trying to rephrase the whole thing. For example,  
4 I would take the first bullet and slightly change it  
5 into more of a statement. The subcommittee recommends  
6 that the industry issue its guidelines on test and  
7 hold, whatever the proper terminology is here, after  
8 FSIS review, or timely FSIS review, if we're concerned  
9 about that.

10 Then responding to one of the other points  
11 under here, under the second bullet, FSIS would make  
12 clear -- I don't know what the correct phraseology is  
13 here -- would make clear that it has not approved the  
14 guidelines and would not enforce them. That may not  
15 be proper wording, but that's to make clear it's  
16 simply review and not approval.

17 CHAIRPERSON MARK SCHAD: That's fine.

18 MS. MORRISSETTE: What was the last  
19 statement again?

20 MS. ESKIN: I said FSIS would make clear  
21 that it is only reviewing the guidelines for  
22 consistency with law and policy, and is not approving

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 them.

2 CHAIRPERSON MARK SCHAD: I would just --  
3 You don't have to answer this, I was just trying to  
4 think of something more positive, instead of not  
5 approving.

6 MS. ESKIN: Okay.

7 CHAIRPERSON MARK SCHAD: I know you don't  
8 like endorse, or those kind of words.

9 MS. ESKIN: I'm not sure how you can say  
10 that, because it is a disclaimer. I mean, there's no  
11 way to say it not negatively.

12 MS. CUTSHALL: You could just say that  
13 FSIS has reviewed this and has no serious objections  
14 or believes it complies with law and policy.

15 MR. GIOGLIO: Or unless you say that we  
16 would review it to make sure that it does in fact  
17 conform with policy.

18 MS. ESKIN: So then let's take that first  
19 sentence. At the end it says right now, after FSIS  
20 review to ensure that it conforms with applicable laws  
21 and policies, period?

22 MR. GIOGLIO: Yes, that will work.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. ESKIN: Okay. Then we'd have a second  
2 sentence which would capture the second part of that  
3 first bullet, as far as Agency assessment. You  
4 know -- Well, let's talk before that even. We want to  
5 talk about distribution, right? That was the point  
6 that Mary was making again?

7 The industry should work with the agency  
8 to ensure widespread distribution of the guidelines,  
9 especially to small and very small plants, period.  
10 Then we can capture --

11 CHAIRPERSON MARK SCHAD: I.e., or for  
12 example.

13 MS. ESKIN: Yes, another sentence. Let's  
14 see, let me think.

15 DR. CARPENTER: Probably something about  
16 every avenue and personal interaction with industry  
17 and association's interaction with industry.

18 MS. ESKIN: Industry associations,  
19 universities, EIAOs, state directors. Is that  
20 specific enough.

21 MR. FINNEGAN: State inspected plants.

22 MS. ESKIN: State inspected plants.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FINNEGAN: If you say directors of  
2 State's Department of Agriculture, do we want to get  
3 that wordy?

4 MS. ESKIN: Yes, we'll have to be. All  
5 should be involved in the distribution process or  
6 distribution and --

7 MS. MORRISSETTE: Dissemination process.

8 MS. ESKIN: Thank you. Dissemination  
9 process. That's sort of explaining to them more than  
10 anything else. That captures workshops, because  
11 workshops could be run by any one of those entities,  
12 right, and it would also, arguably, capture trade  
13 publications, because they would be, in most cases,  
14 sponsored by trade publications.

15 MR. FINNEGAN: Yes.

16 MS. ESKIN: Then I think the next point is  
17 the issue of Agency monitoring. All right. You could  
18 add a sentence. Joe, you were mentioning before about  
19 working with the new technology work group to use sort  
20 of the same avenues. I don't know how we can phrase  
21 that, but --

22 DR. HARRIS: Yes. Something about the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Agency should also consider subcommittee one's  
2 recommendations for new technology dissemination as a  
3 possible avenue for this as well, because I don't know  
4 what their recommendations are going to be yet.

5 CHAIRPERSON MARK SCHAD: Although we  
6 might -- the right opportunity to add that might be  
7 tomorrow when we --

8 MS. ESKIN: That's a good idea. Then we  
9 can just add a sentence. We'll do it tomorrow.

10 MS. JEFFERSON: Am I adding a sentence?

11 MS. ESKIN: We'll do it tomorrow.

12 MS. JEFFERSON: That first one, the  
13 subcommittee recommends, that very first one?

14 MS. ESKIN: The first and second should  
15 still be there. I think we were starting on a third.

16 MS. JEFFERSON: Right. I wanted you to  
17 repeat the first one.

18 MS. ESKIN: The very first one?

19 DR. HARRIS: The very first bullet?

20 MS. ESKIN: Why don't you read it back to  
21 me and see what you have.

22 MS. JEFFERSON: I have the subcommittee

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 recommends that the committee --

2 MS. ESKIN: This is the very, very  
3 beginning?

4 MS. JEFFERSON: Yes.

5 MS. ESKIN: Oh. Okay. The subcommittee  
6 recommends that the industry issue its guidelines  
7 after FSIS reviews them for consistency with agency  
8 laws and policies. That would be --

9 MS. JEFFERSON: After FSIS reviews to  
10 ensure --

11 MS. ESKIN: Consistency with applicable  
12 laws --

13 MS. JEFFERSON: Applicable laws and  
14 policies.

15 MS. ESKIN: Applicable laws and policies,  
16 period. And then the second sentence is the one about  
17 distribution dissemination.

18 MS. JEFFERSON: The industry should work  
19 with the Agency to ensure widespread distribution of  
20 the guidelines to small and very small plants. The  
21 industry associations should work with universities,  
22 EIAOs, directors of State Department of Agriculture,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 and also be involved in --

2 MS. ESKIN: Actually, the part -- I think  
3 the industry associations -- it's a whole list. It's  
4 industry, associations, comma, da, da, da, da, should  
5 all work towards dissemination of the guidelines. So  
6 the sentence is structured as a list. Then the  
7 thought is maybe we'll add something else if the new  
8 technology subcommittee has anything.

9 Then the issue of FSIS, I guess,  
10 monitoring of the effectiveness of the guidelines,  
11 would be the next point.

12 CHAIRPERSON MARK SCHAD: So we need to  
13 make that as another statement.

14 MS. ESKIN: As another statement.

15 CHAIRPERSON MARK SCHAD: And now we're  
16 going to say with feedback to the trade associations?

17 MS. ESKIN: Or working with industry.

18 CHAIRPERSON MARK SCHAD: Working with  
19 industry?

20 MS. ESKIN: I mean, obviously, there are  
21 limitations on both sides but, you know, FSIS should  
22 work with industry to -- Well, I guess FSIS should

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 monitor the effectiveness of the industry guidelines.

2 Now we can add some clause about working the  
3 industry, but that's almost self-evident, because  
4 monitoring would be data that you all get, that they  
5 get?

6 MR. GIOGLIO: It may be data from both,  
7 but I think it would be probably our data that we  
8 would evaluate and make that known to the, you know,  
9 the industry, what we were looking at. I think the  
10 question, really, was getting at then that would come  
11 back if we find for one reason or another that all the  
12 efforts -- I don't think this would be the case, but  
13 that all the efforts were ineffective, then we'd have  
14 to go back and say, okay, we'll continue along the  
15 line of our, you know, to issue a more formal policy,  
16 or take some other tack here.

17 MS. ESKIN: Or tweak the policy.

18 CHAIRPERSON MARK SCHAD: Yes.

19 MS. ESKIN: There's a whole range of  
20 options, here.

21 CHAIRPERSON MARK SCHAD: Right.

22 MR. GIOGLIO: Exactly.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. JEFFERSON: How does the sentence read  
2 right now, that last sentence, emphasize --

3 CHAIRPERSON MARK SCHAD: It has to do with  
4 measuring the effectiveness of the guidelines.

5 MS. JEFFERSON: FSIS should monitor the  
6 effectiveness of the industry guidelines --

7 MS. ESKIN: And take any appropriate  
8 action --

9 MR. GIOGLIO: Okay. And take appropriate  
10 action in response to the findings of the evaluation.

11 MS. ESKIN: Including recommending  
12 revisions to the industry guidelines, or issuing it's  
13 own guidelines, or other action.

14 MR. GIOGLIO: Right. Can I say as maybe  
15 just a suggestion, that it can range from, you know,  
16 making suggestions to --

17 MS. ESKIN: Revising the guidelines.

18 MR. GIOGLIO: Up through the more formal,  
19 you know, rule making.

20 MS. ESKIN: Taking action.

21 CHAIRPERSON MARK SCHAD: Could it involve  
22 periodic reports, though? I mean, you're putting --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 aren't you putting these reports together already or  
2 not?

3 MR. GIOGLIO: No, I'm not sure what  
4 reports. I mean, it could be that we would look at it  
5 periodically.

6 CHAIRPERSON MARK SCHAD: I mean, like, Joe  
7 in the Southwest Meat Association, wants to know,  
8 Charlie, we've had these guidelines out here for six  
9 months, how we doing, is the question?

10 MS. ESKIN: Are you suggesting that we  
11 specify -- do an evaluation six months, a year after  
12 the --

13 CHAIRPERSON MARK SCHAD: I'm not sure it  
14 should be that specific, just periodically, or  
15 something. I don't want it to be, okay, industry,  
16 you've got a one shot chance at this.

17 MS. ESKIN: How about on-going, is that  
18 okay?

19 MR. GIOGLIO: Okay. On-going evaluation.  
20 That essentially is what we would do anyway. We  
21 would keep looking to make sure, hey, are they  
22 working? As long as we keep seeing improvement, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think we're happy as long as we keep seeing that  
2 improvement. See, Val was real good at writing the  
3 guidelines, but taking the dictation, she's not --  
4 She's a good staff officer, not --

5 MS. JEFFERSON: FSIS should monitor the  
6 effectiveness of the industry guidelines on an on-  
7 going basis. And take any appropriate action in  
8 response to the findings of the evaluation, including  
9 recommending revisions of the guidelines.

10 MS. ESKIN: And we can say everything  
11 from -- phraseology?

12 MR. GIOGLIO: You could say ranging from  
13 recommendations to improve the guidelines through  
14 formal regulatory action, or formal rule making, or  
15 whatever. Rather not regulatory action, because that  
16 sounds like taking action against the plant. Rule  
17 making.

18 MS. ESKIN: Or rule making.

19 MR. GIOGLIO: Right.

20 MS. JEFFERSON: FSIS should monitor the  
21 effectiveness of the industry guidelines on an on-  
22 going basis and take any appropriate action in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 response to the finding of the evaluations, ranging  
2 from recommendations and improving the guidelines and  
3 formal rule making.

4 MS. ESKIN: Yes. Is that okay?

5 DR. HARRIS: I guess that's good.

6 MR. FINNEGAN: It works.

7 CHAIRPERSON MARK SCHAD: Is that yes or  
8 no, Joe?

9 DR. HARRIS: I don't know. I just hate to  
10 attach my name to anything that mentions rule making.

11 CHAIRPERSON MARK SCHAD: Well, can we  
12 change it somehow?

13 MS. ESKIN: We can --

14 DR. HARRIS: I would prefer to leave it  
15 more open-ended. How about take more appropriate  
16 action.

17 MS. ESKIN: How about more formal agency  
18 action?

19 DR. HARRIS: That's okay.

20 MS. ESKIN: That's our word.

21 DR. HARRIS: That's right. I don't want  
22 to go on record recommending rule making.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. ESKIN: Formal Agency.

2 DR. HARRIS: Right.

3 MR. GIOGLIO: I don't think that's what  
4 you're doing. You're recommending that we continue to  
5 evaluate.

6 MS. ESKIN: Discomfort.

7 DR. HARRIS: Yeah. Seriously, because,  
8 back to the original question that started all of  
9 this, that withholding the marks of inspection, that  
10 to us was very scary stuff.

11 MS. ESKIN: Is it officially not -- At  
12 what point in the process is it attached, is it put  
13 on?

14 DR. HARRIS: Right. That's the challenge.  
15 After the industry has completed the pre-shipment  
16 review. After they have reviewed all of their --

17 MR. GIOGLIO: All the documents.

18 MS. ESKIN: For each lot.

19 DR. HARRIS: But, logistically, it's  
20 preprinted on the packaging material. So you got a  
21 situation, though, where now you've got product in the  
22 plant that very much bears the mark of inspection, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 is not inspected. That would become a huge -- that  
2 would give me the heebee geebees.

3 MS. ESKIN: Right. Because it would be in  
4 violation.

5 DR. HARRIS: Yeah, so we're very scared of  
6 that kind of an approach, so that's why I'm kind of  
7 hesitant to put that word in there.

8 MS. ESKIN: Okay.

9 CHAIRPERSON MARK SCHAD: Anybody else need  
10 a copy?

11 MR. PENNER: If you want it put on a disk,  
12 I have a disk in my little -- in case you want to have  
13 a disk with that on it to play with later.

14 MS. ESKIN: Is industry capitalized?

15 MS. JEFFERSON: No.

16 MS. MORRISSETTE: Do you have a CD?

17 MR. PENNER: There should be a cd or a  
18 disk. Either/or is in my little --

19 MR. FINNEGAN: This part, here, Department  
20 of Agriculture, that's all good, but what I was  
21 referring to was Directors of State Meat Inspection.

22 MR. GIOGLIO: Some states will be Ag

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Department and others will be Meat Inspection.

2 MS. ESKIN: Relevant state officials, is  
3 that generic? I'd defer to you, Mike.

4 MR. FINNEGAN: If we had state meat  
5 inspection, you know, we know who that is. There's 28  
6 of them, Directors of State Meat Inspection Programs.

7 MS. ESKIN: Meat Inspection Programs?

8 MR. FINNEGAN: Programs, exactly. Meat  
9 and Poultry, I guess.

10 MR. GIOGLIO: Right. Correct.

11 MS. ESKIN: A couple grammatical things in  
12 the first sentence. Review to ensure that it. I  
13 think that we should consider the guidelines plural  
14 and just say that they conform with applicable laws  
15 and policies. Then in the last sentence, the third  
16 from the last line, it says, appropriate action in  
17 response to the findings of the evaluation. I'd put a  
18 comma between evaluations and the word ranging.

19 DR. CARPENTER: And change the second from  
20 to a for.

21 MS. ESKIN: Where is the second from at?

22 CHAIRPERSON MARK SCHAD: I don't guess I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could talk you into a period after evaluations, and  
2 then just leave it at that.

3 MS. ESKIN: What was that?

4 CHAIRPERSON MARK SCHAD: I said I don't  
5 suppose I could talk you into just ending after the  
6 word evaluations?

7 MS. ESKIN: Nope. Nope. Recommendations  
8 for. It should be the second to the last sentence.  
9 From recommendations for improvement, not from. I see  
10 it.

11 DR. CARPENTER: Joe, maybe to satisfy you,  
12 in stead of formal agency actions, perceived possible  
13 formal agency actions.

14 CHAIRPERSON MARK SCHAD: I mean, bottom  
15 line is, the Agency is going to take whatever action  
16 it deems appropriate, whether we recommend that they  
17 do that or not.

18 MS. ESKIN: That is right. True, but  
19 having it there makes other stakeholders more  
20 comfortable.

21 MS. JEFFERSON: I can note your objection  
22 for the record.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON MARK SCHAD: This may be a  
2 small thing but I'm wondering, we've kind of got this  
3 bunched together and, just to make it more readable,  
4 make all of these sentences bullets.

5 MS. ESKIN: So maybe after the first  
6 heading, it should say, subcommittee recommends that  
7 industry issue its guidelines so we know what you're  
8 talking about. No, forget it.

9 CHAIRPERSON MARK SCHAD: Yeah, I think it  
10 should say industry issue.

11 DR. HARRIS: Or should or recommendation  
12 be directed specifically to the agency that we the  
13 subcommittee recommends that the Agency review the  
14 guidelines and allow them to disseminate them, and  
15 then help in evaluating their effectiveness.

16 MS. ESKIN: Not to be negative, just to  
17 say that the FSIS not issue its own regulations at  
18 this time.

19 DR. HARRIS: It's own guidelines.

20 MS. ESKIN: I meant that. I didn't say  
21 regulations, did I? Guidelines at this time, and  
22 instead review the industry -- the draft industry

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 guidelines.

2 DR. HARRIS: I mean, I hate to start  
3 rewriting this thing now, I just think our advice  
4 needs to go to the Agency since that's what our task  
5 is.

6 MS. ESKIN: No, no. Yes, you're  
7 absolutely right. Right.

8 So the subcommittee recommends that, and  
9 the first bullet is: FSIS not issue its own, I guess,  
10 hold and test guidelines at this time but, instead,  
11 review the industry guidelines to ensure that they  
12 conform with applicable laws and policies, period. I  
13 guess with the second bullet being the next sentence.

14 Does that make sense, Mark?

15 CHAIRPERSON MARK SCHAD: I'm looking for  
16 it real quick. I mean, yeah, I guess that's what I  
17 was thinking. I was thinking making each sentence a  
18 bullet.

19 MS. ESKIN: A bullet, yes.

20 MR. FINNEGAN: Right.

21 CHAIRPERSON MARK SCHAD: That's just a  
22 thing with me, make it very easy reading.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FINNEGAN: Right. You have to do the  
2 reading.

3 CHAIRPERSON MARK SCHAD: Yes.

4 MS. ESKIN: Val, if it's a bullet, you can  
5 take out the should, I think. The subcommittee  
6 recommends that the industry work with the agency to  
7 ensure widespread distribution of its guidelines.  
8 Make that clear. Especially --

9 MS. JEFFERSON: Could you repeat that real  
10 quick?

11 DR. HARRIS: Gosh, we've already worn out  
12 one reporter. Scared her completely away. She did a  
13 good job drafting the guidelines.

14 MS. ESKIN: Well, can you please reread  
15 the first bullet?

16 MS. HAXTON: The subcommittee recommends  
17 that FSIS not issue its own hold and test guidelines  
18 at this time, but instead --

19 MS. ESKIN: reviews the industry  
20 guidelines to ensure that they conform.

21 MS. HAXTON: With applicable laws and  
22 policy.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. ESKIN: Right. And then we said the  
2 second bullet --

3 DR. CARPENTER: Wait a minute. Am I the  
4 only one with a "not" in the first sentence?

5 MS. HAXTON: Should not issue its own hold  
6 and test guidelines.

7 MS. ESKIN: Okay. Then how are you going  
8 to say they shouldn't --

9 DR. CARPENTER: Consider refraining from  
10 issuing, something like that.

11 MS. ESKIN: The FSIS refrain from --

12 CHAIRPERSON MARK SCHAD: No, I think we  
13 ought to just tell them don't do that.

14 MS. ESKIN: I don't --

15 DR. HARRIS: Like I say, am I the only one  
16 that prefers the "not" in there?

17 MS. ESKIN: Issuing its own hold and test  
18 guidelines instead, is that okay?

19 MS. HAXTON: But instead use industry  
20 guidelines to ensure that they conform with applicable  
21 laws and policies.

22 MS. ESKIN: And then the next bullet would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be, I think the industry --

2 MS. HAXTON: The industry issues its  
3 guidelines after FSIS reviews them.

4 MS. ESKIN: And works with the Agency to  
5 ensure widespread distribution of the guidelines,  
6 comma, especially to small and very small plants.  
7 Then all the same bullet, this next sentence, or do  
8 you want a separate bullet?

9 CHAIRPERSON MARK SCHAD: Yeah, I think you  
10 can do it in the same bullet.

11 MS. ESKIN: The same bullet, you continue.  
12 Do you see the sentence that follows: Industry,  
13 associations, universities, EIAOs, and directors of  
14 State Meat and Poultry Inspection Programs.

15 MS. HAXTON: Okay.

16 MS. ESKIN: Should be involved in the  
17 dissemination process.

18 MS. HAXTON: Okay.

19 MS. ESKIN: And then the final bullet  
20 would be that last sentence.

21 MS. HAXTON: FSIS should monitor the  
22 effectiveness of the industry guidelines on an on-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 going basis, and take any appropriate action in  
2 response to the findings of evaluations, ranging from  
3 recommendations for improving the guidelines, to a  
4 formal Agency action.

5 MS. ESKIN: It works for me.

6 MR. FINNEGAN: Could you put a time line  
7 on this? Is that a customary thing? How do you --

8 MS. ESKIN: Meaning, in terms of --

9 MR. FINNEGAN: Three months. Is that a  
10 customary thing? I don't know.

11 MS. ESKIN: Probably not in this  
12 situation. I mean, sometimes there is a specific --

13 MR. FINNEGAN: It won't end up on a shelf  
14 anywhere because, obviously we'll be there.

15 DR. HARRIS: You know, I think in six  
16 months, I can think of examples where we have used  
17 terms like expeditiously or, you know, as soon as is  
18 feasible. I don't think we've ever suggested a  
19 specific time line.

20 MS. MORRISSETTE: We're going to badger  
21 them.

22 DR. HARRIS: We'll keep pestering them

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       until --

2                   MS. ESKIN:   That's fair.   Even when the  
3       statutes are very specific on when the government  
4       should issue guidelines in three years or two years,  
5       and the deadline passes, for practical purposes,  
6       there's really no sort of penalty.   It's really near  
7       to impossible.

8                   We'll make sure it's on all of your guys'  
9       websites once they are finalized.

10                  DR. HARRIS:   It will be.   You can count on  
11       it will be probably on the front page.

12                  MS. ESKIN:   Is there any reason why FSIS  
13       couldn't put those on their own website?

14                  MR. GIOGLIO:   No.    I'll defer to my  
15       colleague, but --

16                  MS. ESKIN:   Have they done that in the  
17       past?   Maybe just a link to --

18                  MS. CUTSHALL:   Well,   the link is  
19       problematic.

20                  MS. ESKIN:   Okay.

21                  MS. CUTSHALL:   When we do links, I know  
22       Joe is shaking his head because he knows what I'm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to say. When you link to someone else's  
2 website, it's tacit --

3 MS. ESKIN: Endorsement.

4 MS. CUTSHALL: -- endorsement of  
5 everything that's on the website.

6 MS. ESKIN: Bad idea. Okay.

7 MS. CUTSHALL: I think with something like  
8 this, if we have reviewed it and say that there is  
9 nothing in there that would conflict with our  
10 regulations, laws, policies, that certainly we would  
11 be willing to put it up on our website or the  
12 disclaimer that says this is not official.

13 DR. HARRIS: Yeah, the links to outside  
14 sites are not a good thing, usually.

15 MR. FINNEGAN: Would you e-mail this to  
16 all 7000 field officers and stuff?

17 MS. CUTSHALL: To our inspection program  
18 personnel?

19 MR. FINNEGAN: Right.

20 MS. CUTSHALL: That, I would have to talk  
21 to field operations about and see how they wanted to  
22 approach that. Now, one of the things that we do have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that is up and running now is our intranet.

2 MR. FINNEGAN: Your what?

3 MS. CUTSHALL: Our -- FSIS intranet.

4 MR. FINNEGAN: Oh, right.

5 MS. CUTSHALL: Which is an internet base  
6 for all our folks.

7 MR. FINNEGAN: Yes.

8 MS. CUTSHALL: On that intranet, part of  
9 that is a resource center, where we put up a lot of  
10 the materials that CFL has done, that we have done for  
11 small and very small plants. And that we do for the  
12 personnel without having to do a mass mailing. So I  
13 would just need to check up on that.

14 MR. FINNEGAN: Sure. Just see if we can.

15 MS. ESKIN: There's a couple of places  
16 that are duplicative. And also here, too.

17 CHAIRPERSON MARK SCHAD: You guys help me  
18 out as far as tomorrow. Do we want to state that,  
19 well, we took the four questions and put it down to  
20 one, or do we at least list all the four questions  
21 and --

22 MR. GIOGLIO: I don't think you're locked

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 into a given format for reporting now.

2 CHAIRPERSON MARK SCHAD: Okay.

3 MR. GIOGLIO: But you've addressed, I  
4 think, all of our questions.

5 MS. ESKIN: And all those questions are  
6 interwoven. They are not discrete. Sometimes they  
7 are very discrete in the reports.

8 MR. GIOGLIO: That was more, really, to  
9 get this discussion going and let you know the kind of  
10 advice we needed.

11 MS. ESKIN: Is there anything we didn't  
12 address that you felt we should have, since you're the  
13 one who asked the question?

14 MR. GIOGLIO: No, I guess the question of  
15 the focus, I guess, but I think we talked about that  
16 some, and that's probably going to come out in the  
17 evaluation process. Even before, even in the review,  
18 if we see a problem.

19 CHAIRPERSON MARK SCHAD: This is a  
20 question I have, I don't know if the rest of the  
21 subcommittee can tell me this. This has to do with  
22 this IKE scenario, which is interesting to me. Near

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the beginning of the meeting I was saying I understand  
2 the Agency's policy of the inspector encouraging and  
3 informing prior notification. I shouldn't use the  
4 word encouraging -- informing prior notification and  
5 the IKE scenario seems to work at communicating that  
6 to the inspectors.

7 MR. GIOGLIO: Correct.

8 CHAIRPERSON MARK SCHAD: I want to just  
9 ask this question of the subcommittee, do we want to  
10 comment on that? Do we want the Agency to do more of  
11 this, or do you think that's outside this discussion?

12 MR. GIOGLIO: That is outside the -- That  
13 was your recommendation -- Not your recommendation,  
14 Mark, but the subcommittee's recommendation the last  
15 time, and we did follow up on that, and that is part  
16 of --

17 CHAIRPERSON MARK SCHAD: Okay.

18 MR. GIOGLIO: That's what I was trying to  
19 get across this morning, that we took the committee's  
20 recommendation and --

21 CHAIRPERSON MARK SCHAD: I guess what I'm  
22 getting at is, we could get these industry guidelines

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 out there. Say we did a very good job of getting the  
2 industry guidelines out there, and I just wanted to  
3 make sure that the Agency kept on doing everything it  
4 could do to get the policy information out to the  
5 inspectors in the field, so that the plants say, okay,  
6 these are good guidelines, I want to follow them, but  
7 I've got this problem, here, with the inspector giving  
8 me prior notification. I wanted to make sure that the  
9 Agency kept on working very hard at that. That's why  
10 I was asking the subcommittee, do we want to say  
11 something about that or not?

12 MR. GIOGLIO: That's your call, Mark?

13 CHAIRPERSON MARK SCHAD: I guess I'm  
14 asking the question, Joe?

15 MS. ESKIN: You could draft some language  
16 and we'll look at it. That's really what it comes  
17 down to.

18 CHAIRPERSON MARK SCHAD: Do I say that the  
19 subcommittee recommends that FSIS continue its policy  
20 of communicating to the inspectors in the field the  
21 plant's right to prior notification?

22 MS. ESKIN: Again, is that a problem right

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 now?

2 CHAIRPERSON MARK SCHAD: I experience it.  
3 I experienced it just last week.

4 MS. ESKIN: Okay.

5 CHAIRPERSON MARK SCHAD: It surprised me,  
6 but it happened.

7 MR. GIOGLIO: I mean, it is our policy for  
8 prior notification. If you want to make that  
9 recommendation, I don't think that's --

10 MS. ESKIN: Well, that's not really a test  
11 and hold right.

12 MR. GIOGLIO: -- a problem.

13 MS. ESKIN: It's the test part of the test  
14 and hold part.

15 CHAIRPERSON MARK SCHAD: The only thing  
16 I'm saying is, it's related to the subject, but it's a  
17 little bit different.

18 MR. GIOGLIO: I hear what you're saying,  
19 and we know as an Agency what you're saying.

20 CHAIRPERSON MARK SCHAD: Okay. I'm not  
21 trying to bring any personal stuff in.

22 MS. ESKIN: I think I'd rather not include

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 it, because it raises a lot of other questions in my  
2 mind.

3 CHAIRPERSON MARK SCHAD: Okay.

4 MS. ESKIN: Unless anyone else feels --

5 DR. HARRIS: Well, I don't disagree with  
6 the concept. I don't know that we have to do it in  
7 this particular forum. Believe me, we feel your pain.  
8 I know others that have experienced that.

9 CHAIRPERSON MARK SCHAD: You were asking  
10 about how long it takes to get analysis, and what  
11 happened was, I did not get prior notification, and  
12 the inspector pulled a sample on Friday, which he  
13 wasn't supposed to do, so that added three more days  
14 to my holding time.

15 MS. ESKIN: That can ruin your whole day.

16 MR. FINNEGAN: What are you testing for in  
17 hams?

18 CHAIRPERSON MARK SCHAD: Listeria and  
19 salmonella.

20 MR. FINNEGAN: Is it generic listeria or  
21 LM?

22 CHAIRPERSON MARK SCHAD: LM.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. ESKIN: Are we all set?

2 MR. FINNEGAN: Now is this ready to hand  
3 over to FSIS, or are you going to review this again?

4 DR. HARRIS: I suspect FSIS already has  
5 it.

6 MS. ESKIN: Right. But do you have to  
7 formal -- does everybody have to agree amongst  
8 yourself, I guess, all those organizations?

9 DR. HARRIS: All those organizations have  
10 already reviewed and --

11 MS. ESKIN: No, no. I don't think anybody  
12 would object to FSIS as we recommended they do  
13 formally review them, or informally.

14 DR. HARRIS: No. I mean, I think we wrote  
15 those with the full anticipation that they were going  
16 to be reviewed by the Agency, and it was our desire  
17 that it be reviewed by the Agency.

18 MR. FINNEGAN: Okay. I was just wondering  
19 if we could hand it over?

20 DR. HARRIS: Yes.

21 MR. FINNEGAN: Even though they got it.

22 CHAIRPERSON MARK SCHAD: So is this in a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 form that you think is ready to hand over to FSIS now?  
2 I'm not talking about today or tomorrow, even.

3 DR. HARRIS: No, no. They have it in the  
4 form it is in right now.

5 MR. FINNEGAN: Okay. So you don't have to  
6 readjust anything in here?

7 CHAIRPERSON MARK SCHAD: There were some  
8 comments today about communicating to the small and  
9 very small business of the economic and business  
10 advantage of doing this, rather than the food safety,  
11 too.

12 DR. HARRIS: And we may do that outside  
13 the scope of the guidelines.

14 MS. ESKIN: Yes, in the promotional  
15 material.

16 DR. HARRIS: Yeah, exactly. Convincing  
17 them why they need to read the guidelines. That may  
18 be it. That may be where we --

19 CHAIRPERSON MARK SCHAD: I've been holding  
20 products for ten years, and that was my first reason,  
21 was the economic and business sense that made me do  
22 it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. ESKIN: Totally.

2 CHAIRPERSON MARK SCHAD: I'll work with  
3 Wanda because there was some rewording or repetition,  
4 here, and --

5 MS. ESKIN: Well, I just gave her my  
6 revisions and Charlie did, too.

7 CHAIRPERSON MARK SCHAD: Oh, okay.

8 MS. ESKIN: Just a couple commas, and  
9 there was a repetition in the second paragraph and at  
10 the end. Otherwise, it was fine.

11 CHAIRPERSON MARK SCHAD: Everybody on the  
12 subcommittee is happy with it?

13 DR. HARRIS: Yes.

14 MS. ESKIN: You don't have to say wildly  
15 happy, you can just say happy.

16 CHAIRPERSON MARK SCHAD: We got the R word  
17 out of there.

18 DR. HARRIS: Yes.

19 CHAIRPERSON MARK SCHAD: Joe's like,  
20 please step away from the "R" word.

21 MR. FINNEGAN: I agree, and I'm a  
22 regulator. I have no problem with that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. GIOGLIO: And Wanda was the one  
2 writing, so --

3 MS. HAXTON: Yes. Anything that goes into  
4 the Federal Register, I'm in the office that writes  
5 it, so -- and this is one of my topics.

6 MS. ESKIN: All right. So we are back in  
7 at 8:30 tomorrow morning?

8 MS. HAXTON: Correct.

9 CHAIRPERSON MARK SCHAD: Thank you, very  
10 much.

11 DR. CARPENTER: You make a nice  
12 presentation.

13 CHAIRPERSON MARK SCHAD: If anybody thinks  
14 of anything between now and tomorrow morning. Let me  
15 know if you think of something while you're drinking a  
16 beer or something.

17 MS. ESKIN: Because that's the first thing  
18 that comes to mind, food safety.

19 CHAIRPERSON MARK SCHAD: Okay.

20 (4:32:22 p.m. -- off the record.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701