

**UNITED STATES  
DEPARTMENT OF AGRICULTURE**

---

In the Matter of:	)	
	)	Docket No.: 99-020N
NATIONAL ADVISORY COMMITTEE	)	
ON MEAT AND POULTRY	)	
INSPECTION MEETING	)	
	)	
	)	
	)	
	)	
	)	
	)	

Pages: 221 through 441  
Place: Arlington, VA  
Date: May 6, 1999

---

**HERITAGE REPORTING CORPORATION**  
*Official Reporters*  
1220 L Street, N.W., Suite 600  
Washington, D.C. 20005-4018  
(202) 628-4888  
hrc@concentric.net

THE UNITED STATES DEPARTMENT OF AGRICULTURE  
FOOD SAFETY AND INSPECTION SERVICE  
NATIONAL ADVISORY COMMITTEE ON  
MEAT AND POULTRY INSPECTION

In the Matter of: )  
 )  
NATIONAL ADVISORY COMMITTEE ) Docket No.: 99-020N  
ON MEAT AND POULTRY )  
INSPECTION MEETING )  
 )  
 )  
 )  
 )  
 )  
 )  
 )

Thursday,  
May 6, 1999  
Quality Hotel & Suites  
Courthouse Plaza  
Jefferson Room  
Arlington, VA

The meeting in the above-entitled matter was  
convened, pursuant to Notice, at 8:35 a.m.

BEFORE: MARGARET O.K. GLAVIN  
Chair

## APPEARANCES:

Jeanne Axtell  
Terry Burkhardt  
Nelson Clinche  
Mary Clutshall  
Charles Danner  
Dr. James Denton  
Philip Derfler  
Caroline Smith DeWaal  
Nancy Donley  
Carol Tucker Foreman  
Cheryl Green  
Kathleen Hanigan  
Dr. Alice Hurlbert  
Dr. Lee Jan  
Collette Schultz Kaster  
Peter Kuhmerker  
Dr. Daniel LaFontaine  
Loren Lange  
Michael Mamminga  
Micheal Micchelli  
Geri Ransom  
Judith Riggins  
Bill Smith  
Dr. Kaye Wachsmuth  
Dr. Catherine Woteki

1                                   P R O C E E D I N G S

2                   MS. GLAVIN:  Hi, I am Margaret O. K. Glavin.  I am  
3 here in place of Tom Billy.  Tom is not feeling well today,  
4 so he asked me to substitute for him.  And so if you don't  
5 mind, we'll go ahead and get started.  Mike needs to do a  
6 few little housekeeping chores.

7                   MR. MICCHELLI:  Good morning.  My name is Mike  
8 Micchelli.  I'm the coordinator for the meeting today.  It's  
9 the National Advisory Committee on Meat and Poultry  
10 Inspections.  Welcome.

11                   Myself and Cheryl Green are here to help you.  You  
12 can approach us, great.  If you have any questions or  
13 concerns, things you need, just please let us know.

14                   Just a few administrative details before I turn it  
15 over to our chairperson, Maggie Glavin, who's the Associate  
16 Administrator of FSIS, as well, is that if you haven't  
17 registered, even though you registered yesterday, we need  
18 you to reregister today.  You don't have to do it right now,  
19 but at break just please go back and register.

20                   Also, if you've drive, you'll need to reput down  
21 your -- they keep track every day -- your license plate  
22 numbers so they don't give you a ticket.  The parking is  
23 free, but at break you can go back and just register your  
24 car.

25                   If you're planning to make a public comment, there

1 is a public comment period at the end of the session.  
2 You'll need to register to do that. If you don't want to do  
3 that right now or at break, but you decide maybe at noon or  
4 whatever, you can register all up -- right to the last  
5 minute. Okay? And so, about a half an hour before the  
6 public comment period.

7 We do have a phone message board that you can  
8 receive incoming calls. There are public phones where you  
9 can make outplacement calls located on each of the floors.  
10 One is right outside the Washington Room, but you can  
11 receive a call and get a message, and we'll put it on that  
12 message board. And some of you yesterday did something  
13 innovative, you wanted to meet people without the phone, so  
14 you left them a message, "Please meet me here at a certain  
15 time." So, you can write those messages on there, too, if  
16 you'd like. Whatever you make use of that.

17 The phone number, and I'll speak slowly, and  
18 that's also on the message board if you don't want to take  
19 it down right now is (703) 524-4726. And I'll repeat that,  
20 (703) 524-4726.

21 The restrooms are straight back. There's a mens  
22 and ladies' room straight back and to your left. There's  
23 also restrooms on each of the floors, as well, if that's  
24 busy.

25 Now, if you're curious about what this is, this is

1 a yellow line. This is our just attempt -- we're not trying  
2 to keep you, you know, like separation. We like to be  
3 commingled because you're very valuable, and we really  
4 appreciate your being here, but during the meeting and  
5 during breaks if you could wait for the Committee members to  
6 cross this yellow line before you talk to them or talk to  
7 the Administrator or the chair people, that would really  
8 keep a little semblance of order up here at this side of the  
9 yellow line. We're more concerned about our side than your  
10 side.

11 So, with that, I'd like to turn it over to the  
12 chairperson, Maggie Glavin, who's the Associate  
13 Administrator of the Food Safety and Inspection Service.  
14 Thank you.

15 MS. GLAVIN: Okay. Thank you. I'm glad to have  
16 the explanation. First of all, Tom called and said after  
17 one day with this group he was sick, and then I come in and  
18 I see this chain, and I thought, "God, what kind of an  
19 audience do we have? What did they do?" I'm a little  
20 nervous now.

21 In any case, since there are some new members, and  
22 I was unfortunately not here yesterday, I would be grateful  
23 if we would go around and just do a quick introduction  
24 again, if that's okay. And I'm Maggie Glavin. I'm  
25 Associate Administrator of FSIS.

1 MS. GREEN: I'm Cheryl Green. I assist Micheal  
2 Micchelli on the National Advisory Committee.

3 DR. WACHSMUTH: Kaye Wachsmuth, Deputy  
4 Administrator for the Office of Food and Nutrition.

5 MS. RANSOM: Gerri Ransom, Office of Public Health  
6 and Science, Microbiology Division.

7 MS. AXTELL: Jeanne Axtell, Office of Field  
8 Operations.

9 MS. DEWAAL: Caroline Smith DeWaal, Director,  
10 Center for Science in the Public Interest, Member of the  
11 Committee.

12 MS. KASTER: Collette Schultz Kaster, Director of  
13 Food Safety & Technical Services with Premium Standard  
14 Farms.

15 MR. BURKHARDT: Terry Burkhardt, Director of the  
16 Wisconsin State Inspection Program.

17 DR. HULBERT: Alice Johnson with the National  
18 Turkey Foundation.

19 DR. JAN: Lee Jan, Director of the Texas Meat and  
20 Poultry Inspection Program.

21 MS. HANIGAN: Katie Hanigan with Farmland Foods.  
22 I'm the manager of food safety and a new member to the  
23 Committee.

24 MR. LAFONTAINE: Dan LaFontaine, South Carolina  
25 Meat and Poultry Inspection Department.

1 MS. DONLEY: Nancy Donley, Safe Table's Our  
2 Priority.

3 MR. DENTON: Jim Denton, Poultry Center and  
4 Department Head in Poultry Science at University of  
5 Arkansas.

6 MR. MAMMINGA: Mike Mamminga with the Iowa  
7 Department of Agriculture, Director of Meat and Poultry  
8 Inspection.

9 MS. GLAVIN: Thank you for doing that. There are  
10 a few faces I was not familiar with.

11 I gather our agenda for this morning is reporting  
12 back from the subcommittees. The first subcommittee to  
13 report back is the one that dealt with the qualifications of  
14 personnel conducting HACCP tests and developments in the  
15 campy program.

16 So, Katie, is the report?

17 MS. HANIGAN: Basically, last night we were  
18 allotted two hours, and I'll just quickly go through how we  
19 did it. We spent -- we allotted one hour for each topic.  
20 The first 15 minutes was for the Committee to ask for  
21 additional background information. And then we had a half  
22 hour of discussion, and we tried to wind it up in the last  
23 15 minutes of our meeting.

24 So, starting with the inspection methods, if you  
25 will, subcommittee, qualifications of personnel, we had a



1 good discussion and we appreciate the Agency's forward  
2 thinking as to qualifications of FSIS staff members in the  
3 future. I thought Jeanne did an outstanding job of  
4 explaining what the thought process was and where we were  
5 going. We had good discussion. And we were specifically  
6 asked to look at the disciplines that should be considered  
7 as qualifications for the inspectors. Specifically now, the  
8 GS-696 series, which is the consumer safety officer.

9           So, the Committee reached the agreement that based  
10 on the information given, we thought they should not allow  
11 or exclude engineering and computer science programming  
12 degrees as initial qualifications. We questioned that.

13           And we also found that they should add some other  
14 academic backgrounds. And we do have a few pen-and-ink  
15 changes here, and I'll go through as we get to them.

16           Anatomy is one. Biological and agricultural  
17 engineering. And then food science and animal science. We  
18 did discuss both of those with processing options. And this  
19 is where we have a pen-and-ink change. We realize that  
20 that's probably not -- processing option is probably not  
21 available at all the universities. We said it'd be helpful,  
22 but not mandatory. So, we'd like it to show food science,  
23 animal science and poultry science was included on our flip  
24 chart. And we inadvertently left it off this list, sanitary  
25 science and public health.

1           The Committee also agreed that basic knowledge of  
2     statistical process control and microbiology are essential  
3     and relevant to the work of the agency. Training or course  
4     work in SPC and micro may be required, and they can either  
5     have this formally at a university prior to employment or  
6     once employed, they can gain this knowledge after employment  
7     through additional university courses, et cetera.

8           The subcommittee strongly believes that  
9     interpersonal relations skills are essential, and that the  
10    agency should determine how internal and external candidates  
11    possess these skills through interviews and other  
12    appropriate means. Much discussion last night did focus on  
13    inspectors' ability or inability to communicate with the  
14    plant.

15           So, those were the two things we were asked to do.  
16    And I know I'm allotted time until 10:30 this morning. So,  
17    I just wanted to open it immediately to the Committee  
18    members that were part of this subcommittee, and ask if this  
19    report is correct or if there's any additional changes  
20    before we open it to the full Committee at the table.

21           So, Jim, is this correct as reported?

22           MR. DENTON: I think that's essentially correct.  
23    Most of our programs at the University of Arkansas, whether  
24    they be food science, animal science or poultry science are  
25    very heavily based in the biological sciences, chemistry and

1 microbiology types of course work. I feel like that any of  
2 those disciplines would have the same type of training  
3 across the country.

4 We do have a little bit of a unique situation  
5 where we are because we have processing options within the  
6 two commodity departments of animal science and poultry  
7 science, which provide even more focus within the processing  
8 sector. Biological and agricultural engineering I think has  
9 enough biological science in their curriculum that they can  
10 deal with this. They are also well trained in statistics as  
11 are the food scientists. And I think that that's a real key  
12 element. That's addressing the young people that are coming  
13 out of the program right now that would make the new part of  
14 the workforce.

15 With regard to the existing workforce, I think  
16 that there's an awful lot of well-trained people based on  
17 the comments that I heard Jeanne provide last night. I  
18 think there may be some catch-up work to be done on the  
19 statistics and the statistical process control issues, but  
20 that should be relatively straightforward and fairly easy to  
21 accomplish.

22 MS. HANIGAN: Thank you. Alice, comments on this  
23 qualifications?

24 DR. HULBERT: No. Katie, I think you and Dr.  
25 Denton have done a good job representing what the Committee

1 said. Thank you.

2 MS. HANIGAN: Okay. Terry, comments from you?

3 MR. BURKHARDT: Oh, I agree. That's fine.

4 MS. HANIGAN: Okay. Caroline?

5 MS. DEWAAL: I agree, too.

6 MS. HANIGAN: If it's okay with you, Maggie, we'll  
7 move on then.

8 MS. GLAVIN: Do you want to do both your topics,  
9 or do you want to have discussion on this one?

10 MS. HANIGAN: Why don't we do general discussion  
11 on this one -- that's a good point -- from other Committee  
12 members?

13 DR. JAN: I would just have one question. When I  
14 was looking at the report, I had the sense that you were  
15 looking for a degree in these areas. By looking at the OPM,  
16 it seems that a specific degree is not required, just 30  
17 hours in those particular areas. Is that where this is  
18 going?

19 MS. AXTELL: The way the discussion was going last  
20 night, we were looking at these as both disciplines that  
21 resulted in degrees or coursework coming out of these  
22 disciplines and field. So, it would be -- it was my  
23 understanding the subcommittee was approaching this from the  
24 standpoint that coursework in these areas would be  
25 creditable under the OPM standard. And that was the advice

1 being put forward.

2 DR. JAN: Okay. The reason I brought that up is  
3 if we were looking at disciplines, there's a discipline that  
4 I don't know how many universities have it, but it's fairly  
5 new, biomedical science, that would fit right here. But if  
6 you look at just the hours, then I think biomedical science  
7 degree would qualify. So, that's the reason I was asking  
8 that question.

9 MS. HANIGAN: Okay. Other questions?

10 MS. GLAVIN: Are you proposing adding biomedical  
11 science here?

12 DR. JAN: As long as it's not specifically  
13 required, these degrees, I think the courses taken through  
14 that curriculum would fit the bill.

15 MS. HANIGAN: One other comment on that, the  
16 Committee did have a comment that we felt the agency had  
17 some qualified people out in the field now and did not want  
18 to rule any of those people out because perhaps they had  
19 been or received their college education 10 or 20 years ago  
20 maybe when some of these degrees were not available. So, we  
21 were trying to be careful as to not ruling in or ruling out  
22 too many.

23 MS. GLAVIN: It sounds like -- I'm sorry. Dan?

24 DR. LAFONTAINE: There's a related issue that was  
25 brought up yesterday, Maggie, that I want to bring up now

1 that relates to this. As you see, the title talks about  
2 qualifications for industry and FSIS individuals. And what  
3 I -- to briefly repeat what I said yesterday, this  
4 particular scenario did not deal with the industry  
5 qualifications. And I was talking primarily about the whole  
6 business of the inspection -- HACCP-based inspection models.

7           There was a sense -- well, my recommendation was  
8 that since it had not been addressed in the FSIS briefing  
9 that it be tabled, and that part of it be deferred to the  
10 next meeting. So, I'd like to -- I wanted to bring that up  
11 in a more formal way and see if the Committee agrees with  
12 me, so we can plan on that for the next meeting, if  
13 appropriate.

14           MS. GLAVIN: Reactions?

15           MS. HANIGAN: I think it's probably a -- Katie  
16 Hanigan at Farmland. I think it's a subject that needs to  
17 be addressed. And I wasn't sure, Maggie, when we bring up  
18 some of these issues because we also talked about the  
19 original report that was submitted by Dale Allan to this  
20 Committee. It had seven parts. And qualifications of FSIS  
21 employees was only part one. So, I put on the table as  
22 well, when and how do we address the other six pieces of  
23 that report that was presented to this Committee in  
24 November?

25           MS. GLAVIN: Well, that's really the Committee --

1 we look to you all for guidance on the issues that you think  
2 are good for you to take up. Can you sort of go through  
3 this, or have you done this yesterday, so that the other  
4 members know what the seven parts are, or do they know?

5 MS. HANIGAN: Yes. The letter was dated September  
6 11 from Dale Allan. And the title of the report was "FSIS  
7 Field Execution Task Force Report." And I received this as  
8 my packet from Mike in preparation for this meeting.

9 MS. GLAVIN: Okay. So, everyone has it if it was  
10 in the packet?

11 MS. HANIGAN: Right.

12 MS. DEWAAL: Let me just clarify. This is from  
13 AMI.

14 MS. HANIGAN: Yes, yes. And the seven areas that  
15 were identified as needing to be addressed to further  
16 enhance HACCP in the field was qualification and  
17 certification of inspectors, performance measures for the  
18 inspectors, personnel management, FSIS training. And I  
19 think that would dovetail well in with employee training by  
20 the industry. Communication, interaction of field  
21 operations and compliance, and protocol for inspection  
22 methods.

23 I think some of those subjects do dovetail in well  
24 with what Dan is asking.

25 MS. GLAVIN: Well, my memory from the last meeting

1 was that one of the -- was very much what Dan just  
2 expressed. And that is that the question first arose with  
3 respect to the inspection models project as to what  
4 qualifications industry personnel ought to have as they  
5 begin to take on certain responsibilities under the models  
6 project. Then, it was broadened out to, "Well, if we're  
7 talking about industry qualifications, what about inspector  
8 qualifications?" So, that was the sort of the two emphases  
9 in the original issue.

10 I do think a discussion on your consideration of  
11 industry qualifications with respect to the models project  
12 would be very useful. Those of you who've been following  
13 our work with Australia in terms of the project they are  
14 hoping to inaugurate with respect to beef slaughter, know  
15 that they, in fact, have some positive educational  
16 requirements for the industry. And I think they go so far  
17 as to certify industry employees, or at least that's their  
18 proposal. I don't think they've moved in that direction  
19 yet.

20 And I think that's one of the ways in which this  
21 question arose as we move through the models projects and  
22 see the plant taking on responsibility for certain things,  
23 should there be standards, requirements? If so, what should  
24 they be?

25 Do you want to spend a few minutes here discussing



1 that so that we can really flush it out for a fuller  
2 discussion at the next meeting?

3 DR. HURLBERT: Maggie, I'd like to postpone this  
4 discussion until the next meeting. And maybe it would be  
5 possible to be briefed at the next meeting by some of the  
6 plants that are in the models project and see where they're  
7 headed and what type of training they've given the  
8 inspectors, their people, and what they feel they should  
9 give the people who will be performing the work. I'd like  
10 to hear what's going on where they are right now.

11 MS. GLAVIN: Lee?

12 DR. JAN: I think we'll also need a little more  
13 information from FSIS as to what extent the industry is  
14 going to be involved in sorting and then reporting livestock  
15 that were not eligible for slaughter. Are they going to be  
16 -- are they going to just not report those, or are they  
17 going to be reporting those to some statistical as not  
18 acceptable because of some disease? That'll make a  
19 difference whether they need to be -- what type of training  
20 or formal education they need.

21 MS. GLAVIN: Okay.

22 DR. JAN: Basically, there, they'll be making  
23 diagnoses. They need to be qualified to diagnose the  
24 disease.

25 MS. GLAVIN: Right. Well, certainly, any decision

1 on either passing or condemning will be made an FSIS  
2 employee. But you're right. When you get into sorting,  
3 some things may not -- some things may be sorted out and so  
4 never come to the FSIS inspector. And so, that's a very  
5 good point because our animal disease reporting system is  
6 very important.

7 MS. HANIGAN: Perhaps also, in addition what Alice  
8 is requesting, at those plants that are working under the  
9 inspection models, I'd like to know the qualifications of  
10 the FSIS employees, formal education and experience, years  
11 in the industry, that currently hold those jobs. I think  
12 that would be a good comparison.

13 DR. HURLBERT: Maggie, it'd also be interesting  
14 either through a paper that Mike or Cheryl could send out  
15 beforehand to have a little bit better understanding of what  
16 they're doing in Australia and what type of training  
17 programs they're looking at.

18 MS. GLAVIN: Yes. I think we'll do that in  
19 writing because we have a very full description. In fact,  
20 you can get it off the Australian Web page if you want to  
21 see it ahead of time. They have a very full description of  
22 their program, which includes their requirements.

23 Nancy?

24 MS. DONLEY: I would like to ask the subcommittee  
25 if any discussion was about having continuing education

1 and/or certification requirements for this new GS -- what  
2 level is it? The 696 series?

3 MS. GLAVIN: Go ahead, Alice.

4 DR. HURLBERT: Nancy, we had talked about that in  
5 the open Committee, and when we got into the subcommittee,  
6 we focused basically on the task at hand, which didn't allow  
7 us to explore that area. But I think with what Katie is  
8 proposing we look at next meeting with -- you know, go in  
9 more depth on inspector and industry training, that there  
10 are some issues that we can discuss on continuing education,  
11 certification, things like that.

12 MS. DONLEY: So, you wanted to reserve that then  
13 for specifically the pilot model?

14 DR. HURLBERT: No, not necessarily.

15 MS. DONLEY: Discussion?

16 DR. HURLBERT: I think that -- you guys help me  
17 out on this, but I think what we decided last night was that  
18 that was not part of the Committee's charge.

19 MS. HANIGAN: That's correct. We did say very  
20 much on task -- tried not to get into performance measures,  
21 et cetera, but I do think it needs to be addressed so that  
22 both industry and Agency employees basically possess the  
23 same skills, knowledge level. And I think there needs to be  
24 degree of performance. How do you measure the performance  
25 of the industry employee? How do you measure the

1 performance of the Agency employee?

2 DR. DENTON: The only comment that I recall from  
3 last night's discussion in which we touched on the issue of  
4 continuing education was very specific to the statistical  
5 process control and statistics issue. And that was  
6 addressed primarily to meet part of what was anticipated  
7 being the requirements of the position.

8 Now, we did not get into the discussion at all  
9 with regard to certification. I think we're going to have  
10 to deal with that at a separate time.

11 MS. GLAVIN: I think we've got a couple of things  
12 going here, and they, obviously, are intertwined. But they  
13 also somewhat separate. One is where this topic came from,  
14 which was the qualifications in the models plants, which is  
15 talking about the qualifications in slaughter plants that  
16 are moving to a new type of inspection. So, that's sort one  
17 set of things.

18 I think the subcommittee moved to not only those  
19 qualifications, but the qualifications because talking about  
20 696 series of our workforce of the future generally in a  
21 HACCP environment. And at this stage, those are a little  
22 bit different topics.

23 Caroline?

24 MS. DEWAAL: I just want to express one concern  
25 that I had that was slightly off task, and Katie really

1 cracked the whip on our group, but very successfully, I  
2 might add. But the vision of moving to the 696 position is  
3 very similar to the FDA inspector positions. And those  
4 inspectors see the insides of plants less and less. They  
5 spend a lot of time doing investigations of foodborne  
6 illness outbreaks. They check labels. They do lots of  
7 things other than actually inspect food plants.

8           So, I was cautioning the Department while I  
9 generally like the 696 qualifications, that I think the job  
10 description should be modified to make sure these folks are  
11 staying inside the plant doing a job that makes sense there.

12       I mean, they can also be doing in distribution and other  
13 things, as well, but sometimes if you create a job  
14 description that's too far afield, they end up out in the  
15 field all the time and not inside a plant doing inspections.

16       So, that was one caution I had.

17           The other thing, looking at this issue of  
18 certification and also on Dale's list, performance measures  
19 for inspectors, I think that we do need to look at issues of  
20 how to -- once classifications are made, how to continue to  
21 evaluate those employees to make sure they're doing the jobs  
22 that the Agency needs them to be doing. And that might be  
23 part of the agenda for the next meeting.

24           MS. DONLEY: Nancy Donley, STOP. Just so, because  
25 I, too, was kind of grappling here. This paper, your

1 recommendations taken off of what was the chart or here  
2 taken says, "Qualification of FSIS inspectors and industry  
3 personnel in HACCP establishments." Is this something right  
4 now -- really, it sounds like what was really being  
5 discussed is today's environment with FSIS inspectors doing  
6 all the inspection tasks. So, really -- this really  
7 pertains just to FSIS inspectors, and not company plant --  
8 industry plant personnel right now.

9 So, my suggestion would be is that we just -- we  
10 rename this so we don't create confusion and just call it  
11 qualifications for FSIS inspectors.

12 MS. HANIGAN: I think that's a good point, Nancy.  
13 And to be even more clear, we were specifically talking  
14 about the GS-696 position, which doesn't even exist. So,  
15 these qualifications that we were asked to address last  
16 night were 696 consumer safety officer. We never did talk  
17 about qualifications of industry personnel ever.

18 MS. DEWAAL: We would have needed another 15  
19 minutes for that, right?

20 MS. HANIGAN: Or an hour.

21 MS. GLAVIN: Yes. Dan?

22 DR. LAFONTAINE: To pare back with what you said,  
23 my recommendation to the full committee is at the next  
24 meeting, we have two topics. One of them is qualifications  
25 of FSIS inspectors in a HACCP environment. And that would

1 be a follow-on to the efforts that were started today or  
2 yesterday and today.

3 Then, the second topic which should be considered  
4 by the same subcommittee because they're -- they are  
5 interrelated would be minimum qualifications of industry  
6 personnel and HACCP-based inspection model plants. That way  
7 there's hopefully a clear focus of the two tasks at hand and  
8 they're doable.

9 MS. GLAVIN: I believe we have some time on the  
10 agenda later today what the topics will be next?

11 MR. MICCHELLI: Yes.

12 MS. GLAVIN: Okay. So, I know Mike has taken good  
13 notes on those two suggestions, and I suggest that we circle  
14 back to that at that time.

15 Are there sort of other proposals that people want  
16 to get up either to further elucidate what Dan has put on  
17 the table or maybe as an other option? Caroline, you had  
18 another comment?

19 MS. DEWAAL: I just -- and maybe I've been doing  
20 this too long and remember the battle over seafood HACCP,  
21 but one of the issues particularly with the small plants is,  
22 as we require certification -- HACCP certification of  
23 in-plant workers -- it becomes an expensive proposition.  
24 And for most -- I mean, for a lot of the industry, they can  
25 clearly afford it. I know, for example, in the seafood

1 industry, this was rather controversial. So, I am just  
2 raising it.

3 It's wonderful to envision a system where everyone  
4 is HACCP-certified or you have at least one HACCP-certified  
5 person in every plant. The vision and the reality are hard  
6 to meet sometimes. So, I'm just thinking of that.

7 MS. GLAVIN: Katie?

8 MS. HANIGAN: Maggie, just for the record, I want  
9 to make sure we're changing the title of this document that  
10 we have. And it will be titled, "Qualifications of Consumer  
11 Safety Officer (GS-696)." So, we're all in agreement as to  
12 what this was.

13 MS. GLAVIN: Okay.

14 MS. HANIGAN: Thank you.

15 MS. GLAVIN: Katie, Mike's telling me that if you  
16 would give him the edited copy, he'll have it redone and  
17 recirculated to the Committee because sometimes, at least  
18 for me, when I hear it, I think I understand it. When I see  
19 it, I'm not so sure.

20 DR. HURLBERT: So, Katie, we're taking out  
21 "Qualifications of FSIS Inspector (Consumer Safety Officer)  
22 696 Series?" Is that right? We're taking out anything to  
23 do with the industry?

24 MS. HANIGAN: That is correct.

25 DR. HURLBERT: Good, thank you.



1 MS. GLAVIN: Okay. So, we'll get a redone version  
2 of this, which includes the pen-and-ink changes you  
3 mentioned earlier and this change in title, and everybody  
4 take a look at it and make sure it is what you think you're  
5 agreeing to.

6 MS. HANIGAN: Okay. The next subject that our  
7 subcommittee addressed was campylobacter, and very much ran  
8 this the same way. We had 15 minutes of background and  
9 appreciated the work that Gerri did on regoing through the  
10 background with us. We had a half-hour of discussion.  
11 Then, we wrapped this up as far as recommendations. And  
12 they're handing out our recommendations. And this is what  
13 the subcommittee arrived at.

14 After looking at the data, we concluded that FSIS'  
15 data is incomplete to conclude the value of campylobacter  
16 prevalence being used to establish the performance standard.  
17 And we did agree that the prevalence data appears unlikely  
18 to be used.

19 We also spoke about the risk assessment, and that  
20 it is in the planning stages and need to evaluate sources  
21 along the farm -- from farm to table, intervention  
22 placement, and as well as infectious dose.

23 It was so noted in this Committee that the  
24 committee felt the salmonella performance standard was  
25 established prior to a risk assessment being done.

1           And the subcommittee supports the Agency's  
2           direction to establish the campylobacter performance  
3           standard including evaluating methodology, completing the  
4           baseline study and initiating the risk assessment.

5           This Committee is requesting that the micro  
6           committee evaluate and recommend back to us the options for  
7           defining campylobacter performance standard, whether it be  
8           quantitative versus qualitative, and alternatives to a  
9           campylobacter performance standard. That accomplishes the  
10          same public health objective.

11          And as you can imagine, this was a very healthy  
12          discussion last night. And we are looking for direction  
13          from the micro committee in their May meeting back to our  
14          committee.

15          At this time, I'll open it to subcommittee members  
16          only, and I'll start with Caroline at this time. Comments  
17          on this for accuracy?

18          MS. DEWAAL: It looks highly accurate the way I  
19          remember it at 9:15 last night. And I think the discussion  
20          was very good on this topic.

21          I think that nobody's completely happy with it.  
22          There are things that I would have liked to see, mostly not  
23          in here, and things that I think others on the subcommittee  
24          also were not completely happy with, but it is definitely a  
25          consensus document.

1           And I also just want to note, I think that the --  
2 Alice's representation of the turkey industry was very  
3 healthy and very good. And they clearly want to be leaders.

4       She was very concerned that nobody come away with the  
5 impression that they don't support the right public health  
6 answer here. And I understand that.

7           But I think overall, that these conclusions are  
8 good.

9           MS. GLAVIN: Okay. Terry?

10          MR. BURKHARDT: Katie, do you want to define  
11 healthy for us? I'm just joking. It was a very good  
12 discussion. We are all very supportive of the efforts the  
13 agency is taking in this area. All concerned about the food  
14 safety implications. We think we're on the right direction.

15          MS. HANIGAN: Alice?

16          DR. HURLBERT: I ought to fool you all and not say  
17 anything, but I'm not going to do that. Caroline, thank  
18 you.

19               My only concern with the wording, and we discussed  
20 this last night, with the definition of performance  
21 standards as we defined it in the subcommittee. And in  
22 driving home last night, I got to thinking about the micro  
23 committee green book, and I think the definition of  
24 performance standard is a little different there.

25               I want to be sure that we define performance

1 standard in the subcommittee as we know it in the HACCP rule  
2 that would indicate Agency testing. And I don't want to --  
3 and I think Caroline -- we all talked about it. We want to  
4 be sure that the micro committee understands we want  
5 scientific assessment. And however the need for testing is  
6 evaluated based on the information from the risk assessment,  
7 then that's what our performance measure for public health  
8 should be.

9           And we don't want to lock in that it has to be an  
10 Agency testing program just for the sake of testing. We'd  
11 like to test and have any testing be meaningful. And I  
12 think that's up to the micro committee to give us direction  
13 on that. And we did have fun last night.

14           MS. HANIGAN: Jim?

15           DR. DENTON: Thank you, Katie. I, too, applaud  
16 the Agency's willingness to deal with the campylobacter  
17 issue head on with regard to improving our public health  
18 situation. I'm a little bit concerned with regard to the  
19 language that's in here. And I realize part of this is part  
20 of the consensus building process, but I'm afraid that we've  
21 contradicted ourself just a bit by making the statement that  
22 we support the Agency's direction to establish campylobacter  
23 performance standards.

24           And then, later in the same discussion, we request  
25 input from the National Advisory Committee for

1 Microbiological Criteria with regard to defining  
2 alternatives to campylobacter performance standards that  
3 accomplish the same public health objective. I think that  
4 we probably need to state this as a public health objective  
5 and not restrict the Agency with regard to the approach that  
6 is taken in meeting that public health objective.

7           Simply stated, it's an issue that I deal with  
8 every day in the research environment. All I want the  
9 sponsoring agency to do or sponsoring company is to tell me  
10 what they want with regard to the objective, but don't  
11 restrict us in how we approach dealing with that particular  
12 issue. And I think we need to leave that open so that the  
13 Agency can explore all the potential options that we have  
14 from dealing with campylobacter in the public health  
15 framework.

16           MS. HANIGAN: I guess then I'm going to refer your  
17 question to someone at the Agency. Based on how we've  
18 worded this, have we restricted ourselves? And that was a  
19 healthy part of last night's conversation.

20           MS. GLAVIN: Okay.

21           DR. WACHSMUTH: Quite the contrary. It doesn't  
22 look very restrictive at all to me. So, I'm suggesting that  
23 you support our evaluation of the performance standard as  
24 we're beginning to look at it with a new baseline and things  
25 like that. Yet, you're also looking for any alternative

1 that would achieve the same public health objective. I  
2 think that's -- you know, those things are compatible. And  
3 I think the micro committee would love to deal with  
4 something like this. I think they'll enjoy it.

5 MS. GLAVIN: A question I have is in the final  
6 paragraph, you say: "The subcommittee request, the full  
7 Committee to evaluate and recommend back to the micro  
8 committee the options." What are you recommending back?  
9 What you are evaluating to the micro committee?

10 MS. DEWAAL: We're asking the micro committee to  
11 report back to us.

12 MS. GLAVIN: To you? Okay.

13 MS. DEWAAL: Yes.

14 MS. GLAVIN: I have the acronyms backwards. Thank  
15 you. Thank you.

16 MS. HANIGAN: We're clearly looking for direction  
17 from the scientists and the microbiologists in this field.

18 MS. GLAVIN: Okay. Jim, were you going to offer a  
19 changed wording?

20 DR. DENTON: I just object to I think the word  
21 establish performance standards. What we really are in the  
22 process of doing is evaluating campylobacter performance  
23 standards and any other option that we have available to us  
24 for meeting that stated public health objective. So, rather  
25 than establish, I think evaluate.

1 MS. GLAVIN: Well, I think Gerri in her  
2 presentation talked about evaluating the need for the  
3 performance standard.

4 DR DENTON: I agree. I'm just thinking about that  
5 being consistent with what the direction that the agency's  
6 going. Maybe it's not as significant to anyone else as it  
7 was to me. I woke up three times last night thinking about  
8 this.

9 MS. HANIGAN: Well, let me put it out to the  
10 subcommittee that was there last night. If I understand,  
11 Jim, you're requesting that we change the wording, and I am,  
12 second paragraph from the bottom, to say, "The subcommittee  
13 supports the Agency's direction to evaluate?"

14 DR. DENTON: Evaluate.

15 MS. HANIGAN: "The need for a campylobacter  
16 performance standard including," is that what you're  
17 recommending?

18 DR. DENTON: Yes.

19 MS. HANIGAN: Okay. Caroline, I guess --

20 MS. DEWAAL: Say that again. Sorry.

21 MS. HANIGAN: Okay. "The subcommittee supports  
22 the Agency's direction to evaluate the need for a  
23 campylobacter performance standard including evaluating  
24 methodology, completing the baseline study and initiating  
25 risk assessment," is what I've just penciled in here.

1 DR. DENTON: I think that's exactly what we're  
2 trying to do.

3 MS. DEWAAL: Including -- so, you don't change  
4 one, two, or three?

5 MS. HANIGAN: No, I take out the word "establish  
6 campylobacter."

7 MS. DEWAAL: And say, "Evaluate the need for."

8 MS. HANIGAN: For.

9 MS. DEWAAL: I'm just looking also at what Dr.  
10 Morse said. Yeah, he said, "Committee should" -- because  
11 he's kind of our real public health official on the  
12 subcommittee. And he said the Committee should support  
13 adding a performance standard, taking as a goal to help  
14 reduce illness due to this leading to foodborne pathogens.

15 You know, unfortunately, I think establishes a  
16 little closer to what his vision was. In terms of what the  
17 public health need is, let's see.

18 MS. HANIGAN: The one thing would be the  
19 recommendation that Jim's given us would be consistent then  
20 with the bottom paragraph where, "This committee is  
21 requesting the micro committee evaluate and recommend back  
22 to us."

23 MS. DEWAAL: Yeah, but we're asking for the  
24 options and the alternatives. So, we're essentially saying  
25 the Agency should take action to address this public health



1 problem.

2 DR. DENTON: Right.

3 MS. DEWAAL: And you're fundamentally changing to  
4 say they should just evaluate the need for it. I think  
5 there is a fundamental change that's moving away from kind  
6 of what the public health message the subcommittee was  
7 trying to communicate.

8 MR. CLINCHE: Could I just interject one thing?  
9 If you're talking about supporting what the Agency was  
10 doing, when Gerri made her presentation yesterday, this was  
11 what I was trying to remember. She said FSIS management is  
12 committed to evaluating the concept of a campylobacter  
13 performance standard.

14 I mean, if you're talking about supporting the  
15 Agency, but if you're talking just establishing, it might be  
16 two different things.

17 MS. DEWAAL: But there's a difference. You know,  
18 either way -- the NAC -- what we're asking the micro  
19 committee to do is to say -- if they do a performance  
20 standard, how should it be? Qualitative vs. Quantitative?  
21 And what are the options? I mean, what else could give us  
22 the same public health objective? And I'm just concerned  
23 that the message -- I mean, according to the Food Net Data  
24 is the top cause of foodborne disease.

25 Sorry. I'm rambling.

1 DR. WOTEKI: Might I make a suggestion for the  
2 Committee? Based on the discussion and also the note that  
3 you read from Dr. Morse, would the Committee support the  
4 idea of, in your first paragraph, stating the public health  
5 goal of reducing foodborne illnesses attributable to  
6 campylobacter? And then you're finding that I believe it is  
7 the first sentence that currently -- the FSIS data is  
8 incomplete to form the basis or to establish a performance  
9 standard. I believe that's what the first sentence says.

10 And then you support the achievement of the public  
11 health goal. You make some observations in the second and  
12 third points, and then the actions that you're recommending  
13 is in the last two paragraphs.

14 MS. DEWAAL: And how would that effect -- Dr.  
15 Woteki, how would that affect the -- evaluate the need for?

16 DR. WOTEKI: I'm going to leave that for you all  
17 to work out. I was just trying to suggest a way that you  
18 could address the public health goal. You could address Dr.  
19 Morse's concerns, and you could still keep essentially a  
20 very similar text to what you have drafted.

21 DR. DENTON: I agree with Cathy that the public  
22 health goal probably needs to be stated up front. That's  
23 where we're going with this whole issue. I don't think  
24 anybody disagrees with that.

25 MS. DEWAAL: I agree with that, too, and I think

1 it is missing. We were so into details that I think that  
2 statement -- Katie, the subcommittee supports the public  
3 health goal of reducing campylobacter -- illnesses linked to  
4 campylobacter in poultry. Katie, did you get it?

5 MS. HANIGAN: Well, this is what I'd wrote when  
6 Cathy was talking. I'll correct it, of course. "This  
7 subcommittee supports the public health goal of reducing  
8 foodborne illnesses caused by campylobacter." That's what I  
9 wrote while you were speaking.

10 MS. GLAVIN: That's good. And then the rest of  
11 this remains as written?

12 MS. HANIGAN: I don't know if they've decided  
13 that.

14 DR. HURLBERT: Caroline and Dr. Denton, if we say,  
15 "The Subcommittee supports the agency direction to evaluate  
16 campylobacter performance standard including, da-da-da  
17 without going into evaluate the need. We just say,  
18 "Evaluate based on these scientific criteria." Is that --

19 MS. HANIGAN: I agree.

20 DR. DENTON: I think that's consistent because  
21 what we're trying to do is allow the National Advisory  
22 Committee for Microbiological Criteria for Food to have open  
23 rein to evaluate with regard to standards, prevalence,  
24 quantitation or whatever they think is the best with regard  
25 to dealing with the issue.

1 MS. HANIGAN: Did I hear agreement from your,  
2 Caroline, on that?

3 MS. DEWAAL: Yes.

4 MS. GLAVIN: Let's hear it for everyone.

5 MS. HANIGAN: Okay. So, the second paragraph from  
6 the bottom now reads -- it's going to have "Action" above  
7 it. We're going to have the goal and then we've got  
8 conclusions, and then under "Actions" the first statement  
9 is: "The subcommittee supports the Agency's direction to  
10 evaluate a campylobacter performance standard, including (1)  
11 evaluating methodology, (2) completing the baseline study,  
12 (3) initiating the risk assessment." And then the bottom  
13 paragraph stays as written.

14 DR. DENTON: Yes.

15 MS. DEWAAL: That's good with me.

16 MS. HANIGAN: Okay. Now, it's opened to the rest  
17 of the subcommittee for -- and I'm assuming, Maggie, that  
18 you'll take the chairing at that point for the rest of --

19 MS. GLAVIN: Okay. The full Committee has not  
20 weighed in a whole lot on this. It's been mostly the  
21 subcommittee, but are you satisfied with where the  
22 subcommittee has come out? Nancy?

23 MS. DONLEY: I'd just like some clarification  
24 because I -- changing this word "establish" to "evaluate."  
25 Is it the intention of this subcommittee and the

1 Committee -- let's just throw it out to the whole Committee.

2 Is it the intention to establish performance standards of  
3 some sort to deal with the campylobacter problem?

4 The Agency is -- I think the way that this has  
5 been changed is the status quo. We're already there. The  
6 Agency is already evaluating. Are we saying as a Committee,  
7 "We want to have something" -- the rubber meets -- its time  
8 for the rubber to meet the road here. Let's get something  
9 moving.

10 MS. GLAVIN: Collette?

11 MS. KASTER: I like the way we've changed it  
12 because I think it supports the objective of having the  
13 Microbiological Committee do some review first and get us  
14 some answers before we move to -- I'm getting mixed up on  
15 which way we were -- before we move to establishing, if we  
16 first evaluate. And then, perhaps at our next meeting, we  
17 can look at establishing once we have more information.

18 MS. DONLEY: I think there's going to be a lot of  
19 discussion frankly once it actually gets down to  
20 quantitative or qualitative and exactly what that is to be.  
21 But can we agree as a committee here that the time has come  
22 to say, "We need to have this?" We don't need to evaluate  
23 whether or not we should have it.

24 But we need to have campylobacter performance  
25 standards or we need to address this issue if it's not a

1 campylobacter performance standard, which I think the  
2 subcommittee is saying here is there's some other way to  
3 address the public health if the micro committee can come up  
4 with some other thing other than a campylobacter, which I'd  
5 be interested to know what that would be.

6 I just think we're wishy-washy. This thing is  
7 coming out wishy-washy. Do we as a committee want to say  
8 it's time to do something about it? We're just saying,  
9 "Let's continue to do as we're doing now." That's the sense  
10 I'm getting from this.

11 DR. HURLBERT: Nancy, I think one of the things we  
12 were coming from is in the presentation material, we were  
13 pulling up wording when we say we support the Agency's  
14 direction. And in the materials that we presented yesterday  
15 when they listed the direction, they talked about if FSIS  
16 management is committed to evaluating the concept of  
17 campylobacter performance standards. So, I think that's --  
18 when we talked about supporting the Agency directive, we  
19 were pulling out of what was presented yesterday. Whether  
20 that's right or wrong, I don't know, but that's where we  
21 were coming from in supporting direction till we heard from  
22 the Advisory Committee.

23 MS. DONLEY: But our -- what comes out of this  
24 Committee is something that Dr. Woteki is going to be  
25 sitting around and digesting and Maggie Glavin, Tom Billy,

1 and it's going to go up to the Secretary of Agriculture  
2 eventually.

3 And we're not saying anything different now. If  
4 this Committee's recommendation is just to -- if this is  
5 what we're saying is just go continue along as usual, or are  
6 we saying let's light a fire here and get moving? And I'm  
7 saying I would like to -- I'm suggesting that we as a  
8 committee come out of this and say, "Let's light a fire and  
9 get this going." It's the number one public health threat  
10 and we're not doing a darn thing about it at this time.

11 So, let's get going on it. Let's speed things  
12 along a little. Let's do it correctly, which is what I  
13 think the subcommittee here did an excellent job of saying  
14 the correct way to do it would be, you know, go through  
15 these channels. But let's make a commitment. We're not  
16 making a commitment I think as a committee on this issue  
17 just yet. I'm not getting that sense.

18 MS. GLAVIN: Jim?

19 DR. DENTON: I believe that the Committee has  
20 already made the commitment to dealing with the issue. If  
21 we use the analogy of what took place in the establishment  
22 of salmonella performance standards, which are in place and  
23 apparently working as well as we could have ever hoped for,  
24 we had two pieces of the puzzle before we actually were able  
25 to go about this.

1           One, is we had a very reliable methodology for  
2 measuring. We had a completed baseline study with a very  
3 good assessment of where we were with regard to each of the  
4 industry's that had to meet this particular performance  
5 standard. Those two pieces of the puzzle are not in place.

6       We were willing to move ahead with the assessment of the  
7 use of performance standards in the absence of risk  
8 assessment because that was done for salmonella before the  
9 risk assessment was complete.

10           I don't think that we have all the tools in place  
11 yet to be able to deal with this particular issue. We're  
12 still trying to come to some agreement with regard to the  
13 methodology that's going to be used. We don't have complete  
14 set of baseline information with regard to how we would even  
15 approach setting a performance standard.

16           I think that's where the advice of the National  
17 Advisory Committee for Microbiological Criteria is pivotal  
18 to this entire process. We can't establish good, sound  
19 policy with the best information that we can have in our  
20 hands to do this.

21           MS. GLAVIN: Caroline?

22           MS. DEWAAL: I actually -- I think that we're much  
23 closer to having a methodology that can address this. And  
24 what I think is -- the real question -- we actually do have  
25 baseline data. We have the same type of baseline data that



1 was used in setting the salmonella standard.

2 And one of the discussion pieces, Nancy, we had  
3 last night, was why the Agency hadn't compared their  
4 findings on how the plants were doing with the old baseline  
5 data, because the way the salmonella baseline was set was  
6 not on the right public health number, the infectious dose  
7 of salmonella. It was set on what was technologically  
8 achievable.

9 MS. DONLEY: Excuse me. Wasn't it set on what was  
10 actually out there saying that this is where we are. At the  
11 status quo today, this is where we are. We want to make  
12 sure that 80 percent of the plants can reach this.

13 MS. DEWAAL: Right. And that -- we are short one  
14 thing, but we are very close to having it. And that is the  
15 methodology.

16 The issues that the subcommittee looked at were  
17 issues of, you know, should we wait for a risk assessment?  
18 And there we said no. We shouldn't have to wait for that.

19 We discussed whether -- you know, should we put a  
20 technologically achievable in, which is what they did for  
21 salmonella pending finding out what an infectious dose might  
22 be, or finding out what the right qualitative number is.

23 So, we want to look at all those. We also --  
24 Alice suggested there might be an indicator organism that  
25 might fit the bill and give us an indication of what

1 campylobacter was.

2           The key issue is that I think the Agency is moving  
3 on this. There is a lot of action happening in terms of  
4 these two new studies and in terms of the methodology. And  
5 we need to support that action. So, I think that's what the  
6 subcommittee did. We recognized the action is being taken  
7 and to support it.

8           I do think that the Secretary's addition this  
9 morning of a strong public health-oriented goal helps to  
10 address the problem that you've identified. I agree that  
11 that was a gap that we, the subcommittee, didn't say  
12 outright, "It is our goal to reduce illnesses -- foodborne  
13 illnesses from campylobacter." But I think that helps to  
14 really set the stage for the rest of the action  
15 recommendations.

16           Alice?

17           DR. HURLBERT: Just a note on the methodology and  
18 the protocol being used for the salmonella testing. You  
19 know, the Agency has gone back from the original protocol  
20 put out in the pathogen reduction and HACCP rule because of  
21 changes in the methodology. And I think that's what we're  
22 saying now with campylobacter. From the original baseline  
23 study, things are changing in some of the methodology with  
24 the way they're testing.

25           So, I think that's one reason why we can't hang

1 our hat on the original numbers because we're even changing  
2 some of the methodology that was used when we originally  
3 selected those baselines in '97.

4 MS. GLAVIN: Okay. My read of where Jim was, was  
5 not that he wasn't having the Committee's support -- and I  
6 don't want to put words in your mouth. I'm just trying to  
7 see if we can move this forward -- was not saying no, the  
8 Committee shouldn't actively support the Agency moving  
9 towards a baseline or -- I'm sorry, towards a performance  
10 standard, but that the Committee should support the Agency  
11 moving towards a performance standard or an alternative  
12 means of meeting the goal. And for example, an indicator  
13 organism.

14 If, in fact, we don't get a good method in the  
15 foreseeable future, are we just going to put everything on  
16 hold while we still tried -- I gather, getting a method has  
17 not been easy. Is that where you were?

18 DR. DENTON: That's essentially where I am.

19 MS. GLAVIN: Is there a way to explicitly put that  
20 in there?

21 MS. DEWAAL: No. This was hard work last night.  
22 This exact issue, Maggie, and I appreciate your efforts  
23 here, but I really -- I think we captured exactly that  
24 thought in the direction to the NACMCS, and I really at this  
25 point would object to further modifications on that first

1 statement.

2 MS. GLAVIN: Katie?

3 MS. HANIGAN: I have a very basic question. The  
4 micro committee meets May 25 and 26. Is that correct?

5 MS. GLAVIN: 26th through 28th.

6 MS. HANIGAN: Okay. So, this Committee is not  
7 scheduled again until November. And is that bylaws that  
8 says you can't meet again? Because I do appreciate Nancy's  
9 view. It seems like a long time.

10 MS. GLAVIN: Right. We have an extremely limited  
11 budget. We can, and we've done this once before I think  
12 fairly successfully do teleconferences. Our experience is  
13 that the teleconference is best if it is on a single  
14 subject. It just doesn't seem to work very well because  
15 this is a large group.

16 So, if you've got -- I think when we get into the  
17 discussion of what are the topics for the next meeting, one  
18 of the subtexts there ought to be, are there one or more  
19 individual topics that you feel can't wait that long? But  
20 the hard, cold fact is we've got money for two meetings a  
21 year. And that's all we've got, and we're not going to get  
22 more.

23 So, that's the issue. And so, we try to space  
24 them out so that we don't go a very long time without a  
25 meeting, but there's no magic to November.

1 MS. HANIGAN: Okay. Just one other comment. I  
2 think Caroline said it very well, and I just want to  
3 piggyback on what she said. We thought long and hard over  
4 this wording last night. I'm in favor of leaving it the way  
5 we have with the pencil changes that I've made for the  
6 Committee. And I really want to wait and see what the micro  
7 committee tells us. They are the microbiologists. They are  
8 the scientists.

9 MS. GLAVIN: Okay. Would you read how it now  
10 reads and we'll see everyone can agree to that?

11 MS. HANIGAN: Okay. Directly under the statement  
12 that says, "Developments in the campylobacter program," I  
13 have in bold, "The subcommittee supports the public health  
14 goal of reducing foodborne illnesses caused by  
15 campylobacter."

16 Then, I have titled the next section as  
17 "Conclusion." And there's been no change there. It says,  
18 "FSIS data is incomplete to conclude the value of  
19 campylobacter prevalence being used to establish a  
20 performance standard. But prevalence appears unlikely to be  
21 used."

22 "Risk assessment is in the initial planning stages  
23 to evaluate." And those three points stayed identical:  
24 farm to table, intervention and the infectious dose.

25 The statement saying, "It is noted that the

1 salmonella performance standard was established prior to  
2 risk assessment" is just as we agreed.

3 Next category was subtitled as "Actions." "The  
4 subcommittee supports the agency's direction to evaluate the  
5 need for a campylobacter performance standard including (1)  
6 --

7 MS. GLAVIN: Wait, wait, wait.

8 MS. DEWAAL: Evaluate a campylobacter performance  
9 standard, Alice's recommendation.

10 MS. HANIGAN: Evaluate -- okay. Let me repeat it.

11 "The subcommittee supports the agency's direction to  
12 evaluate a campylobacter performance standard including  
13 evaluating methodology, completing the baseline study,  
14 initiating risk assessment." And I did not change the last  
15 paragraph at all.

16 MS. GLAVIN: Okay. Is the Committee --

17 DR. WOTEKI: There is one typo in the last  
18 paragraph, I might point out. In the next to the last line,  
19 "accomplishes" should be "accomplish."

20 MS. GLAVIN: Accomplish, right.

21 MS. HANIGAN: Okay.

22 DR. WOTEKI: Alternatives that accomplish the same  
23 public health objective.

24 MS. HANIGAN: Okay.

25 MS. GLAVIN: Is the Committee ready to move on?

1 Are you satisfied with this? You want further discussion?

2 MS. DONLEY: You know, I'm just one voice here,  
3 and I just feel that this evaluating is wishy-washy. That  
4 we should either leave it as "establish" or perhaps say "to  
5 identify a campylobacter performance standard including,"  
6 but something that is more action-oriented, which is what  
7 this -- which I like the way you've set up these categories,  
8 and you're talking about an action here.

9 So, that's just -- I want to go on record saying  
10 that I disagree with what is -- if we keep the word  
11 "evaluate" here. I would just suggest leaving it as  
12 "establish" or "identify" a campylobacter performance  
13 standard.

14 MS. GLAVIN: Any further discussion?

15 MS. DEWAAL: I have one more question. And that  
16 is, I think the two action items should be from the  
17 subcommittee because we anticipate that we'll be getting  
18 information back from the micro committee. But does the  
19 goal -- should the goal be from the subcommittee or from the  
20 full committee for where it says, "The subcommittee supports  
21 the goal of reducing foodborne alloese from campylobacter?"  
22 Do we want that as a full Committee goal?

23 MS. GLAVIN: Well, actually as we have these  
24 discussions, as things are amended by the full Committee and  
25 agreed to, we take them as Committee work. So, you know,

1 the wording can stay this way. But we assume that these  
2 are, at this point, Committee work.

3 Okay. That group did good. You must have been  
4 there till midnight. Okay. Since we have another group  
5 report, my suggestion is that we start our break a few  
6 minutes early and try to get back by about 20 after. And at  
7 this break, we have pictures of the Committee members. So,  
8 comb your hair, straighten your tie, and we're going to do  
9 the pictures back by this curtain. And Dr. Woteki is going  
10 to be part of the picture taking.

11 (Whereupon, a recess was taken.)

12 MS. KASTER: For clarification, there was one  
13 field execution task force. Can some -- I think, Katie, did  
14 you put that down?

15 MS. HANIGAN: I did.

16 MS. KASTER: Can you explain again what that is?

17 MS. HANIGAN: It's executing our field employees.

18 MS. GLAVIN: Guess we went through yesterday.

19 MS. KASTER: Yes. What that is, that is the -- I  
20 think it's the September 11 letter from Dale Allan to this  
21 Committee back in November. And it is a paper that was put  
22 together by AMI. And it's seven parts. The basis of the  
23 paper is the seven issues need to be addressed in order for  
24 HACCP to be fully effective and fully implemented in the  
25 field.



1           And if you want me to go through this seven pieces  
2 of that, I'll be glad to.

3           MS. GLAVIN: Do you need that?

4           MS. KASTER: No.

5           MS. GLAVIN: No. Collette's shaking her head no.

6           MS. KASTER: Those seven pieces are the field  
7 execution task force?

8           MS. GLAVIN: Yes.

9           MS. DEWAAL: I have a question. Can you hear me  
10 okay? I have a question. HACCP inspection models is on  
11 this list as though it's an optional part of our agenda. I  
12 thought part of the mandate of this Committee is to work on  
13 the inspection models. And the question goes a little more  
14 broadly. We haven't had an update during this meeting or a  
15 report on that project. It's an ongoing project. So, I  
16 just wanted some clarification.

17           MS. GLAVIN: Okay. I don't know that it's a  
18 mandate, but I think it's fair to say that whatever your  
19 ranking is, we're going to keep that one on your agenda  
20 because it's real important to us that this committee  
21 continue to be involved in that.

22           MS. DEWAAL: Okay. So, maybe it's not -- I just  
23 hope the Committee doesn't have to vote and then make sure  
24 everyone have that as a number one, because it should be --

25           MS. GLAVIN: What will happens is after -- you

1 know, Mike will do some consolidating for the discussion  
2 this afternoon. And after the discussion, we will come up  
3 with an agenda for the next meeting and on into the next  
4 year. But we really need your input as we develop that.

5 Inspection models, I would say for the next  
6 several years, are going to be a part of that. Depending on  
7 you know kind of where we are at any given time, it might be  
8 simply a briefing, or it might be bringing it back to one of  
9 the subcommittees for some advice and counsel. It sort of  
10 depends on where the project is at a given point in time.

11 MS. DEWAAL: And will someone brief us before the  
12 end of this meeting ends today?

13 MS. GLAVIN: We can do a real -- I can do a very  
14 broad-brush briefing. We should have put that on the  
15 agenda. It's a good question.

16 Okay. With that, we have a concept paper on  
17 inspection of all animal flesh foods, which is the  
18 Inter-Government Role Standing Committee. And Dan, you're  
19 the chair of that?

20 DR. LAFONTAINE: First, a comment. I'm normally  
21 known as the taskmaster, but I want to yield that honor to  
22 Katie. I think she's outstanding.

23 MS. DEWAAL: Cracks the whip.

24 DR. LAFONTAINE: The subcommittee had a very  
25 healthy wide-open discussion, and the way we approached this

1 thing is we realized that the original recommendation from  
2 the full Committee was very broad. That is, all animal  
3 flesh foods. So, what we did with acknowledgement to Loren  
4 and his concept paper, we took the major elements of his  
5 concept paper and tried to answer the key questions that he  
6 had put forth.

7           So, my thanks or the Committee's thanks,  
8 subcommittee's thanks to Loren. And also, before I forget,  
9 I want to also acknowledge the fine support from -- admin  
10 support from Gene Myers who's in that same group as Loren.

11           In front of you, you have the results of that.  
12 And I'll go through it and try to explain the key elements.

13           The Subcommittee on Intergovernmental Roles and  
14 Coordination gave consideration to all aspects of the  
15 subject concept paper. And first of all, there's already a  
16 typo. Insert the word "and" formulated after "paper" and  
17 before "formulated." "Consideration to all aspects of the  
18 subject concept paper and formulated the following broad  
19 guidelines for resolving the issue of what animals should be  
20 included in mandatory inspection." So, these subbullets are  
21 just that. They're broad guidelines or concepts on how we  
22 feel FSIS should proceed.

23           Subbullet 1, and there's a 1A and a 1B under  
24 poultry, we feel that a acceptable definition would be:  
25 "Any commercially slaughtered and/or processed birds for

1 human consumption unless exempted." And I'll explain the  
2 "unless exempted" part here in a moment. I want to  
3 acknowledge that it was back -- that language was lifted  
4 from the FSIS concept paper.

5           The next item -- major element or item we  
6 discussed was what meat items should be involved? What meat  
7 animals, rather, should be involved under mandatory  
8 inspection? And we struggled with this quite a bit to find  
9 the correct mix, and we come up with "any commercially  
10 slaughtered and/or processed mammals for human consumption  
11 unless exempt."

12           So, what I want to do is stop for a moment and  
13 expand on those two items real quick. By using the word  
14 "birds," we felt that that would capture anything that now  
15 or in the foreseeable future might be commercially raised  
16 and commercially slaughtered and processed for human  
17 consumption. The prime examples being quail, pheasants and  
18 ratite.

19           For meat, obviously, if you use the word "animal"  
20 as Loren pointed out, that's extremely broad to include fish  
21 and other lesser degree animals. By using the word  
22 "mammals," we include all the known other type of animals  
23 that are currently covered under voluntary inspection.  
24 Rabbits and your various exotic species that are farm-raised  
25 and commercially slaughtered such as reindeer, deer, elk, et

1 cetera.

2 If someone in the future would come up with  
3 another mammal that was considered acceptable for human  
4 consumption and commercially slaughtered and/or processed,  
5 it would be broad enough to cover that, also.

6 So, what I'd like to do now, Madam Chairman, is  
7 stop right there, because that's kind of a big ticket group,  
8 and present a discussion. Then, we can move on to the other  
9 subelements.

10 MS. GLAVIN: Can I ask if the Subcommittee  
11 considered resources in their deliberations? Resources and  
12 priorities?

13 MS. DONLEY: Maggie, I guess the short answer is  
14 no. Looked at it instead of -- really from also -- kind of  
15 with the idea of the agency's own five-year plan, if you  
16 will, of having risk-free food, and with the goal of public  
17 health and safety as being the issue here. And that we felt  
18 as a subcommittee that any food products or meat and poultry  
19 products, I should say, animal products, that were available  
20 to the public to consume. And to the public -- we did keep  
21 -- I don't mean to run into Dan's program here. We kept the  
22 custom-exempt category, but that is available for the public  
23 to purchase and consume should be under mandatory  
24 inspection.

25 MS. GLAVIN: Lee?

1 DR. JAN: I'd just like to maybe -- brings out the  
2 consideration particularly in light of the strategy of  
3 risk-free food, I think -- yes, risk-free food. By going  
4 to mammals, you know, obviously, insects are taken care of  
5 by themselves. Nobody's going to be slaughtering those. I  
6 guess you don't have to slaughter those. But there are  
7 other mammals -- I mean, flesh foods that are eaten besides  
8 mammals and they're commercially prepared. We mentioned one  
9 yesterday. It was fish, particularly farm-raised or  
10 commercially raised fish.

11 But there's others. Rattlesnakes. People eat  
12 rattlesnakes. And we've had health issues with that because  
13 it was simply FDA or a state would say, "It has to be  
14 licensed. Send in your fee, and we'll send you a license."

15 And that's it. And so, a lot of these rattlesnakes are  
16 essentially hunted or a certain time of the year,  
17 particularly in Texas, they have rattlesnake roundups and  
18 they're hunted. So, lot of those could be used for food  
19 with no inspection, and salmonella is very high in those.

20 Alligators. That's a flesh food that's eaten.  
21 And it's quite popular in Louisiana and east Texas and maybe  
22 other places, but I know in those areas, it's quite popular.

23 And those, there's no requirement for any inspection, the  
24 inspection as we think about for meat and poultry --  
25 inspection as we think about paying a license fee and

1 therefore, you're approved source, yes, they may have those,  
2 but there's no on-site inspection.

3 So, those things -- if we're going to look at a  
4 risk free, look at it from the broad strategic goal of  
5 risk-free foods, then those at least should be considered.

6 It brings up the point of the resources. Well,  
7 that's a problem that we all have. And that's where I think  
8 HACCP can play a role in moving resources around. Once we  
9 have HACCP -- HACCP system if it's going to work the way the  
10 HACCP system was designed to work, it's going to continue  
11 inspection even when the inspector's not there, then that  
12 would give me a feeling that maybe the inspector doesn't  
13 have to be there every day. And maybe he can go and look at  
14 the HACCP plants at the alligator plant.

15 Personally, I don't really want to inspect  
16 anything I can't use a thermometer or temperature. The  
17 rattlesnakes and alligators being two examples. But I think  
18 from the perspective of a totally risk-free food, we should  
19 not just not consider those.

20 MS. GLAVIN: Caroline?

21 MS. DEWAAL: I appreciate all the hard work that  
22 the subcommittee did, and I'm just going to defer to them.  
23 But I have to enter this debate with wearing the hat of a  
24 consumer who consumes lots of different foods. And when I  
25 go back and look at outbreak data, what becomes clear is

1 meat and poultry products are not the only ones we're having  
2 outbreaks from.

3           Seafood, produce, eggs, juice dishes, juices,  
4 these are all contributing significantly to food poisoning.

5       And I strongly support the issue of mandatory inspection,  
6 but I think at some point we need to start making some  
7 decisions about where those inspection resources should be.

8       And they should be based on risk and on where we're  
9 actually seeing outbreaks. And meat and poultry will always  
10 have a lot of inspectors, but we need inspectors in these  
11 other areas of the food supply, as well.

12           And so, as the Committee looks at the issue of you  
13 know, we need more inspection for quails and pheasants and  
14 ratites and buffalo and many other products, which I  
15 generally agree. You need to be cognizant of the fact that  
16 all of the foods regulated by FDA do not have a level of  
17 inspection that even comes minutely close. I mean, we're  
18 talking average inspection frequencies of once every eight  
19 to ten years. Seafood is inspected at best once a year.  
20 And they're trying to get high risk -- all high-risk foods  
21 down from once every three to four years. And these are  
22 ready to eat products and they're just being inspected very  
23 infrequently.

24           So, I just -- we need to be aware that this system  
25 is very uneven in how we regulate food. And it is not



1 hazard-based today.

2 MS. GLAVIN: Mr. Mammaing?

3 MR. MAMMINGA: Mike.

4 MS. GLAVIN: Mike, thank you.

5 MR. MAMMINGA: Whenever you try to discuss what is  
6 supposed to be or what should be or what might be an  
7 amenable species, obviously, the first two things that hit  
8 the table is if we increase the list, how are we going to  
9 pay for it? And the second thing is, where do we stop?

10 Initially in the work that was done by our state  
11 directors associations, and Dr. Jan and Terry Burkhardt  
12 worked very hard on this. It seemed common sense to us at  
13 the time that what is so noble about a beef, pork, lamb,  
14 goat, equine and domestic poultry that warrants its  
15 mandatory inspection and the other species such as buffalo  
16 and rabbits and lowland gorillas and whatever else we might  
17 choose to eat are not subject to mandatory objection.

18 MS. FOREMAN: Talking about my cousins here.

19 MR. MAMMINGA: I knew you'd appreciate that. So,  
20 what we tried to do was not fix the world, but we tried to  
21 fix the part of it that we thought made some common sense to  
22 everyone. Obviously, many species of mammals are protected  
23 and can't be commercially raised or slaughtered for food  
24 anyway. However, in our programs, both state and federal,  
25 we are confronted with a significant number of buffalo,

1 members of certain subspecies of the deer family, rabbits on  
2 a daily basis. On a weekly basis, we have people that seek  
3 inspection and wonder why their species is not graced with  
4 mandatory status when others are.

5           So again, the resources part of it, as in all  
6 things, and you know, Maggie, we're going to have to  
7 redirect our resources this afternoon based on some thing  
8 happening. What we're trying to address here is those real  
9 light animals that we are seeing in significant numbers that  
10 are coming into the plants for inspection, and we simply  
11 want to -- and when you talk about baseline data, Caroline,  
12 on what problems there may be in the buffalo herds or the  
13 deer herds that are transmissible to people, things like  
14 e-coli 015787, we know that's a possibility. It is a  
15 possibility in buffalo. Certainly in any ruminant animal, I  
16 believe. Isn't that correct, Dr. LaFontaine?

17           So, we know that there are certainly potentials  
18 and we see these animals increasingly going through our  
19 slaughter houses. Again, the thought process behind this  
20 about talking about mammals, was talking about the species  
21 that we have seen and are seeing in growing numbers across  
22 the last number of years. We think it's time to reevaluate.  
23 Like cattle, sheep, swine, goats, equines and domestic  
24 poultry should forever stay as the only mandatory species  
25 considering what we know now.

1           And again, we knew that if we threw it open to  
2 fish and reptiles and bugs and worms and things, it would  
3 make it so great a burden just to establish protocols for  
4 inspection, that that would be unrealistic at this time. So  
5 again, at least my thought processes and what I tried to  
6 contribute to this was not to fix the world, but to adapt to  
7 what we are really seeing today that is really going out in  
8 human food channels in fairly significant numbers. And  
9 that's why we didn't make it all-inclusive.

10           And another reason for not making it all-inclusive  
11 was to know that we're going to all have to come up with  
12 resources to do this so that at least we can take the  
13 numbers that we have now for what we've been historically  
14 doing, either in the federal program or the state program  
15 for the last number of years, and at least we could project  
16 a number.

17           If we take all species, it would be impossible to  
18 project a number for that. If we take what we know we've  
19 been dealing with, at least we can come up with some  
20 realistic numbers and let the people that vote for  
21 appropriations and changes in acts, determine whether we're  
22 willing to fund that or not. So, that's kind of what the  
23 process that got us -- at least got me where I'm at.

24           MS. GLAVIN: Okay. I appreciate that. Other  
25 comments? Do you want to continue then?

1 DR. LAFONTAINE: Yes. There currently exists in  
2 the law in the Federal Meat Inspection Act, a provision for  
3 custom exempt. And let me briefly explain in my own words  
4 what that means. The essence of it is that an individual  
5 can bring an animal they own to a establishment that is  
6 under sanitary surveillance but not carcass by carcass,  
7 antimortem, postmortem, have it slaughtered in a sanitary  
8 environment and returned to them marked "Not for Sale."

9 The essence -- excuse me. The consensus of the  
10 subcommittee was to leave that untouched. And for what  
11 exists in the FMIA would be rolled over to the new  
12 definition of mandatory species. In other words, for all  
13 mammals.

14 In the Poultry Products Inspection Act, there is  
15 no similar language. And we felt that the same essence or  
16 the same language should be incorporated in the Poultry Act.  
17 And the same time as we do that, that we would strike the  
18 existing exemptions in the Poultry Act that provide  
19 exemptions, for example, of someone slaughtering up to  
20 20,000 birds without any inspection for commercial use under  
21 certain conditions. We felt that part of it is obsolete and  
22 that we'll still have a level playing field for both  
23 industries and for the individually owned animals to have  
24 the same -- in essence, the same language on custom exempt  
25 in both laws.

1           So, that's the custom exempt part of the puzzle.

2           MS. GLAVIN: Any discussion on this? -- on that,  
3 please.

4           DR. LAFONTAINE: Food additives? We had one very  
5 vocal member on this issue. And we went with his  
6 recommendations, Mike. This might seem kind of odd to put  
7 food additives in the middle of this, but let me explain  
8 why. First, I'll read. "Food additives allowed under the  
9 Food, Drug and Cosmetic Act will be allowed for all amenable  
10 species."

11           The scenario we have now is that for certain  
12 exotics, the FDA does not allow the use of nitrites of any  
13 form. And I won't get into the why and whatever. But so  
14 that there was no misunderstanding, if eventually, the  
15 family of amenable species was expanded to include exotics  
16 such as deer, that they also -- the process slaughter houses  
17 and processors would be allowed to use any currently  
18 approved food additive in those species the same as the  
19 existing amenable species.

20           So, in one way you could say if they're made  
21 amenable by default, they -- you know, you're allowing  
22 nitrites to be used, but it's such a confusing and  
23 controversial issue, the subcommittee felt we should be --  
24 come up on the net and say, "We want to make sure there's no  
25 misunderstanding on this issue."

1 MS. GLAVIN: Is there any discussion on that? Dr.  
2 Jan?

3 DR. LEE: I would -- I agree. I think nitrites  
4 are -- is an ingredient that's actually almost mandatory or  
5 if the food safety's really necessary for certain, meat  
6 products to be particularly shelf stable ready-to-eat  
7 products. Jerkies and those kind. And that is a high part  
8 of the nonamenable species that a lot of nonamenable  
9 species, jerky and sausage that cannot be shelf stable  
10 unless -- because you can't use nitrites.

11 What I would do instead of writing it the way it's  
12 written here, because I'm not clear -- it's my understanding  
13 that FDC does not allow the use of nitrites in any food.  
14 They only permit it in those that it's already been used for  
15 before they passed their law.

16 So, if you write it this way, it's my -- I think  
17 what you're saying is nothing changes. I would go and say  
18 what we wanted to say. Nitrites be used in all amenable  
19 species as we bring them up under or not even use the word  
20 "amenable." Nitrites to be allowed or to be permitted to be  
21 used in all meat products that are -- that is appropriate  
22 for. And that would again, be particularly the shelf stable  
23 products, ready-to-eat products.

24 So, I think the wording is -- I just don't think  
25 that this wording is going to get us anywhere. I also know

1 that FSIS can't tell FDA what to do, but it seems to me that  
2 there might be another MOU or some deals or maybe not deals,  
3 negotiations between the agencies. And obviously, the logic  
4 that FDA used in their Food, Drug, Cosmetic Act can be  
5 expanded to include other meat items. And the logic they  
6 use is there was no -- from the history of 25 years or so of  
7 use before they passed their law, there is no link to a  
8 disease process in humans from use.

9           And they can use that same logic for other  
10 species. It's not that beef and pork and some of these  
11 other species had some magical effect on the nitrites. It  
12 was if the nitrites were a risk, the nitrites were a risk.  
13 And if they're not a risk, they're not a risk.

14           So, it seems to me they could use that same logic.

15           It seems to me that there's some evidence, and I talked to  
16 an individual -- I can't remember his name now -- I believe  
17 he was from Nebraska, when we would work on some of the  
18 HACCP plans. And his recollection of some data that they  
19 have already done demonstrated that there was not a risk,  
20 but people in charge at FDA didn't want to accept it.

21           So, it's political at that time. And I believe  
22 when we put political -- politics aside, we've been saying  
23 that all along, from a food safety issue, I think we need  
24 nitrites in all those products.

25           MS. GLAVIN: Caroline?

1 MS. DEWAAL: I think Lee Jan has outlined some  
2 important points that may actually suggest that perhaps this  
3 issue isn't right to be in this document. The whole issue  
4 of food additive approvals by FDA is highly technical and  
5 scientific. And I don't -- I'm not certain anyone on this  
6 Committee is really technically qualified to begin to  
7 address the issue of the safety of nitrites and their use in  
8 the different animal products.

9 Perhaps what Dr. Jan is saying is absolutely  
10 correct about why they're not allowed, but the reality is  
11 the decisions made on food additives are food safety  
12 decisions that have been made by the leading agency that  
13 considers those the questions of toxicology in the food  
14 supply. So, I would suggest that perhaps this issue be  
15 taken off and perhaps either sent back to the subcommittee  
16 for some further discussion on what should be done in this  
17 area, or perhaps -- and we -- I don't usually say this, but  
18 maybe we should send this to the National Advisory Committee  
19 for Micro because they might have some toxicologists that  
20 could help address this issue.

21 But I do not feel qualified. And I don't know if  
22 anyone here is a toxicologist who is skilled in this area,  
23 but I really don't feel qualified to have this particular  
24 provision in this statement.

25 MS. GLAVIN: Let's do Mike and then Carol.



1           MR. MAMMINGA: Well, first of all, my friend, Dr.  
2 Jan, we knew that no matter what language we came up with,  
3 you can always question the verbiage. We wanted to send a  
4 message. We also knew that FDA -- this is in the part of  
5 the Code of Federal Regulations that FDA deals with. And we  
6 knew that, and we knew that they would have to take an  
7 action, an action which they have even refused to discuss in  
8 any forum that I'm aware of after being petitioned many,  
9 many times by those people who raise animals that are not  
10 cattle, sheep, swine, goats, equines and domestic poultry.  
11 We knew all of that going in.

12           And so, this isn't language that we expect to be  
13 put in the C.F.R. This is a message to our Secretary of  
14 Agriculture and to the people at FDA saying again, "You're a  
15 veterinarian. What is the difference between a piece of  
16 buffalo meat and a piece of beef? And what does it take to  
17 determine which is which by the histopathologist a little  
18 bit more than just looking at it, smelling it or touching  
19 it?"

20           So again, the question came back to fairness. And  
21 what is so noble about a beef that is so unnoble about a  
22 buffalo or an ostrich in respect to a Rhode Island Red? So,  
23 what is so noble or unnoble?

24           And to our Caroline I would say, for the last 30  
25 years, even after tremendous battles in the early '70s of

1 whether nitrites and nitrates should be permitted at all.  
2 It's been produced not just for color, not just as food  
3 additive, but for food safety. And I agree with you. We're  
4 not scientists.

5 But again, we're putting these products on the  
6 market by the billions of pounds in this country, and all I  
7 can say is when you consider the food safety concerns of a  
8 product that's ready to eat in an anaerobic environment that  
9 might be heat or temperature abused, the risks that we know  
10 today would seem to say that we should at least treat these  
11 products the same across the board. And again, we're not  
12 trying to fix the world.

13 We're not trying to say the nitrite is the  
14 greatest thing that ever was or that it should be used.  
15 We're just saying that if it is a necessity in certain  
16 products under our inspection, why shouldn't it be permitted  
17 in all products that are made under our inspection? That's  
18 all we're saying. And we're not writing language for the  
19 C.F.R. And we're not demanding that anybody do anything,  
20 but we'd like to make them aware that at least out of  
21 fairness and with food safety in mind, that these products  
22 all be treated the same.

23 MS. GLAVIN: Carol?

24 MS. FOREMAN: I swore that I would never say  
25 another word about this subject. I still got the scars from

1 the last time.

2 I think your point's actually a good one because  
3 of all the products that I'd -- because of what Lee said,  
4 when you're using these in jerkies and stuff like that, I  
5 think there is probably some risks there, much more so than  
6 in a lot of the products -- do open the possibility that  
7 with today's science, FDA will be forced to do -- of nitrate  
8 and -- nitrites, and that it's not safe.

9 That is a risk. And if it's one you're willing to  
10 live with, I suspect that I don't have any particular  
11 problem with this proposal because I think the products that  
12 would get covered under it are probably the ones where the  
13 cost-benefit ratio or the risk-benefit ratio of using  
14 nitrite is a lot higher than it is in some of the places  
15 where it's used.

16 MR. MAMMINGA: Could I respond?

17 MS. GLAVIN: Absolutely.

18 MR. MAMMINGA: I think that the industry is  
19 willing to defend its use scientifically. And I think  
20 they're up to the battle. And I rely on the scientists to  
21 give me good advice, whether they be from government or  
22 industry or academia or wherever. And if it is -- if it  
23 turns out to be a safety risk, then by golly, we ought to  
24 know about that. That ought to be on the table.

25 And if on the other hand, it will make our foods

1 that are in these kinds of packaging and prepared in certain  
2 ways safe, then we ought to include all such products under  
3 its use. That's my only thing.

4 MS. GLAVIN: Okay. Katie, and then Caroline.

5 MS. HANIGAN: I was just going to say I support  
6 the language that the subcommittee put on the paper and I  
7 just assume it would stand as written.

8 MS. GLAVIN: Caroline?

9 MS. DEWAAL: I still have concerns. The language  
10 as it's written is -- covers all food additives, not just  
11 nitrates. It seems to also exempt food additives used in  
12 amenable species from the FDA food additive approval  
13 process. It just says, "If it's been approved for one use,  
14 then it's approved for all amenable species." And that  
15 language is too broad.

16 What I would suggest is this. FDA has committed  
17 to speeding approvals for additives that are alleged to have  
18 a food safety impact. They've agreed to move those food  
19 additives to the front of the list. If the problem is that  
20 nitrites can't be used in these species, they're approved  
21 for other species. They're grandfathered in. And we want  
22 to see them used here. Why don't we just ask FDA to approve  
23 it in an expedited fashion for food safety reasons?

24 I appreciate that you said you don't -- you know,  
25 you want to make sure it's safe, and you want to rely on the

1 scientists to do that. I do, too. But I think that what  
2 you've got drafted here seems to circumvent that process  
3 instead of simply asking that it be expedited.

4 MS. FOREMAN: I join Caroline on that. Could we  
5 be more specific and make a reference to nitrites instead of  
6 food additives generally because I don't know what else is  
7 out there in that universe.

8 MR. MAMMINGA: Well, we also considered -- at  
9 least I considered in my talking that we're going -- that  
10 USDA and FDA are working right now as far as approved --  
11 making reference to the C.F.R. that's under FDA for  
12 additives, food additives, et cetera, et cetera, et cetera.

13 MS. GLAVIN: Yes.

14 MR. MAMMINGA: Isn't that correct?

15 MS. GLAVIN: That's right.

16 MR. MAMMINGA: And I thought again, we're not  
17 writing specific language for them here. They'll have to  
18 write the language that they're willing to write for their  
19 regulations. And I didn't want to suppose -- this -- what  
20 we're asking here does not limit them to disregard it, to  
21 change it, to make it more agreeable. We're just trying to  
22 broach the subject that what's good for one should be good  
23 for all.

24 And they can write the rules to suit themselves.  
25 If they decide that nitrite -- sodium and potassium nitrate

1 and nitrite should only be permitted in amenable mammals and  
2 birds, then they can write it that way. That would be  
3 exactly what we were shooting for. And maybe we could have  
4 directed them a little more closely that way. But this is  
5 just a recommendation that they at least consider these  
6 issues, which includes of interest to me, is sodium and  
7 potassium nitrate and nitrite. It could be approved upon.

8 MS. GLAVIN: Dan?

9 DR. LAFONTAINE: Let me, as the chairman of the  
10 subcommittee, try to -- I don't mean to be grandstanding.

11 MS. GLAVIN: Well, you said you were going to try  
12 to get your reputation back.

13 DR. LAFONTAINE: I've lost it. I'll never get it  
14 back.

15 Here's what I suggest we do. Let me just talk in  
16 concept. We take this out of the main body of this document  
17 and add it as an add-on recommendation or a supplemental  
18 recommendation, and then we word it according to the  
19 consensus of the full Committee. Because first, it's  
20 obvious that it's sticking it in the middle of this major  
21 topic is awkward. And it clouds the bigger issue of  
22 mandatory inspection of what species.

23 So, our first recommendation of full Committee is  
24 that we take it out of the main body. We make it a  
25 supplemental recommendation to FSIS, and in the supplemental

1 recommendation in essence agree that -- I mean, states that  
2 the use of nitrates in amenable species be a key or priority  
3 topic in FSIS/FDA evaluation of food additives.

4 MS. GLAVIN: Reactions? Is the Committee ready to  
5 go with that? Could I ask you to do a little writing and  
6 get it to Mike for me, and he'll then have something to  
7 share with the Committee so that you can see how this has  
8 turned out?

9 DR. LAFONTAINE: I'll do that.

10 MS. GLAVIN: Okay.

11 DR. LAFONTAINE: Okay. Let's move on to four,  
12 legislation or regulation. FSIS, Loren, specifically in his  
13 paper said, "How should we approach this from a  
14 legislation/regulatory viewpoint?" And there's some typos  
15 here, but let me just read the sentence. "Recommend the  
16 legislative" -- rather than legislature approach --  
17 "legislative approach to effect these changes be amendments  
18 to the PPIA" -- that's Poultry Product Inspection Act -- and  
19 the FMIA" -- the Federal Meat Inspection Act -- "(surgical  
20 approach)."

21 And then, as a follow-on, regulations would be  
22 changed accordingly. But obviously, the laws -- regulatory  
23 changes -- I mean, the legislative changes to the statutes  
24 would drive regulatory changes.

25 There were three options that were put forth. One

1 was completely rewrite the two laws. One was this. That  
2 is, amendments to the existing laws. And a third one was a  
3 separate law. This was the unanimous consensus of the four  
4 subcommittee members.

5 And I put the surgical approach. That was the  
6 verbiage used in the concept paper. That's what that means,  
7 "surgical approach."

8 MS. GLAVIN: Discussion?

9 MS. FOREMAN: I'd just remind the subcommittee  
10 that the making of law is a lot messier than the making of  
11 sausage.

12 DR. LAFONTAINE: We realize that.

13 MS. FOREMAN: This remark reminded us, and there's  
14 no such thing as surgical, but it's a good recommendation.

15 DR. LAFONTAINE: The fifth item -- I'll read it.  
16 "Inspection standards should be the same as current amenable  
17 species." In essence, what that means is the subcommittee  
18 was not going to get into how to inspect what when. As we  
19 consider HACCP-based inspection models, turning -- possibly  
20 turning certain things -- certain responsibilities over to  
21 industry, if it makes sense with the existing amenable  
22 species, and it would in turn could make sense for the add-  
23 ons.

24 And I'll add an editorial. The three million  
25 quail that are under state inspection in South Carolina are



1 young, uniform healthy animals would be the same as young  
2 broilers, for example. So, if we ever got that far, we  
3 could possibly use the same approach for those type of  
4 animals if the volume and the type of volume justifies it.

5 So, basically, we're saying is leave well enough  
6 alone. We've got existing standards and we're working on  
7 changing those. And so, anything that's added would be  
8 brought into that family for consideration.

9 MS. GLAVIN: Comments? Carol?

10 MS. FOREMAN: I apologize to everybody for being  
11 late this morning. You may have already gotten into a  
12 discussion of this earlier in your recommendations. Would  
13 you be willing to insert in there health-based/risk-based  
14 standards so that it really says that you should have risk-  
15 based standard for the inspection of these products just as  
16 we're developing for other products?

17 DR. LAFONTAINE: In other words, risk-based  
18 inspection standards?

19 MS. FOREMAN: Yes.

20 DR. LAFONTAINE: I don't have any problem with  
21 that.

22 MS. FOREMAN: I'm really saying the inspection  
23 standards should be risk-based for these as they are for  
24 others.

25 MS. GLAVIN: Could I just seek one clarification?

1 By the same as, I'm assuming you mean comparable, similar  
2 standards that you don't mean that we do exactly with quail  
3 what we do with young chickens necessarily, or do you? I'd  
4 just like that clarified. We have a bad history "on the  
5 same as."

6 MS. FOREMAN: Well, I was looking for -- that's  
7 why I was trying to get the risk-based in there so that they  
8 would be -- you'd do this based on --

9 DR. LAFONTAINE: We can accomplish the same thing  
10 if the rest of the committee agrees, is should be equivalent  
11 to.

12 MS. FOREMAN: I'm looking for that health risk in  
13 there so that -- if you determine there's not much of a  
14 risk, you have a level of inspection that is appropriate to  
15 a low risk, and if it's a high risk, you've got a level of  
16 inspection that's appropriate for that.

17 MS. GLAVIN: Do you have a suggestion to solve the  
18 problem?

19 DR. LEE: I would suggest something with that  
20 risk-based, but something -- inspection standards should be  
21 risk-based and appropriate to species.

22 MS. FOREMAN: That's fine.

23 MS. GLAVIN: Great. Terry?

24 MR. BURKHARDT: I want to ask the subcommittee  
25 when you discussed this issue and you consider moving from

1 voluntary to mandatory, presently those products that are  
2 all under voluntary inspection in state programs are allowed  
3 for interstate shipment. If this were to go to mandatory  
4 and we become mandatory species, did you discuss the  
5 possibility of whether interstate shipment of those products  
6 would be a factor at that point?

7 DR. LAFONTAINE: We did not discuss that but I did  
8 think about it. And you make certain assumptions, and the  
9 assumption I made was that interstate shipment of state  
10 inspected product will become a fact hopefully within the  
11 next year or two. So, hey, I personally went out on a limb,  
12 but you're right. If that failed and this passed, you would  
13 have a dilemma. That was an assumption that I made as I  
14 developed this.

15 MR. BURKHARDT: Because right now the market is  
16 well established for these voluntary products across state  
17 lines.

18 DR. LAFONTAINE: I want to go back to number 5 to  
19 make sure I got what Terry I guess -- inspection standards  
20 should be risk-based?

21 DR. JAN: And appropriate for species.

22 DR. LAFONTAINE: And appropriate for species.

23 DR. JAN: And appropriate for these species.

24 DR. LAFONTAINE: And appropriate for the species.

25 Okay. Let me go on if that part is solve, or

1 resolved, I should say.

2 Now, we threw this in -- and we're not bashful.  
3 We threw it in for political reasons. No. All kidding  
4 aside, we feel that -- the subcommittee felt these changes  
5 will be consistent with USDA's vision of a same state and  
6 federal inspection system.

7 And to embellish just a moment, what is currently  
8 happening and what will probably happen even more in the  
9 future if the interstate shipment issued is resolve and this  
10 becomes -- these species become amenable, that a great deal  
11 of this workload will end up with state programs, but that  
12 state and federal inspection agencies will have the same  
13 ground rules. So, it will be seamless. It won't be state  
14 laws for this and federal laws don't cover it and the big  
15 mess we have right now.

16 So, that's why we put that in. It would fit in  
17 with the vision of a seamless consistent system. Yes?

18 MS. FOREMAN: Could I ask that -- I'd like that a  
19 lot better if it said, "the changes" because you're  
20 recommended a bunch of changes before this "should be  
21 consistent with the USDA vision of a risk-based seamless  
22 federal, state and inspection system. I bet you'll see when  
23 we get around to discussing ours, I keep wanting to shoot  
24 for what's appropriate to protect public health and see  
25 everything through that lens.

1 DR. LAFONTAINE: The subcommittee -- let me read  
2 it back to see if the suggested wording captured it. "The  
3 subcommittee feels these changes should be consistent with  
4 the USDA vision of a risk-based seamless federal, state  
5 inspection system."

6 MS. FOREMAN: Yes. I would like that a lot  
7 better.

8 DR. LAFONTAINE: Anyone in the committee feel  
9 otherwise? Okay.

10 And then the final paragraph is we feel is a  
11 follow-up action that FSIS develop a revised or second  
12 edition concept paper incorporating these recommendations to  
13 be presented at the next meeting similar to the scenario we  
14 used for interstate shipment.

15 MS. GLAVIN: Okay. Let me ask you to expand, and  
16 it doesn't have to -- you don't have to change the  
17 recommendation but just talk a little bit about what you're  
18 looking for. We spent a fair amount of time trying to  
19 figure out what the heck you guys wanted in a concept paper.  
20 You know, are you looking for the Agency's kind of take on  
21 this? Are you looking for some more technical information?  
22 Are you looking for options on how to do this because you  
23 know, we can do any of the above but it's sort of silly for  
24 us to waste our time and then come back with something that  
25 may or may not meet what it is you're really looking for.

1           What do you mean when you ask us for this?

2           DR. LAFONTAINE: Let me try to answer that. The  
3 concept papers, the three versions that were used for the  
4 interstate shipment is what I'm talking about. There's an  
5 agreement on where we should proceed. So, that's what is  
6 the objectives? And then, how are we going to reach those  
7 objectives through actions, whether it be rulemaking or  
8 legislative changes? And then, what would be the essence or  
9 the content of those changes?

10           So, the full committee can see what language is  
11 going to be proposed or recommended to effect the end result  
12 or to accomplish the end result.

13           MS. GLAVIN: Well, if you're asking for the agency  
14 to write its position on these issues, that's one -- which  
15 is what we did in the interstate shipment. We wrote concept  
16 papers on our -- and it did evolve over the various versions  
17 -- our evolving position on the issue of interstate  
18 shipment. You know, whether the agency's position is going  
19 to come out the same as what you're recommending, I don't  
20 really know at this point.

21           I just need to be sure what it is you're asking  
22 for. Are you asking for what is at the point in time the  
23 agency's position on this subject, or are you asking for  
24 some options for you to consider further?

25           Again, we're able to do it a number of different

1 ways. I just need to know what we're being asked for.

2 MS. FOREMAN: It's my perception that there's some  
3 distance between the Advisory Committee and the agency on  
4 this particular issue. And again, I'm wondering if we might  
5 narrow that gap by getting -- and I'm asking you and the  
6 members of your subcommittee, Dan, on this. If we could get  
7 the agency to come back with the paper that first addresses  
8 what the health risks are from these uninspected species.

9 We've been going at it kind of on an economic  
10 basis. A gentleman yesterday was quite eloquent about that.

11 But I'd feel better if we could go at it in terms of what  
12 are the health risks? And how can you address those so that  
13 we might end up with a proposal that is based on a health  
14 risk and doesn't have to go back and raise the issue of  
15 whether or not we're going to have bison-by-bison,  
16 continuous inspection if there is no health risk that  
17 justifies that. And I don't know now.

18 MS. GLAVIN: I guess, Carol, I just want to react  
19 to your opening of that, which is that there's a fair  
20 distance. I think it is fair to say that the agency and the  
21 committee are very much in sink on the objective. And  
22 that's no question.

23 My sense is that we have different senses of the  
24 priority of this in the scheme of moving to a risk-free food  
25 system. That's --

1 MS. FOREMAN: I certainly agree.

2 MS. GLAVIN: Loren, you have something to add?

3 MR. LANGE: Yes. If it's appropriate because -- I  
4 mean, if the recommendation to revise the concept paper is  
5 sort of agreed to, I figure there's an outside chance it  
6 might affect my life. Something like that.

7 But I was thinking last night as I sat in the  
8 parking lot having left my lights on and my battery was dead  
9 at 9:15, so I had an opportunity to think, too. And I was  
10 thinking one thing that as we move to the next paper, at  
11 least, even if it wasn't really providing a lot of data on  
12 like pathogen levels in these species, the next paper should  
13 at least capture -- you know, when Mr. Burkhardt asked for  
14 yesterday that the volume of these products that are  
15 currently inspected in states to document the types of  
16 inconsistencies we have such as if Iowa has mandatory  
17 inspection for deer, you know.

18 What are the species that are mandatorily  
19 inspected in different states? And to document the types of  
20 -- you know, Mike said last night the status quo creates a  
21 lot of headaches. So, at least without getting real  
22 specific on maybe sort of a risk assessment for which  
23 probably by the next meeting isn't possible, we can move the  
24 issue forward.

25 If we have four states represented here, is that



1 shortly after this meeting, the agency sort of could  
2 initiate at least a questionnaire out to the states to sort  
3 of ask for the information on what other mammals are now  
4 under voluntary mandatory inspection, how much, and what  
5 types of problems does it create for the states? At least  
6 that is the beginning of sort of I think moving the  
7 characterization of the problem forward.

8 MS. RIGGINS: You would have to get approval from  
9 OMB for that.

10 MS. GLAVIN: I was going to suggest if we move --  
11 if people agree with what Loren is suggesting, we could have  
12 our state members send out a questionnaire to their fellow  
13 state members because they don't have to go through OMB.

14 Reactions to what Loren who -- who is right.  
15 There's a fair chance he will be your author.

16 Mike?

17 MR. MAMMINGA: It would seem very logical to take  
18 this to the step that Loren indicated, but in very short  
19 order, we're going to, once these things are fleshed out and  
20 numbers that are available are put on paper so that we can  
21 do some cost analysis of what it costs to provide this  
22 expanded service under a mandatory inspection, but in fairly  
23 short order after we have that, the agency's going to have  
24 to take a stand on it. Obviously, we have a limited amount  
25 of time to work on a number of pressing issues in this

1 committee if we're going to try to do our job.

2 And for me, if something's deceased, then we  
3 better move on to something else. And that's why we need to  
4 make our case in front of the agency, have some numbers, and  
5 then let's get the agency's stand on it because as with all  
6 of us that are ultimately governed by a political process,  
7 there are other ways that people can choose to try to get  
8 what they want other than through our personal approval of  
9 something.

10 So, I'd say in fairly short order if we can get  
11 you the data, I would like to know what the agency has to  
12 say about these things individually. I don't have to have  
13 them all, but what can we have? What are you willing to  
14 support? That would be important to me.

15 MS. GLAVIN: Is that a good way to go with the  
16 concept paper?

17 DR. LAFONTAINE: Let me summarize what I hear and  
18 Loren, what you hear. As one of the actions leading up to  
19 the next concept paper is through the state directors we  
20 would provide additional information -- background  
21 information on what's happening in the existing marketplace  
22 for all these species that are not currently amenable, and  
23 also, as a part of that, any knowledge we have of pathogens  
24 or risks from these animals. That's kind of a subset.

25 The concept paper, I guess I'm still not clear

1 what the consensus is. I'm saying -- I'm repeating partly  
2 what I said is it would be taking these broad guidelines and  
3 melding those in with the agency's thinking to see what  
4 would be a reasonable position and pathway to accomplish it.

5 And if the agency comes up with -- well, I'll just stop  
6 right there.

7 MS. GLAVIN: Okay. Collette?

8 MS. KASTER: Maggie, back to your original  
9 question, which I think Dan was trying to hit on a little  
10 bit, which was what do we want in a concept paper? And  
11 maybe we're talking about two different types of papers  
12 because what Loren initially presented was a very nice menu  
13 for us to work off of what the options were. So, maybe  
14 we're no longer talking about a concept paper, but more of a  
15 position paper because we've described how that  
16 subcommittee, then the Committee and then the agency feels  
17 about this particular issue.

18 And so, I don't know if that's the right  
19 vernacular or not, but maybe some further definition of what  
20 concept paper is versus what we're trying to do might be  
21 necessary.

22 MS. GLAVIN: Okay. Katie?

23 MS. HANIGAN: I just wonder how the agency could  
24 present a paper to us without having the information back  
25 from the states first. I would think that would be a key

1 factor before you could develop a position.

2 MS. GLAVIN: I think that's probably right.  
3 Carol?

4 MS. FOREMAN: I really want to stick on this  
5 notion of having some indication of what the health risk is  
6 from these. If you come up with quail as we have or  
7 chickens where fewer than -- what is it? One percent have  
8 any disease, and none of those are transmissible --  
9 virtually, none are transmissible to humans. I'd like to  
10 see a paper that puts extending inspection to that product  
11 in an appropriate context, rather than doing it because  
12 there's an economic equity issue involved.

13 So, if the paper can just start from the notion of  
14 we want to do this on a risk to human health basis and I  
15 presume that that relates both to the nature of the beast  
16 and the disease and to the number of them being slaughtered  
17 for human food, then I think we could come to a paper that  
18 would get to be almost irresistible in terms of taking some  
19 action.

20 MS. GLAVIN: Alice, you've been trying to jump in.

21 DR. HURLBERT: I think Carol said pretty much what  
22 I was going to say. You had mentioned earlier, Maggie,  
23 about prioritizing. And I think everybody realize there's  
24 resource issues with this. Maybe as the state association  
25 pull in their numbers, maybe there's some way that there

1 could be some priority based on public health risk. And  
2 then the agency could respond after that type of information  
3 is given to them with a priority list included.

4 MS. GLAVIN: Okay. In the interest of -- go  
5 ahead.

6 MS. DEWAAL: I'd just -- and maybe this has been  
7 said, but I think it's vital that we start having some cost  
8 figures associated with this because the public health data  
9 will be very beneficial, but a lot of the rationale behind  
10 this frankly is a level playing field for these competing  
11 species. And that's really an economic issue as well as a  
12 state resource issue. I think we really need to see numbers  
13 and start that priority setting that Alice was talking  
14 about.

15 MS. GLAVIN: Okay. Let me suggest the following  
16 if this meets with people's agreement. Dan, if you and  
17 Loren can work together to get some information on what is  
18 going on now as outlined by Loren from the states with  
19 obviously the request going from you guys to your  
20 colleagues. When we have that information, we will  
21 undertake to do a concept paper along the lines of our  
22 earlier interstate shipment concept paper. That is, laying  
23 out our current thinking on what the objectives are, what  
24 the issues are, and where we are at this point in moving  
25 towards that.

1           To the extent that we can include both cost and  
2 risk information, we will. Cost information is -- you know,  
3 we can probably calculate that. There's very, very little  
4 information -- very, very little data on risk. So, I don't  
5 want to promise you something that isn't going to be there.

6           Yes?

7           MR. MAMMINGA: Could I just say one last thing? I  
8 agree with all sorts of these good suggestions that have  
9 been made, but I want to sensitize you in one point. This  
10 is not just a state issue. Exotic animals are slaughtered  
11 under federal inspection in all the designated states  
12 including buffalo and servidae, the ratite family. This is  
13 not just something that comes from your state program  
14 cooperators. This is an issue that affects all 50 states,  
15 and those species in all 50 states. And the health risks  
16 address all 50 states.

17           So, as we look at this with the data that we can  
18 gather to make an educated decision on what we're willing to  
19 assume and not, let's just keep it as a national issue  
20 involving other species that are not currently amenable to  
21 the mandatory provisions. That's just --

22           MS. GLAVIN: Absolutely. I think the reason  
23 Loren's looking for some information from the states is I  
24 think that's a good place to start in terms of what's out  
25 there. Okay?

1 DR. WOTEKI: I'd also like, Maggie, to make a few  
2 comments. I think this conversation has been very  
3 interesting. And I'd like to remind the committee of  
4 something that I said yesterday in my opening comments. And  
5 that is, that you are advisory to the Secretary. And in the  
6 development of proposals such as this one, it's very  
7 valuable, both to the Secretary and to me to understand  
8 where the differences of opinion lie between the agency  
9 position and proposals, and those -- the views of the  
10 organizations and the constituencies that you represent.

11 In the development of the concept paper on the  
12 interstate shipment, that give and take that occurred at the  
13 subcommittees and in the Committee discussion really shaped  
14 the direction that that proposal took. And it was also very  
15 helpful to us that there was a position paper -- a concept  
16 paper that came forward that reflected all of those views,  
17 and also that was agreed on by the agency and by the  
18 Committee.

19 I don't think that that's necessarily going to  
20 happen every time. I mean, I could envision circumstances  
21 where the agency position and the Advisory Committee's  
22 position might be quite different. And that in itself is  
23 also helpful to me and to the Secretary to understand those  
24 situations.

25 So, as this concept paper is moving forward, I'd

1 like the approach that we came up with in the end for  
2 further development of the paper. And I think that these  
3 kinds of discussions, if you all keep in mind that your  
4 advice does go to the Secretary, that that will also be  
5 helpful in shaping the directions that we take on this, as  
6 well as other issues.

7 MS. GLAVIN: Okay. Dan, anything more from your  
8 committee? I think, you know, you may have lost a little  
9 bit in the race, but you're still in there.

10 DR. LAFONTAINE: I agree.

11 MS. GLAVIN: Let me ask. Bill Smith and Mary  
12 Clutshall are here. I gather this was added to the agenda a  
13 discussion on HACCP implementation in small plants. Did you  
14 -- they asked for this yesterday? This is a request from  
15 yesterday for an update on HACCP implementation in small  
16 plants.

17 MS. HANIGAN: Maggie?

18 MS. GLAVIN: Yes?

19 MS. HANIGAN: Just for clarification, we heard  
20 yesterday and correct me, the committee, if I'm wrong that  
21 the micro committee was doing some identifications of  
22 hazards for the very small plants, and the question came up  
23 once these hazards were identified, were they going to be  
24 mandatory CCPs? And clearly, we were told yesterday, no.  
25 And that there would be a packet of information sent to the



1 circuit supervisor. And that led to questions about would  
2 the very small plants get the same packet of information as  
3 well as the language? Would it go out under guidelines that  
4 prudent manufacturers would follow?

5 And that's when they said perhaps we needed to  
6 have Bill come over when we got into those type of  
7 questions.

8 MS. GLAVIN: Okay. And Kaye mentioned to me as  
9 she was leaving that the subcommittee of the micro committee  
10 that is working on that document has not yet produced a  
11 document. So --

12 MS. HANIGAN: Do you think they will by May?

13 MS. GLAVIN: I don't know. I have not been very  
14 close to that, but I think we are close approaching a time  
15 when a document is not going to be of an ease to anybody.

16 MS. RIGGINS: Could I add to that? Yesterday  
17 evening we had a discussion with Dr. Stringthal about what  
18 our appropriate response would be once the micro committee  
19 does, in fact, develop these risks. My understanding is  
20 that we would simply reproduce them. They would not go out  
21 under the agency's -- we would not add them or make them a  
22 part of any guidelines that are under the agency's heading.

23 That we would provide these as information to the  
24 very small plants, but not attribute ownership to the  
25 agency. That they would be scientifically based, based on

1 the micro committee's expertise and not be considered  
2 guidelines from the agency in that respect. I mean, I'm not  
3 sure what you were -- is that making sense?

4 MS. HANIGAN: No, that doesn't really make any  
5 sense. I mean, I guess my question is, if the micro  
6 committee is going to identify hazards and we -- you, I'm  
7 sorry, you, the agency, are going to put them in a packet is  
8 what we were told yesterday and send them to the circuit  
9 supervisor, we were clearly told yesterday they would not be  
10 mandatory CCPs, but we sit and struggle with -- you just  
11 said it's not guidance material. Then, what exactly is it  
12 that's going out?

13 MS. RIGGINS: What I'm saying is in order to do  
14 guidance material, we would have to go through a much more  
15 elaborate process. We'd have to go through rulemaking  
16 possibly. And to make them available to the small plant,  
17 the very small plants so that they can avail themselves of  
18 it, they can use that information is our current goal.

19 If we were to do something more, we would probably  
20 have to go through rulemaking or some other administrative  
21 process to make that happen. In the interests of getting  
22 the information the very small plants, that was one of the  
23 options that we discussed yesterday evening.

24 MS. GLAVIN: Why don't we let Bill and Mary make  
25 their presentation and see what the questions are after

1 that?

2 MR. SMITH: I think the best examples is something  
3 we've already done, and then we can go back. But let me say  
4 first, any guidance material that is sent out as Judy was  
5 saying or that's attached to anything that field operations  
6 sends out would be cleared through policy and then the  
7 national HACCP coordination effort, and then we would send  
8 it out.

9 Just recently, in fact, yesterday -- no, Monday.  
10 I'm sorry. We sent to all district managers a letter  
11 designing and instructing them on initiating the very small  
12 plant -- what we call the very small plant HACCP outreach  
13 program. And what that does -- what we have done is  
14 identify every very small plant under federal inspection in  
15 the country. And we know location, city, state.

16 And we also have identified the front line  
17 supervisor, whether it be an in-plant supervisory veterinary  
18 medical officer or what we call a multi-IP supervisor.  
19 That's one -- that's a supervisor that has responsibility  
20 for five or six plants in a geographical area and/or the  
21 circuit supervisor, which is one level up. And usually,  
22 they have responsibility depending on the size of the  
23 operation, anywhere from 20 to 70 plants.

24 What we have asked them to do is to begin and  
25 complete by July 1 making contact with each and every one of

1 these plants. On the federal level, there's about 3,300.  
2 And again, we're sharing this information with the states  
3 and all our materials so they can go through that process.  
4 And I believe Dr. Leis is coordinating that effort with the  
5 state programs.

6 And the first thing we will do is Mr. Billy sent  
7 out a letter on March 16. And in that letter, there was a  
8 number of things in there. One was a very small plant  
9 proposed timetable for HACCP implementation that laid out in  
10 calendar months, you know, "you should be doing your hazard  
11 analysis and getting your training done in this time period.

12 And then you should be establishing CCPs by this time  
13 period." And we can share that with you.

14 And so, we're going -- we're in this contact with  
15 the front line supervisors. They'll ask if they have gotten  
16 Mr. Billy's letter and all the attachments because there's  
17 guidance in there and HACCP coordinators are also identified  
18 in there. And if they have not, we'll provide it.

19 We're also going to provide them a copy of the  
20 regulation 417. We will answer any technical questions as  
21 far as what's in the regulation. And then, they have been  
22 instructed -- given a list of the state coordinators and the  
23 state information and all the HACCP coordinators and the  
24 network that's under the national HACCP coordination team.  
25 And so, if they have questions about training or where to

1 get information, they can go there.

2           And then, we're also going to ask this front line  
3 supervisor in this one on one contact to identify their  
4 readiness at this point. So, in March to May, we think it's  
5 a good idea that the plants would be starting to think about  
6 getting HACCP training and understanding that process. And  
7 so, one of the things we'll be asking, do the plants -- are  
8 they aware of the requirements coming up including the  
9 implementation date?

10           And then, if they are, where? If they're aren't  
11 sure how to meet them, how do we get them information? We  
12 have a category where they may be aware, but they're not  
13 really interested in meeting them because that puts you in a  
14 different interaction. If they weren't aware of the  
15 requirements but now they are, they're going to put in a  
16 process to meet them. And then there's a category that they  
17 weren't aware of the requirements -- so, that gets you a  
18 start point.

19           Each one of those plants will be based under the  
20 category of a -- database, which will be tracked at the  
21 district office. And so, then the next timeline for  
22 advancing HACCP will be on or before August 1999 -- the  
23 plant should be in the process of performing their hazard  
24 analysis and listing their products, developing their  
25 flowchart, understanding their hazards. And so, again, we'd

1 have the front line supervisors go out and make an  
2 assessment based on those categories where the plants are.

3 If they don't, and again this would not be that  
4 you must. This is not a regulatory -- we're not taking any  
5 regulatory action if they don't have their hazard analysis  
6 done or they don't have their training done, but at least it  
7 allows us to track and provide them information where to go  
8 get, based on the listing of the state coordinators and the  
9 guidance material -- where they could go to get this  
10 information.

11 So, I would see here on the Advisory Committee on  
12 this hazard that that would be a guidance document like  
13 generic models or other things that periodically Mr.  
14 Billy -- and I'll let Mary Clutshall talk about that -- is  
15 sending out periodic letters just like he did on March 16.  
16 And those become attachments that "Here's another piece of  
17 guidance that is available to you to use in performing your  
18 hazard analysis." And so, we would hope that we can get  
19 those kind of things out there.

20 But that's how we plan to track this all the way  
21 up to January. Yes?

22 MS. HANIGAN: One very specific question. As  
23 they're moving through the timeline with the very small  
24 plants, will the circuit supervisor or the IIC, whichever it  
25 may be, if they clearly see that the plant -- although

1 they're trying, they are not doing it correctly. Are they  
2 going to try to give them guidance in July saying, "I see  
3 you're doing your flow diagram, but that's not exactly what  
4 we had in mind?" Because then, we get into who's writing  
5 the program and who's not.

6 And I have concerns because at the same time the  
7 plant has to have some feedback prior to January and let  
8 them go this whole six months doing it wrong. How is your  
9 agency going to address that with these real small people?

10 MS. CLUTSHALL: One of the things that we plan to  
11 do with the circuit supervisor outreach and as Bill said the  
12 tracking mechanism, is to make sure that we're aware of  
13 those folks, that although they're trying, just aren't  
14 getting it, so that we can steer them in the direction that  
15 they need to go.

16 We don't expect that the circuit supervisor will  
17 be, or the IIC or the multi-IPS supervisor will be the one  
18 that necessarily is given the most detailed information, but  
19 they will be leading these people to where they need to go  
20 so that they can get that information. And we are going to  
21 supply that type of material and information to these folks.

22 We expect that through the feedback system, which  
23 will be operating through headquarters and also through the  
24 HACCP coordination office, that as we go along, we will have  
25 pinpointed the folks that are fairly well on their way, seem

1 to have a good understanding. And therefore, we can focus  
2 our resources where they're most needed and we can be the  
3 most effective.

4 MS. DEWAAL: I have a question. I think for Judy  
5 on the -- it's the guidance. Is this --

6 MS. RIGGINS: The distinction I was trying to make  
7 is that we will make the document available. We will make  
8 7,000 copies so that we're sure that we've got everyone a  
9 copy, but it won't be identified as FSIS guidance. It will  
10 be guidance from the micro committee who stand on their  
11 expertise, and that information is valuable to small -- very  
12 small producer, very small plants.

13 MS. DEWAAL: When we were doing seafood HACCP and  
14 I was working with FDA at that point as they were  
15 implementing, they produced something called, "The Hazards  
16 and Controls Guide," that we called the Bubba Guide because  
17 it was designed as kind of, you know, any fish processor  
18 could open it, find their species, figure out what the  
19 hazards were and then go to the control chart and figure out  
20 what the controls were. And it provided guidance both for  
21 the industry, these very small seafood processors and the  
22 agency, so that when the agency went in, they at least had a  
23 list that they could look at to see if the plants were  
24 complying.

25 Is that the level of communication in this



1 document, or is it going to be very high minded and  
2 scientific? I mean, we want it high minded and scientific,  
3 but we also want it capable of informing.

4 MS. RIGGINS: We don't know at this point. We  
5 don't know whether there will be -- you know, whether it  
6 will be a very academic scientific document or whether it  
7 will have practical steps that very small companies can  
8 follow. We just don't know what it will say at this point.

9 MS. DEWAAL: And the agency may want to have more  
10 of a role than just turning it over to the scientists  
11 because there is a communication element to this that -- I  
12 mean, the hazards are not that different between small  
13 plants that are producing the exact same product. And so,  
14 it is a uniform set of hazards that at least they need to  
15 consider and then they can put different controls in. But  
16 this isn't -- I just hope there's a good document that gives  
17 -- you know, a kind of Bubba Guide that gives the people out  
18 -- you know, the people who are really trying to implement  
19 this in their own plants.

20 MS. GLAVIN: We have an enormous amount of  
21 material out there. And Mary, I can't do it off the top of  
22 my head. My guess is you probably can do a pretty good job  
23 of spilling this off.

24 MS. CLUTSHALL: I was going to say, we have a  
25 number of things that we've already put there. We have a

1 general HACCP guidebook that we have designed all our  
2 guidance. When we first started putting together the  
3 guidebooks, the generic models, everything we put together,  
4 we put together with that very small producer in mind so  
5 that we have 13 HACCP generic models that have been out as  
6 draft for a number of years. We're getting ready to  
7 finalize a number of those.

8 We have the basic video on HACCP that is available  
9 to anyone. We have the SSOP documents that are available to  
10 anyone. We have the overall HACCP guidebook that is  
11 available to anyone. We have an implementation videotape  
12 that is available to anyone.

13 These are the kinds of things that we have already  
14 gotten out. As Bill mentioned, the Mr. Billy letter, what I  
15 call the Mr. Billy letter. Part of what was contained in  
16 there was not just the list of contacts and coordinators,  
17 but information about our office, the kind of things we do,  
18 the programs that we've undertaken, my personal phone  
19 number, my personal fax number.

20 MS. DEWAAL: Just bear with me for a moment. I  
21 mean, I'm a small turkey producer. Are there any -- okay.  
22 And I know a whole lot about turkeys, but I don't know the  
23 names of these long scientific things that might make people  
24 sick. I mean, is there a guide that I can go look up  
25 turkeys and see, oh, campylobacter, salmonella, here are

1 your control steps, you know, for me?

2 MS. CLUTSHALL: I would say that we do have that,  
3 but I think that at this point and Bill was trying to make  
4 that point is that we can tell you, you need to worry about  
5 campylobacter. You need to worry about salmonella, but when  
6 you talk about the very small producers, what our challenge  
7 is, is to not just say, "It's salmonella, it's  
8 campylobacter," but to say, "Do you understand what this  
9 means?" And when you talk about a Bubba Guide, that's where  
10 we're really trying to go.

11 And I think what Judy is saying is that we're more  
12 than willing to put that information out, and perhaps what  
13 you're asking from us might be that if indeed we do that  
14 under the auspices of one of our correspondences from the  
15 Administrator that we include some information and talking  
16 points, not only for our circuit supervisors to assure that  
17 people understand what they're reading, but that they  
18 understand where they can go to get some of the information.

19 And that's what we're trying to accomplish through the  
20 outreach process.

21 DR. HURLBERT: The outreach and the way I  
22 understand the circuit supervisor is kind of the messenger  
23 here. Here's the materials. Here's where you go get help.

24 Now, there have been a lot of changes. Mary was  
25 talking about the Mr. Billy letter. We've had a couple of

1 Mr. Billy letters that have come out as we've worked our way  
2 through some of the hazards involved in our HACCP plans and  
3 our programs, which have resulted in reassessment of HACCP  
4 plans and that type thing.

5 Are the circuit supervisors relaying this  
6 information to the smaller processors that, you know, the  
7 agency reviewed this and they feel like that there should be  
8 a CCP other than it receiving? And to throw in one more  
9 question, that information if the agency continues in that  
10 direction really needs to get the very small guys, you know,  
11 as they're in this process right now.

12 If we just have circuit supervisors as kind of the  
13 outreach people, then when we get to something like that,  
14 you're asking them to go beyond and start going into hazard  
15 analysis. Has the circuit supervisors had training? Do  
16 they sit down and do a hazard analysis as part of a training  
17 course at any point?

18 MR. SMITH: Okay. A couple things. We have said,  
19 and it's not just the 200 circuit supervisors that are doing  
20 this. The front line, where we have multi-IPS, and we have  
21 just abouts. So, we have a workforce about 500 people that  
22 are going to be tracking this. So, it's where you don't  
23 have a multi-IPS supervisor or a circuit supervisor to get  
24 involved.

25 We are now in the process of identifying multi-IPS

1 supervisors that have not been trained. Right now we feel  
2 there's probably less than 30. And once they are -- so,  
3 they are getting priority to be trained very shortly.

4 They will talk about regulatory requirements. So,  
5 in our training package last year, we included the C.F.R.s  
6 that communicated the policies you just talked about. And  
7 so, that's part of the training, and they will be able to  
8 communicate regulatory requirements, whether it be what's in  
9 417, or the C.F.R. that clarifies that, the ones on zero  
10 tolerance, multiple -- you know, one CCP. All those Federal  
11 Register Notices have been included in the training. And  
12 so, they'd be able to provide feedback from a regulatory  
13 perspective. And any other direction, they would be coming  
14 from the guidance sources.

15 DR. HURLBERT: But they have not had training in  
16 hazard analysis as sitting down and doing a hazard analysis?

17 MR. SMITH: No, and we don't -- other than with  
18 the circuit supervisor in the specialized training, but  
19 again, we see the guidance of where we send the people, too,  
20 for that guidance, where they'll get information on hazard  
21 analysis. We're not making them hazard analysis experts,  
22 no.

23 MS. GLAVIN: Let's do Dan and then Katie.

24 DR. LAFONTAINE: Bill, you kind of answered  
25 already, but I want to make sure and then I guess you can

1 formulate this is a recommendation -- personal  
2 recommendation. My question is this. The part 417.7 gives  
3 training requirements for industry folks. And it's broad,  
4 but specific enough that you can understand seven principles  
5 and how to develop a plan. That's the nuts and the bolts of  
6 what I call the technical part of HACCP.

7 My question is, what group or groups of FSIS  
8 supervisory individuals and front line individuals have  
9 actually had the equivalent of what's in the C.F.R.?

10 MR. SMITH: Basically, it's the group going  
11 through the expert -- it's much more than that, but the  
12 group going through the expert HACCP training because that  
13 gets into how I do a hazard analysis, those type things.  
14 And I believe there's about 30, 40 individuals and then  
15 Policy has a number of people that would more than meet that  
16 requirement.

17 We did not -- and I've said this numerous times.  
18 What we have trained our people on is determining compliance  
19 to the regulatory requirements of 417. And so, they need to  
20 be able to make determinations. Was a hazard analysis done?

21 Critical control points set up on that? Frequencies  
22 established? Verification? All the requirements in the reg  
23 are there, and that's what the basic compliance checklists  
24 do.

25 If there's questions about science, we have

1 constantly instructed our people to call the Technical  
2 Center. The Technical Center then would give them feedback  
3 about whether they have a concern or not. If the Technical  
4 Center can't answer that, Policy and OPHS work with field  
5 operations to get an answer back.

6 So, that's why we feel that they can be in a  
7 verification mode. So, once you have those questions  
8 established about the science that's in the program, and  
9 again, reliance on the Technical Center and/or OPHS or  
10 Policy to help do that, then what they do is on an ongoing  
11 basis, verify they're carrying that out. And that's really  
12 what we train them to do.

13 DR. LAFONTAINE: Let me make a statement, and I  
14 realize this dialogue could go on, but I have to state it.  
15 For the small and especially the very small plants, there is  
16 a disconnect when the evaluator, i.e., the circuit  
17 supervisor or the ICC does not understand fully the  
18 technical aspects of what they're evaluating, i.e., how to  
19 do a hazard analysis, how to identify critical control  
20 points, how you actually determine critical limits and et  
21 cetera.

22 So, my point is that I still feel FSIS has a  
23 disconnect in that you're evaluating things you do not fully  
24 understand. I'll just leave it at that. I just state that  
25 for the public record.

1           MR. SMITH: Well, let me state also, though,  
2 whether you're very small or large or small, it's the  
3 plant's responsibility to understand those, because we can  
4 tell them that you have to be worried about stabilization,  
5 let's say. They don't understand why they need to be  
6 worried about that. Then, the problem lies with the plant,  
7 and we can tell them that you need to do this, this and  
8 this, but they'll never achieve a basic understanding of why  
9 they need to do it.

10           And so, that's been the problem all along,  
11 reliance on FSIS. "Tell me, Mr. Inspector or Dr.  
12 Veterinarian, what to do and I'll do it, and you'll have no  
13 problem from me." The problem becomes they don't know why  
14 they're doing it.

15           And so, when a deviation does occur, (1) they  
16 don't recognize the importance of it, because they don't  
17 know why they're doing it. And so, to them, it's no  
18 different than not filling out a record in some cases. I'm  
19 not telling you anything you don't know.

20           So, yes, our people and I think in our training,  
21 we do them a pretty good grounding in hazard analysis is  
22 what the plant goes through. Certainly, from establishment  
23 of a critical control point and we're at a critical limit  
24 monitoring verification, we do spend a lot of time on that.  
25    So, I don't -- but we have not taken them through any



1 decision tree process on hazards, and nor do I think that is  
2 our job.

3           So, I just want to make that very clear that  
4 really with this going to work, they have to have the basic  
5 understanding. And that's where we want to provide the  
6 resources, which is not the inspector, but all these support  
7 groups that the coordination group is leading to work them  
8 through that. And I think that's really important. And our  
9 people are there to tell them where the resources to get it.  
10 As far as regulations, they can share with them like cool  
11 down on roast beef, what that accomplishes because that's  
12 already published, and they can share that kind of  
13 information. But the plant really needs to understand why  
14 they're doing what they do.

15           MS. GLAVIN: Okay. Katie?

16           MS. HANIGAN: We don't have any very small plants  
17 coming on at Farmland. All our plants are on HACCP. And  
18 I'm really concerned for the small plants. And this is why.  
19 I am subject now daily to what I call drive-by regulations.  
20 And basically, we have various interpretations of  
21 regulations now out in the field by agency personnel that  
22 are in the same establishment. And we see a resistance for  
23 those folks to call the Technical Center when, in fact, the  
24 plant will call the Technical Center almost immediately for  
25 help.

1           And I would like to suggest that the agency  
2 consider putting on their Website their current thinking on  
3 some of these issues that are on hand. And I don't mean a  
4 big long paper on current thinking, but for instance when  
5 the performance standards on chilling were issued, and the  
6 directive 7110.3 was left out, even if there was somewhere  
7 on the FSIS Website that gave a one-paragraph current  
8 thinking so that everybody could read it and understand,  
9 plus have a contact. "If you have a question about the new  
10 chilling directive or performance standards, please call  
11 this person."

12           And you know, that may not help our smaller plants  
13 coming on. Maybe they don't have access to the Web. But  
14 there has to be a unified written communication back to the  
15 industry and your people in the field that we call all  
16 access, because what I'm feeling is that a lot of it depends  
17 on who in the Technical Center you talk to. And I'm not  
18 trying to -- they do an excellent job. A lot of it is how  
19 we present the information to the Technical Center.

20           But I'd like to see an expansion on the Website of  
21 just simple blips. "This is what the current thinking is on  
22 the chilling," or "this is what the 30-day letter meant to  
23 the small processors and how it can start rolling back into  
24 larger processors," and get away from what I'm calling this  
25 drive-by regulations that we're being subject to. It's a

1 serious matter, and I think it's going to snowball into  
2 these small, small processors and really cause trouble.

3 MS. GLAVIN: Okay. Collette?

4 MS. KASTER: Just to build on what Katie said, one  
5 other danger for the small processors is for the most part,  
6 they are isolated. With the exception of trade  
7 organizations or informal communication between plants, they  
8 don't have the luxury that Katie's describing of being able  
9 to see and compare differences. Therefore, they will take  
10 whatever is fed to them at whatever level, circuit, IIC, and  
11 that's their only link. And so, it is critical that that  
12 link be consistent from processor to processor.

13 MS. GLAVIN: Okay. And that is what we've  
14 attempted to do with the Tech Center being the place where  
15 concerns are brought. And you know, I think that has  
16 improved the level of consistency. It certainly hasn't  
17 completely solved the problem.

18 MR. SMITH: I think we can get at some of this and  
19 maybe when we make sure in the continuing following up  
20 letters from Mr. Billy to all establishments that they'll be  
21 talking about training in the upcoming one. Then, they'll  
22 be talking about establishing your hazard analysis according  
23 to that timeline. And therefore, they'll be some more in-  
24 depth direction and guidance that gets to some of this, or  
25 where you can get this information. If not, we can make

1 sure those things get in there or talking points for our  
2 people so our people can direct them to those types of  
3 things.

4 MS. HANIGAN: I just want to say that I fully  
5 support the Omaha technical group. I think they do an  
6 outstanding job. And I think they work a lot of long hours,  
7 and they try their best to answer questions. And I think a  
8 lot of it is how it's posed to them over the telephone,  
9 interpretation. But I think it's been one of the most solid  
10 things that the agency has done to try to bring consistency  
11 and a focal point. And I commend you for that.

12 MS. GLAVIN: Thank you, appreciate that. Nancy,  
13 did you have something?

14 MS. DONLEY: Really, I guess it's just more of an  
15 observation or if someone could -- if I'm making the wrong  
16 observation, I'd like to know about it.

17 It sounds to me like there's a wealth of  
18 information out there. And I know that over the past two  
19 years when this whole -- the whole HACCP implementation  
20 schedule was rolling out, there's been talk from the very  
21 beginning about the -- perceived that there was going to be  
22 a problem when it got to the very, very, very small plant  
23 level. And I have heard over the years trade associations  
24 saying, "We're doing this and this and this and this for  
25 people, for our members. And there's a big brother/little

1 brother program going on by companies."

2           It seems to me what I'm hearing is, you know, you  
3 can lead a horse to water, but you can't make him drink.  
4 And that there just seems to be that there's just some flat-  
5 out resistance on the part of some companies.

6           It's just an observation I'm making. And if I'm  
7 wrong, someone feel free to correct me. But it sounds to me  
8 like there's a lot of tools out there, but they're not being  
9 used.

10           MS. GLAVIN: Well, I think the concern the agency  
11 has with the very small plants is many of them don't belong  
12 to associations. Many of them are very isolated. Many of  
13 them are one and two people, you know, owner-operated  
14 operations. And so, if there's resistance, that's one  
15 thing. And that's what Bill was talking about getting --  
16 trying to get a sense of what it is. But we just want to  
17 make sure that there's no one who simply hasn't heard or  
18 simply hasn't figured out where to go for help. And that's  
19 where we are. And certainly, I know that's where the states  
20 are also, since many of the very small plants are under  
21 their jurisdiction.

22           I'd like to suggest that we probably want to break  
23 for lunch. I don't want to cut off discussion if anyone has  
24 a burning issue, but we're due back here at 1:00 p.m. I'd  
25 appreciate people trying to be as close to that as possible.

1 I know sometimes it's a little hard to get something to eat  
2 and get back quite that quickly, but let's try hard to be  
3 back at 1:00 p.m. Thanks Bill and Mary.

4 (Whereupon, the hearing recessed to reconvene that  
5 same day, Thursday, May 6, 1999, at 1:00 p.m.)

6 //

7 //

8 //

9 //

10 //

11 //

12 //

13 //

14 //

15 //

16 //

17 //

18 //

19 //

20 //

21 //

22 //

23 //

24 //

25 //

A F T E R N O O N S E S S I O N

1

2

1:00 p.m.

3

4

5

6

7

8

9

MS. GLAVIN: Let me quickly go over what is on the agenda for the afternoon. First of all, we have a briefing on the field automation information management project. At our last Advisory Committee meeting, Peter Kuhmerker came and did a little demo for people at the break, and members requested a briefing at this meeting. So, that's what we're having.

10

11

12

13

14

15

16

17

Then, the third of the work groups will report out at about 1:30. I thought after the 1:30 breakout report and just before the break, I would do the models briefing if that meets people's needs. And then we will discuss what issues the Committee would like to consider over the next year. Actually, next two years and set up our plans for the next meeting. At the end of all of that, we will have a period for public comment.

18

19

So, if that meets people's needs -- okay. Peter, I'll turn it over to you.

20

21

22

23

24

25

MR. KUHMERKER: Good afternoon. What I'd like to do and hopefully I won't bore the people from the state programs too much because they've heard probably much of this presentation before. But let me just go ahead and describe to you what the FAME project is and the status of the project and where we're going.

1           The first question I usually end up with is what  
2 is FAME? And I'll just give you the very simple answer.  
3 And that is really that every computer -- every plant gets  
4 covered by a computer, which means that whenever the federal  
5 inspector in charge of a plant is there with plant  
6 management, they have access to a computer.

7           So, if we're dealing with a large Perdue, we would  
8 have a desktop computer there. If we have a compliance  
9 officer, a circuit supervisor, a person on a patrol  
10 assignment, they would have notebook computer. So, at all  
11 times they have access to the computer and all the functions  
12 within that, and I'll go over those briefly that we have  
13 them. There's also a computer in the back that I brought so  
14 I can give a very quick demonstration to anyone if they'd  
15 like to see if afterwards.

16           A little bit of history on this. We first  
17 started out with several pilot projects with what used to be  
18 called International Programs and in one of our areas before  
19 we were reorganized. That was in 1993 and 1994. And that  
20 was the process of getting approval from OMB and doing all  
21 of our homework and requirements analyses.

22           In 1995, we got all of our documentation together,  
23 got the budget approvals and got our contracts in place.  
24 And then we started a five-year implementation, which runs  
25 FY '96 through FY 2000.



1           And it's a five-year implementation for several  
2 reasons. Partly the life expectancy of a computer in part,  
3 how much we thought we could get appropriated from Congress.

4           And also, how much we could actually afford to take people  
5 out of the plants for training because we're talking a  
6 fairly huge population which I'll get into in a second.

7           So, we are on schedule now. We're in the fourth  
8 year of implementation. We'll be fully implemented by the  
9 end of FY 2000. At that point in time, we will have in  
10 excess of 5,000 people trained in the federal program and  
11 over 4,000 computers.

12           The first year we went by area. Areas disappeared  
13 with the reorganization. We went to a district-based  
14 implementation, and in FY '98, we adjusted our  
15 implementations so that we could deal with the large HACCP  
16 plants to make sure those were up first before the start of  
17 the final rule.

18           And then this year, in addition to doing our plant  
19 implementation with the federal program, we started with the  
20 state program, as well. And that's something that I'll  
21 spend a fair amount of time on as we get into the whole  
22 briefing.

23           So, we are doing the state programs. And very  
24 simply, whatever a federal inspector gets, a state inspector  
25 gets. They are getting everything that we provide to our

1 own people.

2 We will complete implementation for the federal  
3 program in FY 2000. Completion of the state programs will  
4 be dependent strictly upon funding availability from the  
5 states themselves. We have sufficient funding in order to  
6 do that, and that is being done under a cost sharing 50/50  
7 basis.

8 The numbers are on the bottom of the sheets. I'm  
9 not doing overheads, because if I do overheads, you'll look  
10 at that and not listen to me. So, I'll just walk you  
11 through the handouts.

12 This year new changes that we are doing in the  
13 software in addition to where we're implementing, we've  
14 moved from HP Desk, which is a non-Y2K compliance system to  
15 Outlook and Exchange. We're going almost strictly Microsoft  
16 right now. We're moving to Office '97. We've gone out with  
17 electronic forms. We've put out over 100 electronic forms,  
18 everything from travel vouchers to leave slips for  
19 administrative purposes, to noncompliance records for HACCP  
20 plants.

21 So, we're just going through all the forms that we  
22 have. There are probably 600 some forms in the agency, and  
23 we're just going through in a sequential manner, finding  
24 those that are used the most often and replacing them as we  
25 can.

1           We've also continued to place a large emphasis on  
2 computer-based training. And I have several of the CDs out  
3 there on the side. If anyone is interested is getting some  
4 of the CDs, my e-mail address is on the front cover and you  
5 can just send me an e-mail message, and I'll send you a copy  
6 of the CDs that we do have.

7           In terms of our implementation and where we stand,  
8 this year we are completing four different districts. FY  
9 2000, we'll go into the remaining districts that are listed  
10 on the sheet. It's pretty much the northeast and going off  
11 to the western states, Boulder and Montana, and up in that  
12 area.

13           Our state schedule, and I have to say that this  
14 has been a very pleasant surprise. We got started late on  
15 this in terms of getting the budget approvals and getting  
16 information out to the states. Of approximately 1,400 state  
17 inspectors, we are going to be training and automating just  
18 over 575 inspectors this year.

19           The states that -- we're doing 14 states. If you  
20 notice under the listing, "Full Implementation", those six  
21 states will be fully implemented. The ones that are listed  
22 as "Partial Implementation," we're not able to get  
23 sufficient funding this year in order to do their entire  
24 state program. So, they're doing part of the state program  
25 this year and the remainder in FY 2000. The remaining

1 states are listed for FY 2000.

2 We will be discussing with them during the next  
3 several months what their situation is and whether they'll  
4 be able to participate with us. I expect a vast majority of  
5 them will, but not all states.

6 One thing I will mention in terms of our funding.

7 This is separate funding, and it is also what's called "No  
8 Year Funding." So, should a state not be able to  
9 participate in FY 2000 because they cannot obtain state  
10 funding to match our funds, our funds will not expire at the  
11 end of FY 2000, and we will be able to implement them say,  
12 in FY 2001 should they get additional money at that point in  
13 time.

14 So, we are moving along quite well in that. We  
15 actually had our first training class with the state  
16 employees at the end of March. And we're now running four  
17 classes per week intermingling state inspectors and federal  
18 inspectors. There's no differentiation.

19 Let me talk about why we're doing the state  
20 programs. For one thing, I believe it's cheaper for the  
21 agency to do that. Rather than pay 50 percent of 26  
22 programs being developed, we're able to do -- extend out our  
23 current program. And they're no development costs because  
24 we've already sunk those costs in there. So, that's  
25 cheaper.

1           In addition, if you have 26 implementations, I can  
2 guarantee you that some of them will not be successful.  
3 That's just the fact of life. So, we feel it's a very low  
4 risk implementation. We've been doing this now -- it's our  
5 fourth year and feel that we can easily extend out what  
6 we're doing to the states.

7           In terms of a national implementation system, as I  
8 said, everything that a federal inspector has, a state  
9 inspector will have. The one thing that the state  
10 inspectors that will differentiate them is that they may  
11 have additional applications from the states. And we will  
12 be having a training session this July for IRM professionals  
13 from the state programs to come into Washington, and we will  
14 provide them training on how to incorporate their programs  
15 that they might have into our system. So, it will be a  
16 seamless program for their inspectors.

17           They will have the same e-mail. They will have  
18 the same data servers. They will be able to share data.  
19 Everything -- for example, with PBIS, federal inspectors  
20 will eventually be connecting directly into Washington with  
21 a server and entering their data directly into that system.

22           Federal and state will be the same. So, the state  
23 inspectors will also be going ahead and entering the results  
24 along with the federal inspectors.

25           The states will be treated pretty much as a

1 district. So, they will have access to their data in its  
2 entirety. If they're a state inspector, they'll have access  
3 to the plants that they have responsibility for as would  
4 their circuit supervisors or equivalents, but no other state  
5 would have access to their data. So, there will be  
6 sufficient security in there so that no one is looking at  
7 their data except perhaps for some authorized people within  
8 the agency to monitor their state programs.

9           We've been fairly rigid, and I know Lee Jan can  
10 attest to that and several other states, in terms of saying  
11 there are certain requirements in participating in the  
12 program. We have a reasonably small staff and we are  
13 successful in implementing one program. We cannot  
14 administer 26 different programs, one for each state.

15           So, we've put limitation on how the program will  
16 work. They use our hardware. They use our software. They  
17 use our technical support. They attend our training, and  
18 they use our telecommunications. That's a hard and fast  
19 requirement. I cannot manage 26 programs and deal with all  
20 the requirements that might be involved with that. That  
21 means, though, that they are exactly like a federal  
22 inspector.

23           In addition to that, as I said, a state can add  
24 their own programs, whether it be state forms, whether it be  
25 a state that is standardized on Corel and WordPerfect, as

1 opposed to Microsoft Office, that certainly can be  
2 accommodated, in addition to having their own electronic  
3 mail system if they wish to have that, as well.

4 Let me talk about what is on the computer. As I  
5 mentioned, electronic mail. That is probably the number one  
6 useful activity that the inspectors make of the computers.

7 The second one is our technical references. We  
8 take all of our directives, C.F.R.s, regulations, manuals  
9 and place them on the computer. And all the information is  
10 indexed so they can simply go in and say, "Let me see all  
11 the references that include the word 'residue' that may be  
12 within 10 words of the word 'violative.'" And it'll bring  
13 up every document and highlight the words for you. Again, a  
14 state can add their state directives to that as well.

15 We have typical office automation tools, word  
16 processing, spreadsheets, which they make use of. Computer-  
17 based training is really something that we've emphasized  
18 quite a bit. I have a list of those at the end of this  
19 presentation.

20 On-line help. Help is there on every application  
21 so that they don't have to carry around books and manuals  
22 with them. The typical circuit supervisor use to keep a  
23 trunkful of regulations. Now, it's on the computer.  
24 There's no sense replacing the regulations with a bookful of  
25 computer manuals. So, we've got those on the computer as

1 well.

2           And various tools and utilities. Everything from  
3 a standard virus protection that you would have to a random  
4 number of generators. So, you can do certain random  
5 sampling.

6           The type of support that we have for HACCP, if I  
7 can take you to the last page. Computer-based training.  
8 All but two of the modules that inspectors receive in  
9 training on HACCP are being placed into computer-based  
10 training modules. The last six sets of those, we have data  
11 that I received today is going through final verification.  
12 And I expect those will go out for duplication probably  
13 within about two weeks.

14           Electronic technical references. All of the  
15 regulations that relate to HACCP as well as decision trees  
16 and the HACCP models. Automated noncompliance records. And  
17 then what we will be doing also and the most important thing  
18 and the timesaving factor for the agency and for the states  
19 will be we will be replacing the process of having district  
20 offices bill schedules, mailout schedules to inspectors,  
21 have inspectors fill out the schedules, return them back to  
22 the district office, have them entered in and then not have  
23 -- have limited access to the information.

24           We will have inspectors get their schedules  
25 electronically. They will enter in the results. They will



1 automatically get replicated back to Washington through a  
2 central service. From that point, that data will be  
3 replicated out to everyone who has the appropriate authority  
4 to see the data. So, that inspector's supervisor would see  
5 the data. The district manager would see the data.  
6 Washington headquarters and then back in the Tech Center in  
7 Omaha would get to look at that data. But another circuit  
8 supervisor without responsibility would not get to see it.

9           So, really what you'll be seeing are probably  
10 about 5,000 different subsets of the data disbursed  
11 throughout the country. And whenever any one inspector  
12 enters in information, that information automatically will  
13 get replicated throughout the country.

14           MS. HANIGAN: Question for you.

15           MR. KUHMERKER: Yes?

16           MS. HANIGAN: On the relief inspectors that come  
17 through the plants where you're talking about access to look  
18 at data, would they be permitted to look back through some  
19 of the data that pertains to an establishment that they're  
20 perhaps going to substitute in for two weeks to be looking  
21 at patterns, or how would that work?

22           MR. KUHMERKER: Every relief inspector has a  
23 computer because if they're relieving say, on a patrol  
24 assignment, that inspector may have taken the computer home  
25 with him, and then they need -- they won't have it available

1 to them the next day. So, relief inspectors have their  
2 computers.

3           When the relief inspector is given the assignment  
4 by essentially our resource management specialist in the  
5 district office, they would get what would be called a  
6 subscription right, which would allow them at that point in  
7 time to dial into the server in Washington and download  
8 probably about six months worth of data. So, they could  
9 have access to it that way. That would be any time that you  
10 have to cover an assignment or you rotate assignments, you  
11 would be able to get your data that way.

12           Let me touch briefly on the computer-based  
13 training and then go back open to questions.

14           I'd just like to very quickly list -- go through  
15 and describe the types of training that we have. We break  
16 them down into five different categories. Slaughter is one  
17 area of training. The poultry 904, red meat 904 D courses,  
18 those are slaughter courses that are given down in College  
19 Station to veterinarians. They've been moved to computer-  
20 based training.

21           The HACCP CDs. There is an introduction to HACCP,  
22 but this is the beginning, what you see here, of all of the  
23 HACCP modules that are provided in training for inspectors.

24           The reference library, that is simply a hodgepodge  
25 of miscellaneous applications which just aren't large enough

1 to fit into any one category.

2 Our workplace skills, we have "Avoiding Sexual  
3 Harassment." We will have other applications in there, as  
4 well. For example, I hope to have something say, like AIDS  
5 training.

6 The problem with training a field force is that  
7 you go through and you have a major emphasis on providing  
8 training. Everyone gets training one year, and the next  
9 inspector that gets hired doesn't get trained. So, that's  
10 really what we try to cover with this.

11 And then core skills, which are really the skills  
12 on how to use the computer, whether it be Windows 95, Excel,  
13 Word, Outlook 98 or electronic forms. Every inspector goes  
14 to training for four days, but after that initial training  
15 they do not return for training at any point in time.

16 So, we actually will train people in the field.  
17 We successfully moved people from a DOS-based word processor  
18 to a Windows-based word processor, now to Office 97. We've  
19 moved people from DOS to Windows 95. We've instituted  
20 electronic forms. All of those have been done with  
21 computer-based training. None of them have required  
22 inspectors to go back to College Station for training.

23 If I can talk quickly about the ones that will be  
24 available over the next six months, and several of these are  
25 more than imminent. Turkey osteomyelitis, that is actually

1 in production right now. The remaining ones on those are  
2 under development in the slaughter.

3 Every item under HACCP except for the last two,  
4 which are animal production food safety and microbiology, I  
5 actually received copies of them yesterday. They're getting  
6 final clearance through the Tech Center, and I expect those  
7 will go out for duplication this month. At that point in  
8 time, they will go out to every inspector.

9 The reference library, the second volume is  
10 currently being reproduced right now. Will go out to the  
11 field force.

12 Employee wellness, we have a beta version of that,  
13 so that'll probably go out right after the HACCP CDs.

14 And the in-plant life computer training is  
15 essentially is the -- as you think of cheat sheets, well,  
16 this is a computer-based cheat sheet for those applications  
17 that are on the computer that are sort of the minor  
18 applications. We'll provide them with little refreshers,  
19 and will also be used for all of our slaughter line people  
20 who will need access to a computer but we're not going to be  
21 sending down to training. So, that probably covers a  
22 population of about 1,500 to 2,000 people.

23 All told when we are done, we will have something  
24 on the order of 7,000 inspectors, 5,500 federal, 1,400 to  
25 1,500 state inspectors using approximately 5,500 computers.

1 Every computer configured identically.

2 MS. GLAVIN: Are there questions or comments or  
3 clarifications for Peter?

4 DR. HURLBERT: Just real quick. This is really  
5 neat. The GS-7, the slaughter line people, they have access  
6 to the computer in the inspection office and they can, on  
7 days when they're not killing, they can sit down and go  
8 through some of the computer-based training modules?

9 MR. KUHRMERKER: As of today, I've held very tight  
10 to a rule that if you haven't been trained, you don't use  
11 the computer. What this training will do, will teach them  
12 the very basics of how to use a computer, not how to do a  
13 fancy document, but how to just open a document, create a  
14 document, save it and print it, how to do a very simple  
15 search for electronic references and how to run computer-  
16 based training. So, yes, this last item here will train  
17 those people who are slaughter line inspectors how to use  
18 the computer.

19 DR. HURLBERT: That's great. And they'll have  
20 access to regulations and the whole works?

21 MR. KUHRMERKER: They'll have access to  
22 everything, yes.

23 DR. HURLBERT: That's super.

24 MR. KUHRMERKER: The one item they will not have  
25 access to is probably electronic mail.

1 DR. HURLBERT: Thank you.

2 MS. GLAVIN: Terry?

3 MR. BURKHARDT: Nothing but compliments from us,  
4 from the states. I think this is a very good example of how  
5 I would envision the state and federal working together with  
6 federal providing a lot of the guidance, the training, and  
7 some of the equipment, let's say, and the states  
8 administering the programs. So, I think this has worked  
9 real well, and my compliments to Peter and his staff. It's  
10 a super system.

11 MS. DEWAAL: Can I ask a question just to follow  
12 up on that? Are the states then -- they are applying the  
13 exact same standards? Because they're using this system,  
14 they're applying the exact same standards as the federal  
15 inspectors are, or do you have --

16 MR. KUHMERKER: If you're referring to inspection  
17 standards, that one I'm not going to answer.

18 MS. GLAVIN: The answer is yes. That has nothing  
19 to do with the computer. That's what the law says.

20 MS. DEWAAL: Right. But in terms -- there's not a  
21 separate -- there are not separate forms or anything else on  
22 there that the states --

23 MR. KUHMERKER: The states would have access to  
24 every federal form, plus because they would have probably  
25 separate administrative forms for the state programs. They

1 would not use a federal travel voucher. They have the  
2 opportunity to enter -- to create their own form if they  
3 place that within the system.

4 MS. DEWAAL: And what's going to happen when the  
5 millennium hits?

6 MR. KUHMERKER: They're all Y2K compliant, I hope.

7 MS. GLAVIN: Okay. Thank you very much, Peter.

8 MR. KUHMERKER: Thank you.

9 MS. GLAVIN: We are up to our third subcommittee  
10 report. This is the subcommittee that worked on two issues:  
11 the conceptual framework for producing food that is risk  
12 free and exemptions from federal inspection. And Carol, I  
13 believe you are the reporter on those.

14 MS. FOREMAN: I am. Mike's passing out our  
15 recommendations right now. We have found the way to have  
16 the smoothest best subcommittee meeting. All you have to do  
17 is reduce your committee membership to the point where you  
18 have a chair and a vice-chair to basically agree on  
19 everything, and you get to go home early.

20 I'm just waiting for everybody to have all of  
21 this. The committee, you can see our solidarity. Lee and I  
22 are even sitting next to each other here.

23 Let me take the inspection exemptions first. We  
24 tried to go back and do a little history in that. Our  
25 recommendation is basically that FSIS address the exemptions

1 within the context of the public health risk assessment and  
2 that the agency undertake an assessment of the health risk  
3 associated with exemptions, develop performance standards to  
4 address them, and then assign inspection resources where the  
5 risk is the highest and in a manner that insures compliance.

6 It's our assumption that that will lead the agency  
7 in the direction of investing more resources in raw products  
8 regardless of where they're produced, regardless of which  
9 exemption -- under which exemption they're being produced.

10 And Lee, would you like to add to that?

11 DR. JAN: I don't know that I have anything to  
12 add, maybe expand just a little bit. But thinking here now  
13 is that the exemptions that we have currently are based on  
14 things other than science or public health, at least that's  
15 our opinion, and we feel that the risks associated with  
16 producing meat are greatest at slaughter.

17 And the next area that they're at a high risk is  
18 when you're handling raw meat. And it doesn't matter  
19 whether you do that raw meat in a plant and you can put a  
20 mark of inspection on it or if you do in it in a retail  
21 store and you can sell it to the customer. The risks  
22 associated with that meat are very similar. And so, we feel  
23 that risk exemption based on who the producer is or who the  
24 customer is probably not very scientific.

25 And then, as we move to a meat product that's



1 cooked or ready to eat, it's been -- gone through the  
2 process where we have changed the risks, and the risk of  
3 that product are now much like the risk of any other food,  
4 such as cheese or milk or bread or biscuit or any of those  
5 kind of things. The risks are there, but the risks  
6 associated with meat are gone.

7           So, I think we feel like the need to look at  
8 where's the risk and then you know, either exempt some from  
9 inspection that aren't currently exempted or move them to  
10 another category or however you want to do it. But we just  
11 kind of left it broad for the agency would look at the risk  
12 and then assign resources rather than go through the  
13 exemption process.

14           MS. FOREMAN: And I think it's inherent in this  
15 that when the risk assessment comes back and says some of  
16 these products that are presently exempt are of a  
17 substantial public health risk, that then the agency may  
18 have to seek at a minimum, a regulatory change and probably  
19 a legislative change. But under those circumstances, there  
20 would be a base in public health for seeking those changes.

21           MS. GLAVIN: Okay. And that seemed to focus on  
22 products, but you also seemed to have considered the process  
23 exemptions, retail.

24           MS. FOREMAN: That's my fault. I used the terms  
25 interchangeably.

1 MS. GLAVIN: You were talking about both?

2 MS. FOREMAN: Yes, absolutely. And probably, we  
3 should clarify this by making it product/processes.

4 MS. GLAVIN: Okay. Comments and discussion from  
5 the group as a whole? Caroline?

6 MS. DEWAAL: I think this is a very good approach  
7 to deal with this issue because it really is based on  
8 analyzing the risks and putting resources where they're most  
9 needed. And I really think the subcommittee did a good job.

10 MS. FOREMAN: Maybe what we need is two-person  
11 subcommittees.

12 MS. GLAVIN: Nancy?

13 MS. DONLEY: I agree it's a very logical approach  
14 to addressing or finding out if there is a problem where the  
15 problems are, and where the largest problems are, I guess.  
16 I just want to ask -- it urges -- this document urges FSIS  
17 to create basically a risk assessment. Once that is  
18 completed, however, do we want to take this a step further  
19 and then say, "All right. If the one that's done then there  
20 should be the next step, which would be to eliminate some of  
21 these exemptions."

22 MS. FOREMAN: Yes. Obviously, what we went  
23 through there was, do the assessment develop performance  
24 standards and assign resources? In some cases, that will  
25 require a change to bring these products or processes under

1 the law. And we can certainly add that at this point if you  
2 want to. I guess we had thought let's see what the scope of  
3 the thing is and then decide what kind of legislative  
4 proposal you'd need in order to address it.

5 MS. DONLEY: I guess I just get concerned that we  
6 don't have -- that it just winds up in limbo in somewhere  
7 and that there's not a next step. So, I would like to see  
8 if we could add that step in.

9 MS. FOREMAN: Okay. That's fine by me. In fact,  
10 the place to put it might reasonably be after the phrase  
11 "develop performance standards to address them, then seek  
12 legal authority to take action." And finally, to assign.  
13 Thank you.

14 MS. GLAVIN: Dan?

15 DR. LAFONTAINE: First of all, I agree with the  
16 concept or the document. My question I guess is a very  
17 broad one. But we're talking about assessment of health  
18 risk. So, I guess first a statement. There was a very  
19 comprehensive document contract -- I mean, very  
20 comprehensive study, contract to the Research Institute  
21 Triangle that is available. It's still relatively current.  
22 It was done in the early '90s. That very excruciating  
23 detail lays out the risk for various types of manipulations  
24 of raw products, whether it be slicing, grinding, whatever.

25 So, I guess I wanted to make sure or hoping that

1 the agency and the folks around this table would acknowledge  
2 and say, "Well, maybe we're a long way down there, and let's  
3 put that back on the front burner, that particular study and  
4 see if we've got the information we need for answering that  
5 question."

6 MS. GLAVIN: Mike?

7 MR. MAMMINGA: When you look at risk at what goes  
8 on under the retail exemptions and you try to compare that  
9 to what we do in the inspected industry, and you look at  
10 what is usually customarily done at retail, you find that  
11 the only two processes that are specifically held away from  
12 the retail industry, which is exempt from inspection is  
13 retort canning and slaughter. Those two processes  
14 specifically. Otherwise, any other curing, cooking,  
15 smoking, stuffing, chopping, grinding, mixing from luau loaf  
16 to bologna can be made at retail.

17 Now, obviously, it can be only sold at retail, to  
18 household consumers. But the process goes on to the same  
19 friends and neighbors that buy product that bears the mark  
20 of inspection.

21 So, if you look at are current regulations on  
22 things like cooked roast beef, cooked beef products in  
23 general, the very specific partial quality control programs  
24 and controls that are necessary, you can seem to extrapolate  
25 from that that these same processes go on in unlimited

1 quantities at retail stores. And we might even assume that  
2 the same risks are there as what comes out of the plants  
3 that produce products under inspection. That's the first  
4 thing that you have to keep in mind when you're looking at  
5 what retail stores do being exempt from inspection.

6 And then secondly, you have to accept that when  
7 these exemptions were written, obviously it is just as you  
8 indicated in your first paragraph. It was by keeping the  
9 retail stores out of it, you would have less people against  
10 the passage of the Wholesome Meat Act and the Poultry  
11 Products Inspection Act because they wouldn't be effected by  
12 it. They would be exempt from it.

13 But when you talk about risk and what's produced  
14 at retail, if you look at what we're doing now in the  
15 federal and state establishments against these processes,  
16 which we know have been serious health problems, I would  
17 think it would be reasonable to assume that those same set  
18 of bad circumstances that cause human health problems would  
19 readily exist in the same sorts of facilities that produce  
20 these products at retail.

21 MS. FOREMAN: I absolutely agree with you. One of  
22 the things that we had in mind here was to try to put this  
23 statement in the form of a public health document, frankly,  
24 to get away from the equity issues. We're not going  
25 anywhere on the equity issues.

1           There may be some very substantial data, maybe  
2 enough data on which to make some specific recommendations  
3 already in the possession of the agency that requires some  
4 compilation. I don't think that we're going to make any  
5 progress on this issue until such a document exists and says  
6 this is how you improve the chances of protecting public  
7 health by doing these things. Then, I think you've got  
8 something that you can take to the President's Food Safety  
9 Council. You can take it to the Congress. You can take it  
10 to the public.

11           MR. MAMMINGA: The issue of what sort of processes  
12 can take place outside of the inspection at retail stores,  
13 those exemptions should have been looked at all along. And  
14 again, I think you've written this in such a way as we're  
15 not trying to fix the world today, but we've got to get this  
16 on the table so that we have some sort of an idea of what is  
17 necessary to do to protect the public health.

18           MS. FOREMAN: And incidentally, this might be a  
19 project that should come -- that the Secretary would want to  
20 ask be brought under the aegis of this working group in the  
21 President's Food Safety Council that's chaired by Cathy and  
22 Jane Haney, because it's clearly got some overlap with the  
23 FDA as well as with state agencies.

24           Until we have a document that says, "These are the  
25 public health issues," I don't know how we can advance this.

1 MS. DEWAAL: I just want to return back and I've  
2 stated my strong support for this, but also to one of the  
3 recommendations or one of the findings in the underlying  
4 seafood statement that was prepared by FSIS dealing with the  
5 food code, because simply because these entities aren't  
6 being inspected by FSIS or perhaps the state programs  
7 doesn't mean they're not being inspected. There are people  
8 who have the job in the state government to inspect  
9 restaurants and retail outlets.

10 And I was just wondering if any of our state  
11 representatives here could give us a report or maybe all of  
12 the state representatives on the adoption of the food code  
13 in their states?

14 MR. BURKHARDT: I can tell you in Wisconsin, we're  
15 in the process of adopting it. It's in the legislature  
16 right now. We're incorporating it into our statutes.

17 But the point of inspection at retail in regards  
18 to the things we're talking about are two different things.

19 And I'm familiar with the inspection at retail, and it is  
20 for mainly sanitary operations. But in the things we're  
21 talking about here with the curing and smoking and the usage  
22 of nitrites and so forth, those things that are very closely  
23 monitored in official establishments, are not looked at in  
24 those other establishments. Just because they're inspected  
25 doesn't mean it's the same kind of control.

1 MS. DEWAAL: And are there modifications that need  
2 to be made to the food code? I mean, this issue of  
3 exemptions is not a new issue. It's been around as long as  
4 Rosemary, I think.

5 MS. FOREMAN: Well, Rosemary hasn't been around  
6 1906.

7 MS. DEWAAL: Oh, well good. I mean, as long as --  
8 she's been talking about it for a long time.

9 Anyway, but I'm just wondering there may be more  
10 than one way to -- excuse the expression -- "skin a cat".  
11 That's an awful expression.

12 MS. FOREMAN: An inspected cat.

13 MS. DEWAAL: Inspect a cat. That's better. And  
14 you know, are there modifications in the meantime knowing  
15 we're going to be working on exemptions for a long time? Is  
16 there a way that we could get the state inspection of retail  
17 establishments improved? Jim, how's Texas doing on the food  
18 code?

19 DR. DENTON: Well, Texas adopted the food code  
20 with some slight modifications.

21 MS. DEWAAL: Barehand contact perhaps?

22 DR. DENTON: They had big issue on barehand  
23 contact.

24 MS. DEWAAL: How's the warning label doing down  
25 there?



1 DR. DENTON: Which warning label are you talking  
2 about?

3 MS. DEWAAL: On shellfish and raw products?  
4 Consumer advisement?

5 DR. DENTON: I don't know on shellfish. I don't  
6 get into that. I don't know whether that done it or not.

7 MS. DEWAAL: Okay.

8 DR. DENTON: But I'll tell you the way that retail  
9 is inspected in Texas and I would just think a lot of  
10 states. The food code's adopted by the state. And then the  
11 actual inspection jurisdiction is pretty much done at the  
12 local level, local, city or county health departments, if  
13 they have one. And they have to use a code that's at least  
14 equal to the state code. So, then that automatically puts  
15 the food code in their hands. But they then handle their  
16 own budget and staffing and those type issues.

17 But until about -- I think it's four years ago, in  
18 Texas if a county or state didn't have a health or code  
19 enforcement organization, then there was no requirement,  
20 licensing or otherwise for retail establishments. So, there  
21 are a lot of rural areas and we found that a lot of  
22 restaurants were moving particularly along the interstates  
23 just outside of jurisdictions where they didn't have to by a  
24 license and nobody ever looked at them.

25 So, the legislature finally saw that, "Hey, that's

1 not right." They passed a law and said that in those areas  
2 where a local agency does not provide inspection, the state  
3 will. They authorized the program and they funded it  
4 through fees. So, the state got in the business of  
5 collecting fees. Basically, they didn't have any inspectors  
6 because they didn't have any money. So, they started  
7 sending out letters and said, "Now, you need to be licensed.  
8 Send us the money. We'll send you a license. And then we  
9 promise to send you -- provide inspection."

10 And that goal was -- the ideal goal would have  
11 been send an inspector out twice a year. But in reality,  
12 they were hoping to do it once a year.

13 The next legislative cycle, which in Texas is  
14 every two years, the legislature said, and this was after  
15 two years of collecting fees. The legislature said that,  
16 "Whatever your budget was last cycle is the same and you're  
17 not going to get an increase."

18 So, since there were no employees and no money  
19 expended, they were spending two years collecting funds so  
20 they could start posting positions and hiring positions.  
21 All the money they collected they couldn't get -- they  
22 couldn't spend.

23 So, now they have a program that is unfunded.  
24 It's mandated, but unfunded. There's a funding mechanism,  
25 but because of another cap, they can't get the money. So,

1 they're struggling with those issues.

2           So, basically, we have the code adopted. In the  
3 local areas that have local jurisdiction, it can be imposed  
4 and it gets inspected, but the rural areas I guess they're  
5 paying their license fees, but they're not getting the  
6 inspection yet. So, hopefully, one day they'll get -- well,  
7 they have a few people. They're not completely -- they did  
8 get a few people hired, but certainly not near enough to do  
9 it.

10           MS. DEWAAL: So, restaurants in rural Texas are  
11 probably uninspected?

12           DR. DENTON: For the most part.

13           MS. DEWAAL: Well, that's -- I'm glad you have a  
14 good code on the books, but it seems like that's not enough.

15           DR. DENTON: It's like anybody's issue, a funding  
16 issue.

17           MS. DEWAAL: Dan, anything in South Carolina?

18           DR. LAFONTAINE: Well, let me -- first of all,  
19 South Carolina has a code which is modeled after the food  
20 code, but it is not the food code. It was revised and  
21 updated three years ago.

22           There's an important point that hasn't been  
23 brought out yet. In addition to what I think Terry said  
24 that there are folks that are doing procedures with meat and  
25 poultry in the retail arena that are not -- procedures that

1 are not covered in the food code or are the volumes that are  
2 being produced are not your normal retail-type levels,  
3 there's another point, and that is this.

4           That we have these folks doing wholesaling of  
5 products that in our wisdom, that is the agency and the  
6 folks around this table bought into a final rule that says  
7 pathogen reduction HACCP systems. That means salmonella  
8 performance standards, and sanitation standard operating  
9 procedures that are industry executed and verified. You  
10 will have a HACCP system for all of your products.

11           None of that, to my knowledge, being implemented  
12 or applied to that part of the industry that deals with meat  
13 and poultry. So, I'm not talking about the equitable issue.

14 I'm talking about food safety issues that we as a group has  
15 said are necessary tools to assure safe, wholesome food --  
16 safe, wholesome meat and poultry.

17           So, my point is there are things that we're  
18 requiring the regulated industry that we deal with do that  
19 never see the light of day in those that have exemptions.

20           MS. DEWAAL: How about Ohio?

21           MR. MAMMINGA: Well, Iowa has adopted the model  
22 food code, and I work very closely with the state agency  
23 that's in charge of food inspection.

24           But to give you a couple of practical examples.  
25 In the model food code, if you are going to vacuum pack each

1 cooked ready meat product, you have to have a HACCP plan.

2 And I've seen them because sometimes these places desire to  
3 come under our inspection so that they can sell their  
4 products outside the arena of the household consumer.

5 Some of them are quite lengthy and laborious and  
6 long, and I wonder who wrote them and who could possibly  
7 carry them out, although it isn't my business at that moment  
8 to do that, but they do have plans.

9 Another practical thing and the practical  
10 application of HACCP is you know it has to be your plan. It  
11 has to be plan specific, and it has to work. So, when you  
12 look at plans that were obviously written for some other  
13 facility, you wonder about the practical application of them  
14 at retail with annual or semiannual oversight.

15 And another thing is if cure products, cook, smoke  
16 and vacuum packaging, you have to be able to demonstrate  
17 that you're delivering the goodall (phonetic) nitrite at 120  
18 parts per million. You can't imagine how many retail stores  
19 call me because they did not know how to figure in going  
20 parts per million of sodium nitrite if you pumped it,  
21 massaged it, soaked it, how it delivered. They did not know  
22 how to do the mathematical calculation and neither did their  
23 counterparts who were trying to enforce it. And it was no  
24 blame, in my mind, on any of them.

25 Nothing worse than give someone a task and not be

1 able to show them how to do it. And so, we figured parts  
2 per million on the phone many, many, many, many times  
3 because these places buy a recipe from a company. It says  
4 for this much and this much water. Do this with it, and  
5 there you go. But they do not know the mathematics of how  
6 to figure ingoing parts per million.

7           So, when you talked about analyzing risk and the  
8 level of risk and the level of oversight and how that all  
9 plays together, all I have to fall back on is my own  
10 experience. And I know that in big business and a lot of  
11 times in small business, you have to make sure that these  
12 people know what they're doing before you launch them out  
13 with a set of rules and regulations that look very good on  
14 paper and might be very adequate if they were properly  
15 carried out by industry and the government.

16           And that's where we kind of have a little bit of a  
17 concern on those of us in state meat inspection programs  
18 because we know how hard it is to teach, instruct and get  
19 everybody on both sides of the fence comfortable with  
20 knowing what they're supposed to do. And then we wonder  
21 about agencies who haven't been trained themselves or  
22 industries that haven't been trained themselves, and how  
23 does that play into a risk analysis when we say, should  
24 retail stores be able to make bologna at all under the  
25 current rules, regulations and structures? That's kind of

1 the crux of it.

2 MS. DEWAAL: Thank you.

3 MS. GLAVIN: Other comments or discussion on this  
4 one?

5 I'd just like to make one observation, which I  
6 think was reflected in the paper that was given yesterday.  
7 And that is that although there are establishments that are  
8 exempt from inspection, the meat and poultry is always  
9 subject to adulteration and misbranding provisions. The  
10 agency has authority all the way to the final consumer over  
11 adulteration and misbranding.

12 So, within the -- one of the things that's worth  
13 looking at is within the existing legislation, what kinds of  
14 things might be useful such as performance standards. We  
15 don't have inspection authority, but we do have authority to  
16 assure that that product is not adulterated or misbranded.

17 MS. FOREMAN: That's a good point. That was in  
18 the paper yesterday. In fact, we had a little discussions  
19 of it last night.

20 If you have a paper that starts to -- hate the  
21 word "prioritize" -- identify and list in terms of their  
22 potential risk, the issues that are here and come back to  
23 the Committee, then we'd have a way to say, "Well, we'd sure  
24 like you to pursue these. We recommend that you pursue  
25 these first because they're -- you're able to do it within

1 your existing authority. And somewhere down the line,  
2 you're going to have to seek additional authority to deal  
3 with this other problem."

4 And we all know we're going to have to deal with  
5 retail generally one of these days, because there may be  
6 authority do it, but there sure is shooting aren't any  
7 resources being assigned to it at the federal level.

8 MS. GLAVIN: It sounds like at the state and local  
9 level, too.

10 MS. FOREMAN: Well, I think they're complaining  
11 about the fact they've got to come along and kind of pick up  
12 behind.

13 MR. MAMMINGA: You know, when you're talking about  
14 problems, there is a provision in the Federal Act that  
15 allows the Secretary to designate an establishment for  
16 federal inspection. Did you know that? There is a  
17 provision in there. That if it were a state plant that were  
18 a problem or a retail store, you cannot bring to bear  
19 through the normal civil or administrative or legal  
20 proceedings, the Secretary can designate a place for  
21 federal inspection and tell them, "You are a federal  
22 establishment."

23 MS. FOREMAN: I'd forgotten about that.

24 MR. MAMMINGA: It's in there, isn't it, Carol?

25 MS. FOREMAN: Yes.



1 MR. MAMMINGA: That is another tool that as far as  
2 I know has never been used in 30 years.

3 MS. DEWAAL: Well, then here in this provision  
4 where we said seek legal authority, shouldn't we just say  
5 designate plants for inspection? Just an idea.

6 MS. FOREMAN: I'd forgotten about that, Mike.  
7 That's an interesting thought. Some of these might be big  
8 enough for the Secretary to actually think there was a  
9 reason if there were an indication that there's a real  
10 problem.

11 MR. MAMMINGA: There you go. I think the  
12 Secretary has authority to address that.

13 MS. GLAVIN: Dan?

14 DR. LAFONTAINE: I guess, and you don't have the  
15 answer now, but what I'm looking for is how does the -- you  
16 know, with this recommendation on the table, how does the  
17 agency -- what we be your thoughts on how to proceed from  
18 here as far as timeline, and can you chew it off? Give me  
19 some -- you know, the Committee can throw lots of good  
20 things at you, but if you've got a lot of things on your  
21 plate, so where does it fit in the pecking order? What's  
22 your thoughts?

23 MS. GLAVIN: We actually have a number of things  
24 going on in developmental stages that have a bearing on  
25 this. One of them is looking at performance standards for

1 meat and poultry products after they leave an inspected  
2 establishment. One of them is the in-distribution part of  
3 the models project, which is a piece of that. And I had  
4 another one, and now I've lost it.

5 Oh, I know. We have a advanced notice of proposed  
6 rulemaking we're working on dealing with what we fondly call  
7 OCP, other consumer protection issues, issues beyond the  
8 food safety, and the possibility of dealing with those more  
9 in the post inspected establishment arena than in the  
10 inspected establishment arena or doing some of that in that  
11 direction, which isn't quite on point here, but also builds  
12 into it.

13 So, those are the things that we have in  
14 developmental stage. You know, in terms of timing, I'm not  
15 going to be real helpful there. As you said, we've got a  
16 lot on our plate. Our first -- our highest priorities are  
17 one, completing HACCP implementation. That's absolute  
18 first. Two, completing the reg reform that we committed to  
19 at the time of HACCP of reducing or hopefully eliminating  
20 the command and control regulations and replacing those with  
21 performance standards.

22 As we do that, I think these performance standards  
23 in this area a real possibility to move forward with. It's  
24 a new area, so it's not something that we can just pull off  
25 the shelf and drop into the hopper. It requires a lot of

1 thinking. It's very helpful that we're getting the benefit  
2 of your thinking at this early stage of the kinds of things  
3 and the kinds of approaches you would advise us to take.  
4 So, I think that's very helpful.

5 But like I say, it's going to be this year or next  
6 year, but the work is ongoing. So, this kind of dialogue is  
7 extremely helpful even if we don't see a product at a date  
8 certain.

9 MS. FOREMAN: It might be worthwhile, Maggie, to  
10 have somebody take a look at that 1991 document and others  
11 that may exist just to see how much of a database there is  
12 there. And that might shorten the -- go ahead.

13 MS. RIGGINS: We do currently have underway a  
14 contract with RTR, and they are updating information that we  
15 originally received. Some of it will apply to this subject,  
16 but it's a -- I guess it's information that we're going to  
17 receive over a three-year period in phases. And so, some of  
18 it will be applicable to this fiscal year. We're making  
19 some headway, but it isn't -- we will not be able to piece  
20 it all together until we have adequate information to do a  
21 risk assessment.

22 MS. FOREMAN: One of the things we discussed last  
23 night but didn't get included in this document was that  
24 you've got another year and a half before HACCP is  
25 implemented in all of the federally inspected plants or even

1 -- I'm sorry. You've got about seven months before it  
2 starts to be implemented in that last group. I was thinking  
3 to the end of that first year of getting all of them under  
4 it.

5 I think it's hard to get the agency to think about  
6 great new chunks that ought to be brought under federal  
7 inspection until that's done. But where there are serious  
8 public health issues, seems to me that ought to get moved up  
9 on the list of priorities for once all the plants are under  
10 HACCP. Then, we ought to be going at those that create the  
11 risk -- high risk, get them there.

12 MS. GLAVIN: I would say that looking at the  
13 safety of product after it leaves an inspected establishment  
14 is very high on the priority list. That that's something  
15 that we are thinking real hard about.

16 MS. FOREMAN: So, and get your retail?

17 MS. GLAVIN: Pardon?

18 MS. FOREMAN: That would begin to go at the retail  
19 problems?

20 MS. GLAVIN: Well, it would begin to go at how  
21 meat and poultry products are handled and stored et cetera  
22 throughout the chain. That's a good question. I'm not sure  
23 how it impacts on the processing that goes on at retail.  
24 Little bit different. But again, that's part of handling a  
25 product after it leaves inspection. So, yes.

1 MS. FOREMAN: One of the things is that we've  
2 tried to stop thinking about this as an equity issue and  
3 start thinking about as a health issue. We need you to stop  
4 thinking of it as an equity issue and start thinking of it  
5 as a health issue, too.

6 MS. GLAVIN: I think we are. And thinking of what  
7 are existing legal authorities and how -- you know, Phil has  
8 done a very interesting thinking through of what the mark of  
9 inspection means and product continuing its eligibility to  
10 bear the mark of inspection and how that plays into things.

11 So, that could get to -- if we set performance standards,  
12 clearly we would want those performance standards to be  
13 compatible with the food code when you get to retail. I  
14 mean, that would make -- seem to make a lot of sense.  
15 Obviously --

16 MS. FOREMAN: I hope it'll upgrade the food code a  
17 little bit.

18 MS. GLAVIN: Caroline?

19 MS. DEWAAL: I sat through a number of  
20 appropriations hearings this year where Catherine Woteki was  
21 asked very tough questions from members of Congress who seem  
22 to be very much against the concept of your inspectors going  
23 into retail stores.

24 MS. GLAVIN: Well, I think there's a lot of  
25 misunderstanding about what we are doing. And if you'll

1 indulge for me a minute, I'll give you a quick overview.

2           We've got -- first of all, as part of our models  
3 project, we've got a piece which is going to look at the use  
4 of our in-plant inspectors in the in-distribution mode.  
5 That is a very small piece because basically what we're  
6 going to have maybe 10 individuals in three different  
7 locations, because basically what we're looking at is the  
8 logistics of having employees who can move from in-plant to  
9 in-distribution.

10           They will be doing tasks that traditionally the  
11 agency has done. Tasks that have traditionally been done by  
12 compliance officers. And so, they will be looking at  
13 product in warehouses, for example. They will be doing  
14 plant compliance visits in warehouses and other locations.  
15 They will be doing recall effectiveness checks. They will  
16 be following up on consumer complaints. They will  
17 potentially be picking up samples at retail under our  
18 sampling programs.

19           But essentially, that is a very small -- let's  
20 look and see if it works, if we can manage employees in that  
21 way. Our longer term goal is to determine the need for and  
22 develop standards for product, for product retaining the  
23 mark of inspection. I mean, I can't imagine that we are  
24 ever going to -- first of all, we don't inspect warehouses.  
25 We don't inspect retail. We look at product, our product

1 in those situations.

2 Ideally, in my mind, the people who are going in  
3 and doing inspections of retail stores, that's really the  
4 resource that ought to be also looking at meat and poultry  
5 product in retail stores. That would be ideal.

6 And so, how the intersection between our employees  
7 looking at meat and poultry product and local and state  
8 employees who have jurisdiction over those entities, how  
9 that intersects is part of what we need to develop. I mean,  
10 I think the fear that was being expressed that there's going  
11 to be thousands of federal inspectors running around Giant  
12 Food, we might like to see that, but I don't think it's too  
13 realistic.

14 MS. DEWAAL: Well, I would recommend that you use  
15 people like Terry and Lee and Dan and Mike to educate  
16 members of Congress about perhaps the need for some of your  
17 inspectors to do that, because they seem to be under the  
18 impression that this would be a very bad idea. And I assume  
19 they're getting that from some of your sister agencies at  
20 state government. So, perhaps some education in the other  
21 direction would be helpful.

22 MS. RIGGINS: Another piece I should mention that  
23 contributes to the information that we have in this area is  
24 that we recently signed an MOU with FDA to exchange  
25 information on primarily conditions that we find in plants

1 and FDA finds in plants as we individually go about our  
2 business. As a part of that, there is a working group that  
3 is looking at what kinds of activities we can coordinate  
4 more efficiently than we are currently doing them now.

5           And we intend once we -- well, the way that we're  
6 going to go about is to do some case studies of cases of  
7 enforcement actions that have actually taken place, what we  
8 did in those instances, what FDA's role was in those  
9 instances, and then to learn from those.

10           And then we intend to work with states where we  
11 find that there were areas where we could have done a better  
12 job of communicating with the state to get the information  
13 to the state so that they could carry out their role and the  
14 agencies could have done a better job of communicating with  
15 each other to get the information, so that we can begin to  
16 build the relationships at the working level that need to  
17 happen in order to make this seamless system really work.

18           I mean, we talk at this level, but what happens on  
19 the ground makes a big difference. And if I know who I am  
20 supposed to talk to in the state and I know who I'm supposed  
21 to talk to at FDA, I will do a much better job when I'm  
22 actually under -- you know, experiencing a problem.

23           So, we've begun and we're getting off to a good  
24 start. So, that will also help us to do a better job in  
25 this area, too.



1 MS. FOREMAN: Are you -- I'm sorry. I was going  
2 to get into the next --

3 MS. GLAVIN: Okay. I think Terry had one more.

4 MR. BURKHARDT: Just a comment on this issue. I  
5 think what we're trying to say is that we would agree that  
6 we've identified some risks at the retail level that could  
7 be improved to help improve food safety.

8 And we're talking about seamless inspection and  
9 farm to table. There is an area that needs to be improved.  
10 The suggestion was to remove the exemption to get at it,  
11 but there may be other ways through more coordinated efforts  
12 with other agencies that we can accomplish the same thing,  
13 but there definitely is some improvement in that area that's  
14 needed from a public health standpoint.

15 MS. GLAVIN: Okay. Carol, you're ready to move  
16 on?

17 MS. FOREMAN: Yes. The Committee recommendations  
18 on the conceptual framework for risk-free meat, poultry and  
19 egg products. The staff gave us a document that invited  
20 comments on a long number of questions. And frankly, we  
21 didn't think that we were ready to begin that process right  
22 now. We think that the staff has to begin working through  
23 the various goals and objectives that it has listed.

24 But I think I made myself clear yesterday. I  
25 think this is a terrific idea. And so, we put together a

1 little recommendation here that commends the agency for  
2 taking this approach to addressing its mission and states  
3 our agreement that it's appropriate to assess what would be  
4 necessary to achieve complete safety.

5           Committee recognizes that as the agency's  
6 strategic plan and annual goals are developed, the state of  
7 technology law and budget will have an impact on the goal.  
8 But the best way to envision the system we desire is to  
9 begin with a statement of the most desirable goal and the  
10 steps needed to achieve it.

11           We're very eager to continue to work with the  
12 staff as they begin to develop the elements of this system.

13           Lee, do you want to --

14           MS. GLAVIN: I don't know if I'm doing something  
15 that Cathy did yesterday. I think it's also real important  
16 that you all stay tuned to what the President's Council on  
17 Food Safety Strategic Planning Group is doing.

18           MS. FOREMAN: It will make me happy if it is  
19 exactly the same thing as the conceptual framework here.  
20 Now, frankly, I cannot imagine the United States Food and  
21 Drug Administration coming up with a conceptual framework  
22 that says our goal is a risk-free food system because that's  
23 not the way they do business.

24           And I would urge -- you know, what I'd urge here  
25 individually is that Cathy should convert Jane Haney and

1 should convert your sister institution.

2 MS. GLAVIN: Okay. Dan, are you ready to move on?

3 I sort of missed you on the last round.

4 DR. LAFONTAINE: Yes. I'd like to go back for a  
5 moment to the previous topic. And here's my thought or  
6 idea.

7 Recognizing the FSIS priorities on this whole  
8 issue, I recognize that and acknowledge that. However, I'm  
9 bound and determined not to let this thing fade in the  
10 sunset. And what I'm suggesting or asking is that possibly  
11 at the next meeting, it not be a topic for subcommittee  
12 deliberations, but that FSIS provide us a concise briefing  
13 on all of the things that you've got postplant. You alluded  
14 to three of them. I heard a new one from Judy on the RTI  
15 being looked at and an extension, and they're looking at  
16 three things in a three-year plan.

17 So, I don't want to grandstand, but we're your  
18 advisory committee, so we get bits and pieces in a  
19 coordinated briefing on what's out there and where you're  
20 at, would be much appreciated.

21 MS. RIGGINS: Yes, we can do that.

22 MS. DEWAAL: Could you also cover, though, the  
23 states? Because my sense is that you talked about what FDA  
24 is doing in the plants and what you're doing in the plants.

25 But Dan, my sense is that a lot of states have a similar

1 bifurcated system where you guys may be looking at the meat  
2 and poultry plants, your state inspected plants, but you got  
3 someone else looking at retail stores and other entities.

4 And I think that would be -- you know, as we look  
5 at how to improve this system, it would help me to know what  
6 the whole system looks like, both at the federal and at the  
7 state level.

8 DR. LAFONTAINE: Well, I'm not against your  
9 recommendation. That may be more than they can chew off as  
10 far as --

11 MS. GLAVIN: At one point a few years ago AFDA was  
12 going to try and come up with a compendium, weren't they?

13 DR. LAFONTAINE: Who's that?

14 MS. GLAVIN: AFDA.

15 MS. DEWAAL: Association of Food and Drug.

16 MS. GLAVIN: Of what their responsibilities were  
17 state by state. And if they have done so and we can get our  
18 hands on it, we'd share it. It would be an enormous  
19 undertaking to put that together on our own.

20 MS. RIGGINS: We do have some information because  
21 for recalls, we do have a list of all of the functions  
22 within the states so that when we send our recall  
23 notifications, we know that in certain states, we send it to  
24 public health and in certain states, we send it to  
25 agriculture, and sometimes we send it to both.

1 MS. GLAVIN: And whatever we do, we get a  
2 complaint from somebody that didn't get it, so our list  
3 isn't all that good.

4 MS. RIGGINS: But to the extent that we have that  
5 information, we can certainly try to give you as much as we  
6 have.

7 MS. DEWAAL: That would be good.

8 MS. RIGGINS: It won't be 100 percent accurate but  
9 we'll give you what we have.

10 MS. DEWAAL: But to give us a vision that here's  
11 the system working at the federal level and then we've got  
12 to coordinate with these other agencies at the state level,  
13 and this is what they're supposed to be doing.

14 DR. LAFONTAINE: There's one other one that I want  
15 to make sure is -- or I suggest be included. And that is  
16 the whole business of the transportation issue that's -- you  
17 know, advance notice of rulemaking. It's in the works, work  
18 in progress and where are we at, and where are we going?

19 MS. RIGGINS: We can do that.

20 MS. GLAVIN: Okay. Carol, are you done?

21 MS. FOREMAN: I sure am. I think we may want to  
22 discuss this, but that's --

23 MS. GLAVIN: I think Nancy still has an issue.

24 MS. DONLEY: Actually, I'd just like a  
25 clarification because when we were handed the conceptual

1 framework for risk-free meat, poultry and egg products, I  
2 wrote a note on it "provide comments back by the end of the  
3 month."

4 I just want to find out what's happening with --  
5 you know, are we submitting it to the Committee? Maybe it's  
6 a procedural questions.

7 MS. GLAVIN: Yes. If you could get those into  
8 Mike. We are in the process of -- we had a strategic plan  
9 that runs through I think 2001. It might be just 2000. And  
10 so, we're in the process of doing a new strategic plan which  
11 would run through 2005, 2006. And this is, you know, sort  
12 of the conceptual framework that we're starting with. So,  
13 your comments on it would be very helpful.

14 We had hoped to before this meeting do a phone  
15 conference on it, but getting the Committee named, et  
16 cetera, it took a little longer than we had anticipated.  
17 So, we weren't able to do that. So, yes, we would  
18 appreciate any further comments, suggestions, what have you  
19 that you might, but it is in that context that we have to  
20 update our strategic plan under the GPRA, which I'm not  
21 going to be able to -- where's Charlie? He was here.

22 Ken, what's GPRA stand for? Government  
23 Performance and Results Act. Thank you.

24 MS. RIGGINS: Our next step -- we've done the  
25 broad conceptual framework. Our next is to do the next

1 layer of the plan, which is to describe how those goals  
2 apply to meet poultry and egg products. And so, we are  
3 going to be undergoing that in the next few weeks. And then  
4 each area within FSIS, each deputy's area would do  
5 operational plans that are in since with those.

6 MS. DONLEY: This is such a -- I mean, it's an  
7 absolutely wonderful out of the box type of thinking by the  
8 agency. Are you going to do the same thing, and I don't  
9 know with your strategic plan if you even tie budget into --

10 MS. RIGGINS: Oh, yes --

11 MS. DONLEY: -- the planning. You do?

12 MS. RIGGINS: Yes.

13 MS. DONLEY: I hope you won't or maybe you have to  
14 be constrained by current budget numbers, but I would --  
15 what would it actually cost to achieve a risk-free meat and  
16 poultry and egg society?

17 MS. GLAVIN: Since we don't know how to do it, we  
18 don't know what it would cost. But -- and this is  
19 certainly, you know, a result of having the person we have  
20 as administrator. I mean, he very much believes in getting  
21 way outside the box. And he calls it visioning the future.

22 So, you envision the future that you want and then you --  
23 instead of planning from today forward, which is very hard  
24 to do because you don't know -- you know, well, there's  
25 going to be budget constraints, and there's going to be

1 technological constraints, et cetera, that you go to the  
2 future and work back.

3 And it's kind of fun to do, actually. So, at some  
4 point because our budget must be based on our GPRA plan, at  
5 some point, you have to start getting down to some very  
6 specific -- these are the steps we're going to take, but  
7 you're taking those steps with an eye to ultimately getting  
8 to that vision of the future.

9 MS. HANIGAN: I have a question, please. The  
10 President's Council on Food Safety, has this risk-free  
11 concept been presented to them? And what was their  
12 response? And do we have a copy of it in our packet?

13 MS. GLAVIN: Of what?

14 MS. HANIGAN: The whole concept of risk-free food,  
15 has it been presented yet to the President's --

16 MS. GLAVIN: Well, the group that is working on  
17 strategic planning for the President's Council has just  
18 begun its work. It has seen this, yes. But it's very much  
19 in the beginning stages, still sort of organizing itself and  
20 you know, coming up with very broad objectives and moving  
21 from there. So, they don't have a response in that sense.

22 MS. HANIGAN: So, there's no documentation from  
23 them?

24 MS. GLAVIN: Not yet, no. Okay. Can we move on?

25 DR. DENTON: I have -- I think it's a comment and



1 a question. First, I think that the concept of a risk-free  
2 meat, poultry and egg product universe is a very, very noble  
3 goal. Philosophically, I think I can line up with that as a  
4 goal. But I have a question with regard to the perception  
5 that we're placing in front of the consuming product with  
6 regard to the use of the term "risk-free". Are we setting  
7 expectations that are so dramatic and so high that we are  
8 destined to fail from the outset?

9 MS. GLAVIN: I don't know. What do people think?

10 MS. FOREMAN: My view is that if we set our goals  
11 high and fail, that's better than setting them low and  
12 achieving them. Why not dream the future? You go along and  
13 you say to people, "This is where we want to go. Nope, we  
14 haven't gotten there yet." Sometimes the technology gets in  
15 the way. Sometimes the budget gets in the way. Sometimes  
16 human orneriness gets in the way, but we know where we want  
17 to go and we're trying to get there.

18 I think the public's very smart. I think they  
19 understand that, Jim. I do. I think you say to them, "We  
20 don't have all the answers, but we know where we want to go.  
21 And as we get the answers, we'll move in that direction, or  
22 we'll look for the answers that'll help us move in that  
23 direction."

24 I think government has been too slow to set noble  
25 goals. Think about the period -- you're old enough. Think

1 about the period between the end of World War II and 1952.  
2 Look at the things that this country did. Started the  
3 Federal Highway System, started school lunch program,  
4 started the Federal Housing program, did thousands --  
5 hundreds of things that we're still living with today  
6 because built kind of on a domestic Marshall Plan notion,  
7 because we had the enthusiasm, and we knew that we needed to  
8 take the resources that we built up during World War II and  
9 put them to domestic use.

10 So, we had creativity and we have enthusiasm, and  
11 we had planned the energy to move ahead. I'd like to see us  
12 start a new century with that kind of energy and enthusiasm.

13 And you know, there are a lot of problems in the  
14 world we can't solve. We can come a lot closer to solving  
15 this one than we have.

16 DR. DENTON: I don't disagree with the fact that  
17 we can come a lot closer to solving this. I think that's  
18 the reason why we're all here is because we believe we can.

19 I just think in terms of how our society is today with  
20 regard to how they accept risk. I would love to have one  
21 part of my life, doesn't matter what it is, that would be  
22 risk free.

23 As I look at it in problematic terms, I don't  
24 think it's there in any part of how we live. I think that  
25 if we can frame this in the context of a goal, a philosophy,

1 then I think we're absolutely on solid ground. But we have  
2 a tendency to want to depend on our government to provide  
3 things that we, as government, have indicated that we can  
4 provide for. And the statement that we can or that we  
5 desire to provide a risk-free food supply with regard to  
6 meat, poultry and eggs almost implies that that is going to  
7 be something that we can do.

8 MS. FOREMAN: Well, I just want -- let me -- I  
9 think one of the brilliant parts about this conceptual  
10 framework is that it assumes and states several times that  
11 it is seamless, and that it's not just the Federal  
12 Government, but it includes everything all the way down to  
13 me when I go home and cook for myself. And that's what I  
14 think -- that's how you want to think. You want to think of  
15 something where we all play a role in making this happen.

16 DR. DENTON: I think that's how we have to frame  
17 it, yes.

18 MS. FOREMAN: Well, and the paper does.

19 DR. DENTON: Right.

20 MS. FOREMAN: The paper does. It says that.

21 DR. DENTON: Okay.

22 MS. GLAVIN: Caroline, you've been trying to get  
23 in for awhile.

24 MS. DEWAAL: Thank you. I want to approach  
25 answering you in a little bit different way and basically

1 say, like it or not, consumers think their food is pretty  
2 much risk-free. They really believe that to the point where  
3 a whole job in our consumer education is to teach them where  
4 the risks are.

5 But people -- you know, and when I think about  
6 this, I look at the recall data from an outbreak from  
7 Schwann's ice cream where tens of thousands of people got  
8 sick from salmonella. And they had very exact evidence on  
9 who had received the contaminated products. And they got  
10 information to all those consumers, and consumers who were  
11 told specifically they had contaminated product and  
12 continued to eat it.

13 Because the hurdle -- the hurdle is so high to get  
14 consumers to believe that the food is going to make them  
15 sick, that it's just very hard to get this message through.

16 People think that if they are sold food in a restaurant, if  
17 they get food at a grocery store and bring it home and put  
18 it in their refrigerator, that it's safe. That it's safe to  
19 serve their family because they couldn't eat otherwise.

20 So, like it or not, people already think that food  
21 is pretty much risk-free. And we have a huge job of  
22 consumer education to help them understand their role in  
23 accomplishing that objective. But I think getting the  
24 Government up to speed so they understand that this is a job  
25 that's their responsibility.

1           And I reminded of the FSIS' response following the  
2 Jack-in-the-Box outbreak where I went to a public meeting  
3 where a representative of FSIS said, "That meat met our  
4 Federal guidelines." It was essentially fully -- it fully  
5 met our requirements.

6           Well, gosh, their requirements weren't good  
7 enough. I mean, when that outbreak occurred, they clearly  
8 did not have requirements to consider and contemplated the  
9 risk of bacteria in those products. And they're moving  
10 forward, but I think that this conceptual framework is an  
11 important step and a recognition that consumers are ahead of  
12 the Government here and the Government is doing the right  
13 thing in trying to catch up.

14           MS. FOREMAN: I had a small question following on  
15 Katie's. It's my understanding that FSIS responded last  
16 year to the NAS document that you wrote a letter over to the  
17 National Academy of Sciences after that document came out.  
18 Is that right?

19           MS. GLAVIN: No. The President's Food Safety  
20 Council did a response.

21           MS. FOREMAN: But was there not an earlier  
22 response between the time that the NAS report was issued and  
23 the Food Safety Council was set up in which the agency  
24 responded to some of the recommendations in the -- if there  
25 is, I'd like to have a copy of it. And if there wasn't,

1     okay.

2                   MS. GLAVIN:  I don't remember that there was.  
3     Obviously, we were developing things for the Council.  I  
4     don't remember that we developed them, but I'll make sure  
5     that's right.  If we did, it's obviously available.  But I  
6     think we just did pieces to go into the Council's response.  
7     That's my memory.

8                   MS. FOREMAN:  Well, think back to the time between  
9     the point where the NAS report was issued and the Council  
10    was set up.

11                   MS. GLAVIN:  Okay.

12                   MS. FOREMAN:  There were several months there.

13                   MS. RIGGINS:  I know that Cathy Woteki gave  
14    several speeches where she addressed concerns that she had  
15    about the NAS report, but I don't remember the agency  
16    actually writing any --

17                   MS. GLAVIN:  It's easy enough to find out.

18                   MS. FOREMAN:  I'd like the most detailed  
19    explication of your reaction to that report that you have.  
20    If it's Cathy's speech, that's fine.

21                   MS. GLAVIN:  Okay.

22                   MS. FOREMAN:  Thanks.

23                   MS. GLAVIN:  Let me -- Nancy?

24                   MS. DONLEY:  When I first got involved in the  
25    whole food safety issue, I had first taken a lot of the only

1 term I can use frankly is I took a lot of abuse by -- direct  
2 and implied abuse by industry, by Government that I had  
3 screwed up somehow that my son was dead because of something  
4 that I did wrong because the food -- that there is no such  
5 thing as a hundred percent guarantee, and "You consumers are  
6 ridiculous in even thinking of such a thing."

7 I think -- and my response back was, "You know  
8 what? There is no such thing as a hundred percent  
9 guarantee, but we can and should be doing as an industry as  
10 a government, as a society, a lot more than we are doing  
11 now."

12 I think if the agency is going to put in quotes,  
13 the meat, poultry and eggs you eat are risk free, that the  
14 Government better be ready to deliver. And it can't be it's  
15 risk-free if you cook it right, if the restaurant and food  
16 handlers cook it right and deliver it to your family right.

17 You can't -- you can educate and educate and educate and  
18 until you change behavior, people are going to get sick and  
19 die.

20 I would like nothing, nothing, nothing, nothing  
21 better. It's my wish before I die that this could be --  
22 that we could all say this and really mean it and deliver,  
23 but we can't. And I am scared to death that Staph is going  
24 to grow and grow and grow and grow and grow to millions and  
25 millions and millions of people because they're going to buy

1 this and they're going to get sick and they're going to die.

2 I think this is has to be given a lot of serious,  
3 serious, serious consideration. And that because at the  
4 bottom line, and it is not acceptable that if it's still  
5 going to be dependent on the consumers that if they're  
6 assuming that everything else has been done and it's risk-  
7 free when they receive it and that they have to assume all  
8 responsibility and liability, that's not acceptable.

9 MS. GLAVIN: Okay. Thank you. As Carol pointed  
10 out in the document, it definitely -- and I understand your  
11 point very well, and it's very nicely made. That this is  
12 not about blaming the consumer, but the consumer does have a  
13 responsibility. And one of the agency's responsibilities,  
14 one of the responsibilities that we see in aiming for the  
15 food you eat is risk-free, is making sure that consumers  
16 have the knowledge and the ability to do the right thing.

17 And you know, maybe that's time temperature  
18 indicators on product. Maybe it's -- I don't know. Maybe  
19 it's embedded chips that show you when your hamburger is  
20 cooked the right way. I mean, you know, who knows what it  
21 is? But it has -- it really -- in coming with this, and  
22 believe me, this went through a lot -- it sounds so simple,  
23 but it went through a lot of versions.

24 The reason it's stated the food you eat is because  
25 it's not the food you buy, because that's not good enough.



1 You could buy an absolutely sterile piece of product, send  
2 it home with your teenager who doesn't have a clue what to  
3 do with it, and you know, that teenager gets sick. So, it's  
4 got to be all the way to where you eat it.

5 MS. DONLEY: And I'll save my -- I will definitely  
6 submit comments on this, but it's just again, is that's a  
7 huge -- that's a huge task. And goals -- when I went to  
8 school, I was taught that goals should be measurable and  
9 achievable. And you know, I could go into my neighborhood  
10 grocery store and I will hear four or five different  
11 languages being spoken in the parking lot. Unless we have  
12 an educational campaign that can reach to each and every  
13 segment of society and then the illiterate also, we just --  
14 it's just -- that would be the most expensive campaign  
15 possible to produce safe food, is to try to put it all in  
16 the hands of the consumers and educate the consumers.

17 MS. GLAVIN: Okay. Is it all right if we move on?  
18 We're running over and we have added a briefing by yours  
19 truly on the models project.

20 Okay. I will do it before the break, because  
21 that'll keep it short because I know everybody wants a  
22 break.

23 We started the model -- first of all, the models  
24 project is a project that we've undertaken to explore better  
25 ways to inspect the slaughter of healthy young animals in a

1 HACCP environment. We recognize that plants are  
2 implementing HACCP and that to some extent on the slaughter  
3 line, FSIS decides what the critical control points are and  
4 controls them under our current inspection system.

5           So, we wanted to look at how we could in a HACCP  
6 environment improve that. And we're looking initially at  
7 healthy young animals because one, they have probably the  
8 lowest level of risk. Two, they are the largest portion of  
9 what is slaughtered in this country. And three, because  
10 they are uniform, we felt we could come up with systems that  
11 dealt with them and covered a large range of products. So,  
12 we're not at all dealing here with anything other than  
13 healthy young animals and their slaughter.

14           We started this back in the summer of 1997. We  
15 did a Federal Register Notice which gave a general  
16 description of what it was we were trying to achieve. We  
17 sought volunteer plants to participate in this project. And  
18 we also did a paper on diseases and conditions that are seen  
19 in these animals and made a cut at which of those diseases  
20 and conditions had food safety implications and which had  
21 other consumer protection implications when sometimes they  
22 were both. So, we did a first cut at that for comment.

23           We had a meeting that summer, I believe it was in  
24 July, to discuss all of this, to talk about what our plans  
25 were. Following that, in the summer of 1998, we had a

1 second meeting, because at this point we had a number of  
2 volunteer plants, and we wanted to revisit with the public  
3 where we were. Our thinking had evolved. We had gotten  
4 comments on the earlier documents, and we had a plan of how  
5 to proceed. So, we laid that out during the 1998 summer  
6 meeting. I think that was also July.

7           Shortly after that meeting in the fall, we began  
8 baseline data collection in the five volunteer plants. And  
9 that was two pork, one turkey and two broiler plants that  
10 volunteered. These are all HACCP plants, by the way.  
11 That's one of the requirements for being in the models.

12           We began baseline data collection in the fall.  
13 And that included micro data, that is salmonella and generic  
14 e-coli data, and it also included organoleptic data. We had  
15 a contractor, the infamous RTI Institute, we keep coming  
16 back to them, collect the data for us so that it would be  
17 arms-length. It also enables the data to be blinded when it  
18 comes to us blinded by source.

19           And they went into plants. They gathered e-coli  
20 data and salmonella data. And they also hired for each  
21 plant a veterinarian who was familiar with meat and poultry  
22 inspection who sampled carcasses that had been passed by our  
23 inspectors. They also actually looked at condemned  
24 carcasses.

25           And the idea was to get a baseline of what was

1 achieved both organoleptically and microbiologically by our  
2 current inspection system. So, it was to take our current  
3 inspection system and say, "This is what we achieved."

4 In November of 1998, we had a third meeting and  
5 reviewed the baseline data. Went over in a great deal of  
6 detail how the data was collected and then what we had  
7 found. At that point, we were essentially ready to begin  
8 the actual models phase. And in the models phase, it is our  
9 intention to make changes in how we inspect the slaughter of  
10 those animals. To have plants -- the volunteer plants,  
11 first of all, will include food safety concerns on the  
12 slaughter line in their HACCP plant, which they don't have  
13 right now.

14 Secondly, they will have what we're calling a  
15 process control plan, which will address the other concerns,  
16 the other consumer protection concerns that would not be in  
17 a HACCP plan because they're not food safety. They would  
18 develop these plans and would have the charge and the  
19 ability to determine how best to meet at least the  
20 achievements that were met during the baseline.

21 In other words, how best to meet all of the  
22 regulatory requirements which remain in place and how best  
23 to meet or exceed the micro levels that were come up with  
24 during the baseline and the organoleptic inspection levels  
25 that were achieved during the baseline. That is our

1 intention during the actual models phase.

2           During January and February, we met with our  
3 bargaining unit to bargain on implementation and impact of  
4 these changes since obviously, we're changing the inspectors  
5 job or changing grades. We're doing a number of things.  
6 And so, the bargaining unit has an interest in this.

7           We had two sessions of bargaining. And we have  
8 reached impasse with our bargaining unit. The issue is  
9 before -- and I probably have the wrong -- what I will call  
10 the Federal Impasse's Panel. It has a nice fancy name and I  
11 apologize. I don't have it down straight.

12           That panel actually will be hearing this case I  
13 believe it's the week after next. It might be next week,  
14 but I think it's the week after next.

15           We expect that -- and this is in their hands now,  
16 but we would expect to have a decision some time this summer  
17 from them. So, at which point, assuming that our position  
18 more or less prevails before the panel, that we would be in  
19 a position to begin announcing jobs in these model  
20 locations, these five locations, filling those jobs and  
21 training our employees in what we would have -- the ones who  
22 are selected in what we would have them do. So, that means  
23 that we're not going to actually get into actual models most  
24 likely until some time in the fall of next year.

25           In the meantime, we now have an additional roughly

1 15 plants that have volunteered. And we are beginning the  
2 process of doing baseline data in those plants since that  
3 does not have a bargaining unit impact, the collection of  
4 the baseline. We don't have to go to impact bargaining  
5 there. So, we're moving ahead to gather baseline data in  
6 roughly 15 additional plants.

7 So, that's the status of the project. I know  
8 that's a real quick overview, so let me just see who I've  
9 confused how badly.

10 DR. LAFONTAINE: I have to be first on this.

11 MS. GLAVIN: Okay.

12 DR. LAFONTAINE: My question is -- my  
13 understanding is that the original contractual arrangement  
14 with RTI expired in the meantime.

15 MS. GLAVIN: That's right.

16 DR. LAFONTAINE: And there was a bidding process,  
17 a request for proposal, whatever the right word is.

18 MS. GLAVIN: Right.

19 DR. LAFONTAINE: Out on the street.

20 MS. GLAVIN: Right. And I believe we -- have we  
21 completed that contracting process? We have completed that  
22 contracting process.

23 DR. LAFONTAINE: Has it been announced yet?

24 MS. GLAVIN: I don't know, but --

25 MS. RIGGINS: I don't believe it's been announced,

1 but --

2 MS. GLAVIN: But we have selected a contractor and  
3 we'll be -- you know, so, we're set on that.

4 DR. LAFONTAINE: Okay. And that contract, whoever  
5 it is, would be the conduit for both the additional baseline  
6 and if you get to go ahead --

7 MS. GLAVIN: Yes, yes.

8 DR. LAFONTAINE: You have the ability to go ahead.

9 MS. GLAVIN: The contract provides for both  
10 baseline work and models work.

11 Yes, Collette?

12 MS. KASTER: Just to clarify, did you mean fall of  
13 1999 or fall of 2000?

14 MS. GLAVIN: No, fall of 1999. Caroline?

15 MS. DEWAAL: Just a question for clarification.  
16 You have statutory authority to engage in experiments. Is  
17 it true, though, that -- it sounds like in every plant where  
18 an inspector's job is impacted, you have to go to the  
19 bargaining unit. I mean --

20 MS. GLAVIN: That's -- well, in addition to the  
21 meat and poultry laws, we also are required to obey other  
22 laws of the land, which includes the Federal labor laws.  
23 And under the Federal labor laws, we have a contract with  
24 our organized employees in plants, our inspection employees  
25 in plants. And there are certain processes that we are

1 required by law to follow. And that's what we're doing.  
2 We're working through that process. And it at times appears  
3 very tedious, but it's a very normal process that one goes  
4 through.

5 MS. DEWAAL: If you were doing an experiment in  
6 just one plant, would you have to go to the bargaining unit?

7 MS. GLAVIN: Yes.

8 MS. DEWAAL: So, anytime one inspector's job is  
9 effected, you have to go through this?

10 MS. GLAVIN: Well, I'm not a labor relations  
11 specialist, but I think in general, the answer is yes, if we  
12 were changing the terms and conditions of employment.

13 MS. DEWAAL: For one person?

14 MS. GLAVIN: I don't know the answer to that.  
15 That wouldn't do us much good in terms of getting data.

16 DR. LAFONTAINE: There's a second -- well, correct  
17 me if I'm wrong. I believe there's a second related labor  
18 issue. And that is the suit that this concept violates  
19 their interpretation of the law that says that Government  
20 employees have to do the inspection. Is that entering into  
21 this picture as far as delaying it?

22 MS. GLAVIN: Thank you for bringing that up.  
23 There is also -- the AFGE has filed suit against the  
24 Department on a number of issues, which include what you  
25 just said. That this particular design, the design of this



1 product would not meet the requirements of the Meat and  
2 Poultry Act for the inspection of animals at slaughter.

3 That suit is in district court in the District of  
4 Columbia. We had oral arguments back maybe a month and a  
5 half ago. And there were new filings, I believe, this week.

6 MS. RIGGINS: Yesterday.

7 MS. GLAVIN: Yesterday? So, that's sort of moving  
8 along. One of the legal issues in that suit is rightness.  
9 And so, until we actually start a model, is the case right?  
10 So, you know, our conjecture is that's why the judge is not  
11 moving. That he could rule on rightness, or he could just  
12 wait until it was right.

13 Yes?

14 DR. HURLBERT: Real quick because I know everybody  
15 wants to go on break. I think the model project is  
16 excellent. I think the way you've gone about doing it with  
17 the baselines and the whole works has been good.

18 And to kind of piggyback on the carcass by  
19 carcass, it's my understanding that what you're impasse  
20 group is not related to the lawsuit itself, but it's related  
21 to the inspector jobs, the inspector --

22 MS. GLAVIN: Yes, yes. One is -- the lawsuit has  
23 to do with whether we are properly carrying our  
24 responsibilities under the law. The other one has to do  
25 with our rights and obligations and the union's rights and

1 obligations under the contract we have with them in terms of  
2 how they work, what work we given them, how we assign it,  
3 how they take breaks, what the grade levels are, et cetera,  
4 et cetera.

5 DR. HURLBERT: But the union has negotiated to  
6 some point on this?

7 MS. GLAVIN: On the impact?

8 DR. HURLBERT: Yes.

9 MS. GLAVIN: Yes. We have two negotiating  
10 sessions. I think they were two weeks each, or I could be  
11 wrong on that, in the late January, February period. And we  
12 reached impasse. We had a mediator who declared us to be at  
13 impasse. And we went jointly to the authority and asked for  
14 them to address it. And that's what's going on now. And as  
15 I said, I think it's the week after next that we're  
16 scheduled for oral hearings -- three days of oral hearings  
17 on that. But that's the labor issue.

18 But it doesn't really have anything to do with  
19 whether this is legal or not.

20 DR. HURLBERT: Has the agency made the decision  
21 that once the labor issues are resolved, then they will not  
22 wait for the court case?

23 MS. GLAVIN: Yes. We'll move forward. Make it  
24 right or whatever they -- yes.

25 Okay. People want to -- see, I was smart. I got

1 out right before the break. Break time.

2 Can we be back at 3:15?

3 (Whereupon, a recess was taken.)

4 MS. GLAVIN: Okay. Our next agenda item is to go  
5 over the issues for the Committee for the next two years.  
6 And let me just talk a little bit about what it is we're  
7 doing here.

8 We're looking for a list of issues in some sort of  
9 rough priority order that the Committee believes they would  
10 like to make a contribution on some time in the next two  
11 years. The way that is used is once we get that, at the end  
12 of each meeting, we have a discussion about the plans for  
13 the next meeting. We look at the list that is produced by  
14 this process and decide if there are things on that that we  
15 want to address at the next meeting.

16 Sometimes -- you know, obviously, over the course  
17 of two years, things change. Our sense of what's real  
18 important right now might not be the same six months or a  
19 year from now. So, things come and go.

20 And then, the agency in putting together an agenda  
21 considers the Committee's discussion at the prior meeting.  
22 It considers this list, and it considers issues that the  
23 agency wants input on even if it isn't on the list.

24 And so, that's what we're looking for now. So,  
25 you know, this is an important list because to some extent

1 it sets the agenda for the next two years. On the other  
2 hand, I don't think it warrants agonizing over because a lot  
3 of things will happen over the next two years to add or  
4 subtract from this list. But it will give us a sense of  
5 what we've got.

6 All of the Committee members, you know, we came up  
7 with a list of things. And all of the Committee members  
8 ranked their first, second, third, fourth and fifth priority  
9 including adding things that they could rank. And we have  
10 with us Nelson Clinche who's going to tell you what he did  
11 with these, so that what he gave you makes some sense in  
12 terms of how he came up with these two lists of ranks.

13 Nelson?

14 MR. CLINCHE: Thank you. We looked at the  
15 information that you ranked on your tally sheets several  
16 different ways. The two methods that we have presented on  
17 single sheets provide the most separation between some of  
18 the issues as far as giving them a ranking, which is why we  
19 chose them. I thought I'd just briefly go through what the  
20 two different methods are that are presented here.

21 The top one labeled, "Weighting All Issues," gave  
22 a weight to each member's response based on whether they're  
23 ranked at a one, two, three, four or five. For example, on  
24 the tally sheets that I believe were passed out to all of  
25 you, the top one on one of the pages says "HACCP Inspection

1 Models." And under the number five, it's says two. Two  
2 people ranked it as a five. One person ranked it as a four.  
3 One person ranked it as a two.

4 Since two people ranked it as a five, 10 points  
5 were allotted from there. Four points were allotted from  
6 the one person that ranked it a four, and one -- and two  
7 points from the person that ranked it a two, for a total of  
8 16.

9 All of the issues were tallied up this way. And  
10 looking at this other sheet, you can see that the HACCP  
11 inspection models ranked third out of all the issues with 16  
12 points, doing it that way.

13 The bottom method was similar, except the only  
14 columns we looked at were the number of people that ranked  
15 it either a five or a four. Using the same example, two  
16 times five is four, plus one times four is four, giving a  
17 total of 14. And again, all of the issues were ranked that  
18 way. And you can see the 14 ranks it second among all the  
19 issues that way.

20 On the right-hand side of that page, the points  
21 for each issue are listed. And in the bottom set of  
22 rankings, the number in parentheses is the ranking from the  
23 method up above.

24 One minor typo in the top one. The fourth and  
25 fifth issues had the same number of points. So, it is

1 really not a number four and number five. They're both  
2 number four, essentially.

3 Any questions?

4 MS. DEWAAL: I have a question for clarification.

5 I just like checked off HACCP Inspection Models because you  
6 had said they're already on the agenda. So, I mean, that's  
7 really not a new issue. It would have frankly been my first  
8 choice -- my number five because it's so vital to --

9 MS. GLAVIN: Well, we'll have to eliminate all  
10 Caroline's votes. She apparently did it wrong.

11 MS. DEWAAL: But I mean, isn't that a given? I  
12 mean, isn't the issue really not that one, but -- I just --

13 MS. GLAVIN: You just wanted an extra vote.

14 MS. DEWAAL: You know, we're going to do that.

15 MS. GLAVIN: Okay. Well, is it a general  
16 consensus that the HACCP-based inspection models is a given  
17 on our list? Okay. We've got one.

18 MS. DEWAAL: Thank you.

19 MS. GLAVIN: Other questions?

20 MS. HANIGAN: I have one other question on agenda,  
21 if you will. When are we going to decide, and maybe I'm  
22 ahead of it here, but when are we going to decide if based  
23 on what the micro committee brings back to us on  
24 campylobacter one, if we're having a teleconference or two,  
25 if we just stick it on the November agenda? Because based

1 on this, it's nowhere.

2 MS. GLAVIN: Good question. Well, and that's one  
3 of the reasons for having a second agenda item, which is  
4 what are we going to next meeting?

5 I spoke to Kaye before she left and she wasn't  
6 able to predict whether the Committee would actually get us  
7 something as a result of their meeting in the next couple of  
8 weeks, or whether it would take them longer than that. So,  
9 once she comes back from that meeting, we'll have to get  
10 back in touch with you and let you know either we've got  
11 something, here it is. And in that case, maybe we want to  
12 schedule a teleconference on it. Or they anticipate getting  
13 us something by -- okay. But it's hard to predict at this  
14 point.

15 MS. HANIGAN: Can I ask you one more question on  
16 that? So, has Micro been working on the campy issue for six  
17 months, since November, or is the May meeting the first go  
18 at it?

19 MS. GLAVIN: I think it's the first go -- it's  
20 certainly the first go at this particular request. Whether  
21 they've been working at it in other ways, I simply don't  
22 know. I'm sorry.

23 MS. HANIGAN: Okay.

24 MS. GLAVIN: Mike?

25 MR. MAMMINGA: If there are other givens,

1 obviously, FSIS can -- there's no question that you can put  
2 things before us. We've already identified inspection  
3 modules. Why don't you tell us the other ones that you  
4 anticipate you would put before us and then let us discuss  
5 from what remains how we prioritize those other issues?

6 If there are other obvious issues on here, we  
7 might as well hear what they are.

8 MS. GLAVIN: And it's a fair question. I'm not  
9 sure I'm going to answer it very well. That one's a real  
10 obvious one that comes to mind right away as an ongoing  
11 thing.

12 DR. LAFONTAINE: Well, I think the initial list  
13 was that -- or the first part of that list was FSIS  
14 generated.

15 MS. GLAVIN: It was FSIS generated, but that was  
16 generated really to kind of get people thinking. It was  
17 some issues -- you know, we didn't rank them in any order.  
18 So, you know, not necessarily.

19 It's more likely that as things go -- well,  
20 another thing that will certainly at some point bring back  
21 to this Committee is the strategic plan. We have in the  
22 past when we -- when our budget is public in January, do a  
23 briefing on that. We had at the last meeting a very good  
24 presentation from Eileen Kennedy on ARSCSREES research  
25 efforts. And I would anticipate asking her to come back and



1 do an update on that at some point.

2 The models is the one that we want some work on.  
3 The others are more in the nature of informative briefings.

4 Well, certainly as we get a little further on in  
5 our thinking on performance standards for product beyond the  
6 inspected establishment, that would certainly be a  
7 substantive one I would want to bring back to the Committee.

8 But I guess right now what I want is what do you all --  
9 what are your best advice on what you think could be most  
10 useful on? Caroline?

11 MS. DEWAAL: I'll dive in a little bit. I have  
12 two issues that are on this list that I just want to make  
13 comments on. One is the risk-based inspection and its  
14 effect upon current policy and daily inspections shows up  
15 one on both these lists. So, I think clearly there's a lot  
16 of consensus among the Committee that that's a very  
17 important issue. So, I'd just note that.

18 The second thing --

19 MS. GLAVIN: Can I -- go ahead.

20 MS. DEWAAL: The second issue I want to raise  
21 again -- you'll be able to tell which one was mine. I noted  
22 that there were three sampling issues on this list. And of  
23 course, on mine, I decide just to lump them all together and  
24 give them the same ranking. And I notice only one of them  
25 shows up here, but that may be because we so divided the

1 question on statistical sampling that different people voted  
2 for it.

3 I would like to suggest to the Committee that we  
4 may want to consider on your ranking issues tally sheet  
5 which starts with improving information flow, it's the one  
6 that says, "Examine FSIS Statistical Sampling Program. What  
7 is the agency's expertise which shows up on these lists?"  
8 Then, there's "Should there be an independent review of the  
9 adequacy of new statistical sampling protocols before new  
10 statistical sampling protocols are instituted?" And then  
11 there was a peer review of statistical information.

12 Those three to me seem to be asking a very similar  
13 question. And perhaps all of those could be linked --  
14 grouped as one question. The agency's approach to  
15 statistical sampling, and is it adequate? I mean, I would  
16 really suggest that we group those together as one thing.

17 Does anyone --

18 MS. DONLEY: I did the same thing. I circled them  
19 all and put them together.

20 MR. CLINCHE: I was going to say, I couldn't tell  
21 yours because there was someone else that did say do the  
22 same thing.

23 MS. GLAVIN: I guess what is it that you want to  
24 do about the agency's -- you want to make recommendations to  
25 the agency on how it designs its statistical sampling? I'm

1 not clear what it is you're asking for.

2 MS. DEWAAL: Well, I'm not -- first of all, I  
3 didn't author any of these, so I'll just give you my off the  
4 cuff approach. But we've been troubled that the statistical  
5 sampling protocols that are used, for example, in the  
6 inspection models haven't been fully peer reviewed, because  
7 none of the people in my community have much in the way of  
8 statistics background. We'd like to -- and we also have  
9 experience with FSIS in the past saying -- feeling like they  
10 weren't handling statistics properly. That we were trying  
11 to get the agency to get some of their statistical work peer  
12 reviewed. But there are clearly other concerns that were  
13 expressed by others.

14 MS. GLAVIN: Carol, can you shed some light on  
15 this subject?

16 MS. FOREMAN: Well, let me follow up on what  
17 Caroline said. The agency is in the process of making a  
18 shift that goes from command and control to a very heavy  
19 reliance on statistical sampling. Statistical sampling is a  
20 beauty that viewed different through the eyes of the  
21 beholders.

22 There's a lot of debate about the quality of  
23 FSIS's work on this in the past. We have been very critical  
24 of it. In order to be absolutely sure and competent and be  
25 able to go forth and endorse the future, we need some work

1 with the agency to be assured that the statistical sampling  
2 quality is good enough to make us comfortable with this  
3 shift.

4 And you can -- I think the statement is -- the  
5 suggestion there is a good one. Can't we roll these  
6 together and find some way to delve into this?

7 MS. GLAVIN: What I'd like to do is take what  
8 Caroline said and what you just said and put some people to  
9 work coming up with some options for how to bring that to  
10 the Committee and shape it. So, come back to you with that  
11 between meetings with some options, because I'm sort of  
12 struggling being a non-statistician myself -- sort of  
13 struggling with exactly how we do this. But I heard your  
14 concerns. So, can we --

15 MS. FOREMAN: Yes. I think that's a good idea.  
16 And if you could come up with a paper and distribute it  
17 before the next meeting, it might be possible to either say,  
18 "Gee, we'd like to go into one part of that in more detail  
19 at the November meeting." Or we may want to suggest to you  
20 that you handle it in some way other than the Meat and  
21 Poultry Inspection Advisory Committee.

22 MS. GLAVIN: Let's give it some thought.

23 MS. FOREMAN: Thank you.

24 MS. GLAVIN: I'd also like to ask if you don't  
25 mind. Since risk-base inspection and its effect upon the

1 current policy of daily inspection is first on those, can we  
2 get some clarity on what that one means?

3 Are you the author of that?

4 DR. LAFONTAINE: Yes.

5 MS. GLAVIN: Perfect.

6 DR. LAFONTAINE: That's not why I had my hand up,  
7 but yes, I proposed this. Very simply, when you look at  
8 risk, and you look at resources, the utilization of the  
9 resources should be risk-based.

10 You have in existence at least for -- well, you  
11 have in existence a system, PBIS, that is performance based  
12 inspection system. It gives you good data on the  
13 performance of individual plants. Everything from HACCP  
14 implementation to some economic task still, but it's been  
15 redesigned, reconfigured for HACCP, SSOPs, you name it. But  
16 it's not being used.

17 And what I mean by that it's being used for review  
18 purposes, but it's not being used to make decisions on  
19 looking at or using the performance of plants and deciding  
20 the frequency that those individual plants need to be  
21 visited. The agency is saying, "Go every place every day  
22 and get it done somehow." And some days, you can't get it  
23 done because you don't have enough time and resources.

24 So, that's really where I'm coming from is take  
25 that system and any other that you might have, and for the

1 folks that are doing a good job, continue to visit them  
2 randomly, unannounced, different times of the day, twice a  
3 day one day and maybe not for a few days, and get out of  
4 this, to me, craziness of trying to go every place every day  
5 just because they have a grant of inspection.

6 So, that's one piece of this pie, and that's why I  
7 asked to be put on.

8 MS. GLAVIN: Okay. One of the things that might  
9 be useful is to have a briefing on the PBIS system. The  
10 PBIS system, and I'm going to get over my head real fast  
11 here. But the PBIS system does provide information on plant  
12 performance. I mean, it is one measure of plant  
13 performance. Obviously, there are others.

14 It also is capable and is used for when there are  
15 shortages deciding what task will be dropped or postponed.  
16 So, it has some risk rankings within it. It either has or  
17 could easily have the ability to switch frequency of  
18 inspection, but right now it's written with the rules that  
19 the frequency of individual task will vary depending on  
20 findings, but always within the context of a daily  
21 inspection.

22 So, I think it would be useful to start with a  
23 good briefing on what the system is capable of doing and  
24 what it currently does. Is that what --

25 DR. LAFONTAINE: I agree. And there's an

1 important part I forgot. And that is the whole -- the  
2 reason I'm putting it on there is not necessarily to figure  
3 out a way to skip plants, but rather to put assets where  
4 they're needed for problem situations in problem plants in  
5 problem issues. And if an inspector feels -- if the program  
6 feels that they need someone there "x" hours -- extra hours  
7 in a problem plant, they're not driven to drive down the  
8 road to go to the next plant. It's not -- it's based on an  
9 arbitrary daily criteria, as opposed to anything resembling  
10 risk.

11 MS. GLAVIN: Well, you know, I do have to say that  
12 it is the agency's position that we are not interested at  
13 this point in time in looking at moving away from daily  
14 inspection. But I think the context of how do we best focus  
15 our resources is one that we would -- so, let us give you  
16 the briefing on the PBIS system.

17 MS. DEWAAL: One of the things I think the agency  
18 needs to grapple with is the fact that both the National  
19 Academy of Sciences and the GAO have come out and suggested  
20 that carcass by carcass inspection is no longer needed. And  
21 in a sense, I see this as a -- I think Dan's -- the focus on  
22 the PBIS system is good, but I also see this is an  
23 opportunity for the agency to -- or for us really, to be  
24 able to say that carcass by carcass inspection, particularly  
25 in the red meat area is hazard-based, is a risk-based

1 inspection program, and to come out with something that  
2 responds to these statements.

3 So, that is another way just in putting together  
4 the position paper on this. Another thing you should focus  
5 on that there has been criticism.

6 MS. GLAVIN: Well, I haven't yet agreed to do a  
7 position paper. I'm going to lay on you all that you need  
8 to decide what you want us to prepare for the next meeting.

9 And there's a limit. You know, I'm going to say, "Stop."  
10 And you're going to have to give me some rankings.

11 MS. DEWAAL: Well, this is over two years.

12 MS. GLAVIN: This is about the 17th paper I've  
13 heard about today. I'm starting to worry. Okay.

14 DR. LAFONTAINE: Maggie, let me answer your  
15 question. As a first piece of the pie, this is ranked  
16 number one. So, if you could work on the agendas, so be it.

17 And the piece of the pie that I think has the most need for  
18 a look see, is the processing part and the patrol part, the  
19 patrol/processing part and its relationship to PBIS.

20 So, a briefing on PBIS and an explanation of your  
21 philosophy on daily versus less than daily would be a  
22 starting point.

23 MS. GLAVIN: Okay. Collette?

24 MS. KASTER: An additional thing to think about  
25 is, a dose of reality into the situation is there is in many



1 circuits a staffing shortage. And that is something that we  
2 should bear in mind as we look at how we allot  
3 responsibilities, too, because there's some struggling to  
4 get available people for the tasks that are assigned at  
5 present.

6 MS. GLAVIN: Katie?

7 MS. HANIGAN: I'm going to move us on to another  
8 agenda topic. Is that okay?

9 MS. GLAVIN: Absolutely.

10 MS. HANIGAN: Working off of what Caroline did,  
11 I'm looking here at number two, FSIS Uniformity For the  
12 HACCP Audits review the company's plans. And then even when  
13 we looked down under the other weights, those three are  
14 rated two, three and four.

15 And I guess when I look at those Maggie, were  
16 three-quarters or two-thirds of the way, if you want to say  
17 it that way, into HACCP? We've got the small plants coming  
18 in in January. I think it's key that we look at uniformity.

19 Make sure that what we've got based on HACCP in the field  
20 is uniform. If we're going to have audits by FSIS of the  
21 HACCP programs to insure consumer safety, whatever the  
22 reasons are for them, they need to be carried out in such a  
23 manner that they're uniform, that they're worthwhile.

24 I think those there items tie together fairly  
25 well. And I just want to throw out to the group. We're

1 putting a lot of weight in here on these company HACCP  
2 programs, and yet we've heard at the table and through other  
3 public meetings that some plants have many CCPs. Some don't  
4 have many CCPs, et cetera, et cetera.

5 There's got to be some way of tying this stuff all  
6 together. It's probably a huge topic to put on an agenda,  
7 but it needs to be addressed.

8 MS. GLAVIN: Well, that's certainly something we  
9 can address. I'm going to take off the word "audits" and  
10 call it reviews, because my friends from OIG will come and  
11 beat up on me if they think we're doing audits.

12 MS. HANIGAN: Okay.

13 MS. GLAVIN: But it has always been our intention  
14 to go into plants with expert teams to look at the adequacy  
15 of the plan both in design and in execution. And we have  
16 the beginning of a methodology for doing that. So, I think  
17 by the next meeting, we'd be in a position to lay that  
18 methodology out and get some advice on it.

19 We're also sharing that methodology with FDA and  
20 getting their advice on it. And somebody else -- oh, we're  
21 sharing it with our friends in OIG who are about to start an  
22 audit of HACCP.

23 MS. HANIGAN: I think that ties well, because that  
24 may be the first piece to uniformity, too, that we're  
25 driving for.

1           MR. MAMMINGA: And just to put something in your  
2 mind and not give you anything more to do, but from the  
3 state program perspective on this particular issue,  
4 everything that she just said, there will come a day  
5 somewhere between now and the possibility of interstate  
6 commence when each one of your state cooperative programs  
7 are going to be in the situation of having worked with the  
8 industry they regulate on all of these issues regarding  
9 HACCP. And then, we'll be working with FSIS on whether we  
10 met their expectations of the implementation of HACCP.

11           And so, as these schemes come together for  
12 uniformity, it's absolutely positively critical that you  
13 work with the state programs outside of a crisis situation,  
14 not when interstate commerce is on the line, not when the  
15 "equal to" status is on the line, but as this is developed  
16 so that we're really all partners in this as we go forward.  
17 You leave us in the dark, and then you're going to have 26  
18 programs that you're going think about me taking over, and  
19 you won't want to do that.

20           So, this is just something. I see Dr. Liese out  
21 there and know the work that he and I and all of us try to  
22 do to keep this going. He's got to be the linchpin in this  
23 for us. He's got to keep us -- he's got to know what's  
24 going on to keep us in the game.

25           MS. GLAVIN: Good point. Thank you. Dan?

1 DR. LAFONTAINE: Switch gears again. First of  
2 all, on the HACCP inspection models, an editorial should be  
3 HACCP-based inspection models. That's the term you've been  
4 using all along.

5 MS. GLAVIN: Right.

6 DR. LAFONTAINE: Which is a more accurate term.

7 MS. GLAVIN: Right.

8 DR. LAFONTAINE: And then, this morning you asked  
9 that I defer my recommendation related to this till this  
10 afternoon. And what I'm talking about is I recommend that  
11 at our next meeting, we do have as a topic HACCP-based  
12 inspection models and at least that part that deals with --  
13 and I'll read this: "Minimum qualifications for industry  
14 personnel in HACCP-based inspection models project."

15 MS. GLAVIN: Okay.

16 DR. LAFONTAINE: Because tying that with what you  
17 said earlier, you hope to break the logjam and be able to  
18 start actual pilots this fall. So, it's a topic that needs  
19 to come forward.

20 MS. GLAVIN: Okay. Is there sort of a general  
21 consensus from the Committee that that ought to be on the  
22 fall meeting?

23 MS. DEWAAL: When we also talked about the  
24 Australian proposal and you know, and you could come in as  
25 part of that discussion and talk about what the Australians

1 did in that area, as well.

2 DR. HURLBERT: Yes. I brought up this morning  
3 that I thought it would be good to either have a paper on  
4 the Australians, what their qualifications were and you said  
5 it was on their Website, as well as maybe bring in some of  
6 the guys that are in the model plants now and kind of see  
7 where they were headed with training their people, as well.  
8 Kind of get a briefing from them if that's possible.

9 DR. LAFONTAINE: Mike, I have the Website if you  
10 need it for that, where to find that, the Australian Meat  
11 Safety Enhancement Act. Peter was here earlier.

12 MS. GLAVIN: I was going to say, Peter was here,  
13 but I don't see him now.

14 Okay. What I'm hearing so far we have as issues  
15 for the foreseeable future, risk-based inspection and its  
16 effect on current policy of daily inspection.

17 MS. FOREMAN: Maggie, can I just amend that in  
18 order to have some clarity? Why don't you say risk-based  
19 inspection and its impact on daily processing inspections?  
20 So, you don't have to go through the slaughter argument  
21 every time.

22 MS. GLAVIN: Right. Thank you. The HACCP-based  
23 inspection models, a combination one of uniformity and HACCP  
24 review/audits, a combination one on the statistical programs  
25 the agency uses, and then the one Dan just mentioned we'll

1 try to pony up for the next meeting. I forgot what it is.

2 Oh, yes, the qualification. With respect to the models,  
3 particularly, the qualifications of industry people. Okay.

4 MS. HANIGAN: How will we know what we're going to  
5 do on the campylobacter? I know I keep throwing that back  
6 to you, but --

7 MS. GLAVIN: Yes, and I'm not sure. We need to  
8 get an answer back from the micro committee -- either an  
9 answer -- either what they give us or a date. And Mike, can  
10 you when we have that, make sure everybody gets it? And if  
11 it's a date, then -- well, I guess either way, we need to  
12 sort of at that point think about and maybe Mike can sort of  
13 poll you all -- how you want to proceed at that point.

14 MS. HANIGAN: I realize that that have not seen  
15 what my subcommittee put together last night. I understand  
16 that completely, but I guess what I'm looking for after that  
17 meeting is whatever they talked about in regard to  
18 campylobacter at that meeting. I mean, if they put out  
19 anything, I'd like to have a copy of it so that we know what  
20 at least was discussed, if it was lengthy or not.

21 MS. GLAVIN: Okay.

22 MS. DEWAAL: Well, the other thing is that  
23 committee appears to meet far more frequently than ours. I  
24 think they have three to four meetings a year. And so, it  
25 seems like given they have one at the end of this month,

1 they may have another one. We really need them to come back  
2 to us with something at the latest at the November meeting,  
3 and hopefully before that.

4 MS. GLAVIN: We can push them.

5 MS. DEWAAL: Okay, thank you.

6 MR. BURKHARDT: Will we also get update on the  
7 current issues that we talked about today regarding the  
8 exemption? Any progress there or in regard to the flesh  
9 species inspection? Updates on those issues?

10 MS. GLAVIN: We can put that on the list.

11 MR. BURKHARDT: I think just kind of an update of  
12 what we've previously done would be good to go over.

13 DR. LAFONTAINE: On the mandatory inspection,  
14 Loren and I will be working hopefully to have information  
15 that can be presented on that topic. And part of our  
16 recommendation was to bring that back at the next meeting or  
17 have a new concept paper. So, yes.

18 MS. DEWAAL: You can have Mike writing the concept  
19 papers.

20 MS. GLAVIN: Okay. Well, I guess over the course  
21 of the day we would need to provide that paper assuming that  
22 we can get enough information to do it. And I would expect  
23 with these four stalwart state people, we'll get the  
24 information we need to do it.

25 Are there other things that --

1 MS. HANIGAN: I do believe that you folks agreed  
2 on the qualifications that you're also going to tell us what  
3 the current qualifications were of your agency people that  
4 held those jobs now underneath those HACCP-based inspection  
5 models. We wanted to know what those qualifications were.

6 MS. GLAVIN: Okay. Or background. Okay. You  
7 know, there are a few items here that still -- that we  
8 haven't put on. We've seemed to have covered most of them.  
9 The consideration of new food safety technology, is that  
10 one that people want?

11 MS. DEWAAL: I think the issues there, Maggie, are  
12 that approvals of new technologies are taking a huge amount  
13 of time between the FDA approvals, USDA approvals and then  
14 in some cases they have to be pilot tested. There have been  
15 issues around whether the unions support the pilot tests or  
16 not and how that impacts it. So, I think there are a lot of  
17 -- if we're relying on new technologies to make meat and  
18 poultry a whole lot safer, there's a few multiple hurdles on  
19 the road to getting that done.

20 So, I think that's the issue. And it's clearly  
21 one that if we have time to discuss, we should discuss it.

22 MS. GLAVIN: Mike is reminding me that we also  
23 talked about trying to do a briefing on the things we're  
24 doing or we have in the pipeline, and sort of where they are  
25 on the retail in-distribution. Then, what states do during



1 recalls. And we said we would try to find that, but I think  
2 transportation could be added to that one. But we're not  
3 sure we'll be able to get our hands on much of that.

4 Okay. I think that gives us a good list to work  
5 with as we do agendas over the next two years. Are there --  
6 well, no, let's keep on. For the next meeting, we've got  
7 one agenda that I think we're all pretty much in agreement  
8 on. And that is an update on the models with the particular  
9 emphasis on this question of qualifications of employees  
10 doing tasks.

11 Is that right? Okay. And obviously, they'll be  
12 some update briefings. Are there other things that people  
13 today know that -- well, quite possibly the campy, that  
14 would be on. I'm hearing that that's a high priority if  
15 we've got and haven't dealt with it ahead of time. Okay.

16 And Mike, can you tell us where and when the next  
17 meeting is?

18 MR. MICCHELLI: Yes. The next meeting is November  
19 here.

20 MS. GLAVIN: Oh, two to four.

21 MR. MICCHELLI: Now, one thing I don't think we  
22 have any remaining issues. Normally, we meet on a Tuesday  
23 for two hours. The subcommittees meet before the Wednesday.  
24 Sometimes we do that. If there's issues that are going to  
25 continue work on, and I don't think we have any of those

1 now. I think we completed all our issues that we had on our  
2 agenda. So, we won't have a Tuesday meeting. And so, it  
3 would be a Wednesday and Thursday. So, those would be the  
4 dates?

5 MS. FOREMAN: Three and four?

6 MR. MICCHELLI: Yes. Actually, it's three and  
7 four. It would be three and four.

8 MS. HANIGAN: Could I make one request? Could we  
9 please have all materials and presentations ahead of time  
10 because --

11 MS. GLAVIN: Yes. And I want to tell you we  
12 promise this every time and we promise it again. We really  
13 do do our best, but our track record is terrible.

14 MS. HANIGAN: Because between Gerri and Jeanne  
15 they wow with you with enough information. It's like whoa!  
16 You know.

17 MS. GLAVIN: So, it's working?

18 MS. HANIGAN: There you go.

19 MS. GLAVIN: I do apologize. We will try to do  
20 better.

21 MR. MICCHELLI: Just to make sure I was clear, the  
22 third and the fourth.

23 MS. GLAVIN: Okay. Are there any issues that  
24 before we open the floor to public comment that Committee  
25 members want to raise either for future consideration?

1 Anything you want to say about the things we've already  
2 discussed? Anything that you had a brilliant insight on  
3 during the break?

4 MS. DEWAAL: Thank you, guys, very much. You did  
5 a great job. Mike and Cheryl did a great job, and I'm  
6 tremendously pleased with our new subcommittee, especially  
7 the woman who cracks the whip over there. She's very good.

8 MS. GLAVIN: Okay, thank you. My open problem  
9 with having the next meeting here is every time I get lost.  
10 I only live like five miles from here.

11 MS. FOREMAN: Don't complain. We'll be back on  
12 the Scott Circle.

13 MS. GLAVIN: Oh, I like Scott Circle. I can walk  
14 there. Yes?

15 MS. DONLEY: If I could just ask almost like a  
16 procedural for November's meeting. The two topics that we  
17 talked about that the campy, and well, the HACCP-based  
18 inspection models and qualifications of industry personnel,  
19 were you looking at that as perhaps being just a whole  
20 committee discussion, or are you just breaking that down  
21 again? If so, your subcommittee's going to be swamped.

22 MS. GLAVIN: Well, we can move them around, and  
23 we've done that before. I mean, the titles of the  
24 subcommittees are a bit of a fiction. I mean, we sort of  
25 have -- to some extent sent things to the appropriate

1 subcommittee, but when one subcommittee has two or three big  
2 items for a meeting, we've sometimes moved them. So, we can  
3 do that.

4 My sense is that the subcommittee system works  
5 rather well. That you know, it gets a lot of work done in a  
6 very short time, and then can bring it to the full Committee  
7 for discussion. So, I'm inclined to stay with that process.

8 But you're right. I don't think we could both those --  
9 well, let me ask the other people. Should we put both of  
10 those topics with the same committee? Is that too much to  
11 put with one committee?

12 MS. HANIGAN: The only suggestion that I would  
13 have, we've already canceled two to four on Tuesday,  
14 November 2. The other members here are probably just me,  
15 but if you want to have a full Committee meeting separate to  
16 talk about campy, I suggest we do it the afternoon of the  
17 second, depending clearly on what comes out of the micro  
18 committee and if or not we had a teleconference and this,  
19 that and the other thing.

20 MS. GLAVIN: So, we'll keep that open as a  
21 possibility. If you will keep your calendars open. Great  
22 idea.

23 MS. DEWAAL: The other thing is or the training  
24 piece could be done that Tuesday, as well. I mean, other  
25 one of those.

1 MS. GLAVIN: That's good.

2 MR. MICCHELLI: I have just one administrative  
3 detail. Those of you that have a lot of things that you  
4 don't want to carry back, we do have mailing pouches back in  
5 the registration area. You're more than welcome to fill  
6 those pouches, put just your name and address on there and  
7 we'll have them mailed to you.

8 Also, on your travel expenses, if you have any  
9 questions, Yolanda Kennedy would have loved to -- Lopez.  
10 She was recently married. Yolanda Lopez would love to help  
11 you, but she was ill this week and was unable to be here.  
12 So, just give her a call on the phone and she'll help you  
13 with your travel expenses. Thank you.

14 MS. GLAVIN: Okay. We have two individuals who  
15 signed up to make a statement. The first is Dale Hensel.  
16 And I apologize if I've pronounced your name wrong, but I'll  
17 blame it on your handwriting.

18 MR. HENSEL: Yes, my name is Dale Hansel. I'm  
19 President of the National Bison Association from Denver,  
20 Colorado.

21 I'd like to first of all thank all of you for  
22 allowing me to speak today and think you're doing a  
23 wonderful job taking care of the -- trying to get this food  
24 safety issue settled.

25 I've been raising bison for over 20 years. And

1 during that time, I've seen an awful lot of changes. When I  
2 started, there were about 50,000 bison in this country, and  
3 they were mostly in private herds -- I mean, public herds.  
4 Today, there are a quarter million and they're mostly in  
5 private herds.

6 At the present rate of growth, there'll be -- in  
7 30 years, there'll be as many bison as there were in the  
8 late '1800s when there were 50 million. And that may or may  
9 not happen, but we haven't killed any female bison other  
10 than colts for the last 15 years.

11 Last year there were between 28 and 30 million  
12 pounds of boxed bison meat that was sold to the public. And  
13 each year that will go up 20 percent.

14 Now, I notice you were talking about checking  
15 records of alternative livestock is being slaughtered. That  
16 would be very hard to find because USDA is not required to  
17 keep track of the slaughter of bison. So, many times bison  
18 in the USDA plan is included with beef. And Tom Billy and I  
19 have spoke about that, and he's trying to get that rectified  
20 in the record-keeping process. Elk and other alternative  
21 livestock I'm sure is in the same situation.

22 Bison ranching and farming has become one of the  
23 bright spots of American agriculture. Bison has adapted to  
24 our environment, and it's more environmental friendly.  
25 Bison is an environmental friendly browser.

1           Many beef producers in the Northern Plains are  
2 switching to bison because it fits what they need. After  
3 the blizzards of two years ago, it was very evident. All  
4 those that raised bison lost no animals. What happened was  
5 the storms packed the snow. The bison walked over the top  
6 of the fences after the storm, but in the meantime, the  
7 cattle were all lying dead. Makes a lot of people think  
8 that this might be a better product for our country.

9           So, what I'm trying to say is that this animal is  
10 not going to go away. And you're going to have to deal with  
11 it in inspection. And it's going to become more and more  
12 and more prominent.

13           Bison are raised without hormonal use. And to my  
14 knowledge, no one that I know of is using any type of  
15 antibiotics in their feeding programs.

16           So, for those reasons it's currently a big demand  
17 for the product in this country.

18           Now, the reason I'm here, let's talk about  
19 inspection and sometimes the lack thereof inspection. The  
20 first point I'd like to make is bison meat must come under  
21 our USDA mandatory inspection process. This product becomes  
22 more widely produced and marketed. Contaminated,  
23 uninspected meat could so easily be sold to the unexpected  
24 public.

25           Consumers think that everything sold in stores and

1 restaurants comes under an inspection process. Sometimes it  
2 doesn't. Most producers understand the importance of  
3 inspection, but occasionally someone doesn't, especially  
4 when there's a cost involved.

5 This issue must be addressed to guarantee that the  
6 public is consuming the safest foods possible. I would  
7 assume that this Committee and the USDA would want to close  
8 these inspection loopholes to solve that problem.

9 To have bison meat USDA inspected costs the  
10 producer \$37 an hour. That price was recently raised from  
11 \$32 an hour.

12 The second point I'd like to make is that state  
13 inspected product must be given the same interstate freedom  
14 as federally inspected products. If that is not done,  
15 implementation of a mandatory federal system would put many  
16 meat purveyors out of business who presently ship  
17 interstate.

18 Our industry depends heavily on state plants to  
19 process our animals. And I feel that this is an emergency  
20 situation from my discussions with several of those in the  
21 state departments. If there inspection system doesn't  
22 survive financially, once they're dead, they'll never be  
23 restarted again. A lot of states have already dropped state  
24 inspection. I think it's very important for all small  
25 producers of different types of livestock coming on line.



1           Our industry also depends heavily on very small  
2 federal and small federal inspected plants. Many large  
3 plants will not inspect bison because it would disrupt their  
4 high volume process. Many state and small federal plants  
5 adapted their operations to accommodate bison. And it's a  
6 big factor in keeping a lot of small plants in business.

7           Meat inspection laws vary from state to state.  
8 Some require inspection and some don't. In some states,  
9 bison comes under Department of Ag. In some states, it  
10 comes under fish and game. Some states allow or require use  
11 of sodium nitrite, and some states and FDA, ban the use of  
12 it.

13           Nitrites are so necessary for the safety of  
14 sausage and cold cut products. That's one of the very big  
15 loopholes, and I've worked on this for many years. USDA --  
16 I mean, FDA presently is in the study project that's been  
17 going on, and it should end this year. It's been a five-  
18 year study of the safety of nitrites. I don't know how many  
19 of you are familiar with that or not, but we've been  
20 following it because the minute that study is finished, we  
21 want to find out the outcome, so maybe we can get included  
22 in bison. But that's just for your information.

23           Some states requiring inspection provide free  
24 inspection. And the bordering states that have to do with  
25 federal inspection, the feel have to pay. So, it causes a

1 problem. For example, in South Dakota, the free inspection.  
2 North Dakota and Colorado, the inspection -- so, they can  
3 ship the product cheaper, because that \$40 -- \$37 an hour  
4 adds up, and it adds to the cost of the product. So, it  
5 causes some unfair trade practices.

6 I'm also a member of the United States Animal  
7 Health Association. And I'm on the Tuberculosis Committee.

8 And I don't know if you're aware, but that committee at our  
9 last meeting presented -- passed a resolution requesting  
10 USDA to place alternative livestock under mandatory  
11 surveillance inspection. What precipitated this was  
12 tuberculosis in surveida.

13 In 1983, we had tuberculosis in bison. Now, that  
14 was caught at an early stage because of surveillance  
15 inspection. Now, if surveida and bison don't go through  
16 surveillance inspection, that disease could spread very  
17 widely, and that's also a human safety matter. So, for that  
18 reason, those animals need to go under mandatory inspection.

19 We were able to clean up the TB very quickly in  
20 bison because it was caught under surveillance. But if  
21 those had not been inspected, the disease would have spread  
22 much farther.

23 Last evening I sat in on the Government role  
24 committee, and I must say whoever chose that committee has  
25 to be commended because you did a very -- you have some very

1 knowledgeable people and you're doing a very good job.

2           And to end this, I hope that the powers that be  
3 will implement your recommendations. Everything I've heard  
4 here for two days I'm very impressed. I just hope that the  
5 people that you talk to listen to what you said because we  
6 need this food safety more than anything.

7           Thank you very much. If there's any questions,  
8 I'd be glad to answer it.

9           MS. GLAVIN: Okay. Thank you, Mr. Hansel.  
10 Appreciate it. Appreciate your being here.

11           The second and last person to sign up is Stan  
12 Emerling.

13           MR. EMERLING: Thank you, Maggie, and thank the  
14 Committee. I thank the Committee for the opportunity. I  
15 also want to commend you for what I thought and feel is a  
16 very excellent two days discussions on a lot of the issues.

17 I think you really address the points. It was excellent  
18 going back and forth.

19           I have just a couple things that I noted as I  
20 listened to what some of the commentary. First, I would  
21 like to follow up on some of the reports that Jim Denton and  
22 Nancy Donley made with respect to the risk-free meat,  
23 poultry and egg food concept paper.

24           You know, that's a goal that is really similar.  
25 It's a motherhood issue, and it's pretty hard to argue with.

1 But as I've always thought and have been told, a goal  
2 should be achievable, incredible and if people would have a  
3 perception that they can't be achieved, they may tend to  
4 ignore them or they may be lulled into some sense of  
5 complacency that everything is better than what it is.

6 I also worry about, as we do in fund-raising, we  
7 put those thermometers up and we put 100 percent as our  
8 goal, and suddenly we start looking at the risks in food  
9 safety, and we got a red line at 50. And the next year it  
10 moves up to 60 or to 80 percent of that goal. And whether  
11 or not maybe we'll be sending a message to people that our  
12 food safety efforts aren't as good as they should be. And  
13 yet, at the same time, I think we're doing a wonderful job  
14 of moving towards what would be perfection if we could ever  
15 reach it.

16 So, I just would ask you to consider is you look  
17 at that how you address it, how you call it and what you  
18 name it.

19 I'm also pleased with the fact you're looking at  
20 risk assessment with respect to exemptions and to the  
21 inspection of all animal foods. I think that's a really  
22 good way to go. I commend you for that. I only hope,  
23 though, that if you do find some risks in there that FSIS  
24 went on record in its paper that it didn't intend to do  
25 anything about changing exemptions or anything this year. I

1 would hope that if in case that risk assessment is done and  
2 you find it worthy, that you don't let that stand in the way  
3 of moving forward with it.

4 With respect to the HACCP models where you're  
5 talking about the inspection models and possibly processing,  
6 I said this almost I guess at every meeting where I've had a  
7 chance to say it because someone said to me if I don't keep  
8 it repeating it, it may be forgotten. So, I'll say it  
9 again.

10 I would hope you would look at the possibilities  
11 of 24 hour a day, seven day a week operations without  
12 overtime being part of what would be a true implementation  
13 of the HACCP concept. So, when you get to that, if you  
14 would consider that as a possibility, I would appreciate it.

15 I would also, and I have some concerns about  
16 relying too much as you move into the restaurant section or  
17 the consumer section with respect to the food code because  
18 it hasn't been adopted in all the states. It isn't adopted  
19 in entirety.

20 And in this '99 food code, they also put in a  
21 requirement which I hope will be addressed and will change  
22 it on the non-impact intact issue, which I hope you will do  
23 risk assessment on as well. But they've now asked for  
24 warning labels to be attached to menus and -- or table tents  
25 and things, which I think preempts some of the authority of

1 FSIS. But I think as you get into this, you need to be  
2 taking a look at as whether a sister agency is not doing  
3 something that is incompatible with what we need to have  
4 under meat and poultry.

5 I think that ends some of the comments that I saw  
6 as I listened to what you said. I was impressed with what  
7 went on here, and I thank you, and good luck in all your  
8 endeavors.

9 MS. GLAVIN: Thank you, Stan.

10 MS. FOREMAN: Maggie, I have a question for Stan.  
11 Stan, come back.

12 MR. EMERLING: I don't want to get out of the --

13 MS. GLAVIN: No, I didn't ask you to cross the  
14 line.

15 MS. FOREMAN: You're in the 10-second zone there,  
16 Stan.

17 I want to point out that the document on risk free  
18 is referred to as a conceptual framework. On the first page  
19 of it, it is described as the FSIS vision. Then, when you  
20 get into it, there are several rather specific goals. Goal  
21 1, establish a national research and new technology  
22 infrastructure to insure adequate scientific support, so on  
23 and so forth.

24 So, I think it's important in discussing the risk  
25 free, that we understand that's not a goal. That's a

1 vision. I would suggest to you that it's a very appropriate  
2 vision that one has of where we might get if we achieve the  
3 specific goals. And I think the goals that the agency has  
4 set forth are quite reasonable. They may be changed. I'm  
5 sure they will be changed. But they are much more --  
6 they're harder, more specific, more detailed and the  
7 objectives under each one and the means to the objectives  
8 even more so.

9           So, I'd argue with you a little bit about whether  
10 or not it's an appropriate vision.

11           MR. EMERLING: Well, and I -- you know, I don't  
12 really want to argue with you about it because I understand  
13 what you're saying about the vision, but I also listened to  
14 what Caroline said about people -- and I think it's true --  
15 really believe the food is safe. So, that when you start to  
16 draw and maybe it's just the use of words. Maybe it's -- I  
17 was trying to think if I could think of something better  
18 than risk -- you know, eliminating risk or whatever the way  
19 would be.

20           But I think we don't want to undercut the  
21 credibility we have. We don't want to make people maybe  
22 even in foreign countries think that the food we export to  
23 them may not be safe. We need to improve it. We need to do  
24 better. I don't think anyone --

25           MS. FOREMAN: I want us to live up to the vision

1 that the public already has.

2 MR. EMERLING: Well, I'm for that. I'm trying to  
3 give you some thoughts about how I would -- I wonder how  
4 people perceive it. You may be understanding it better.  
5 They aren't going to hear all those words that are in there,  
6 so that's the only reason I raised it.

7 MS. GLAVIN: Thank you. There's no one else  
8 signed up. I gather there's one other person who wants to  
9 make a statement.

10 Could you come up and identify yourself, please?

11 MR. NORTON: I'm Dick Norton, microbiologist. I'm  
12 consulting with a company that's working on a new  
13 antibacterial solution.

14 I was 32 years a microbiologist in the Food and  
15 Drug Administration.

16 MS. GLAVIN: Can you get a little closer? People  
17 can't hear you. It's not picking up.

18 MR. NORTON: For 32 years, I was a microbiologist  
19 in the Food and Drug. I've been squirming a little bit in  
20 the last few days. I think a fish out of water here. But  
21 really, the two agencies have a lot of common problems and a  
22 lot of common solutions and needs.

23 The food supply in this country is really good. I  
24 mean, we don't acquire a foodborne illness one in 10,000  
25 meals. When you stop to think about it, it's 99.99 percent



1 risk-free. What we're working at here today and the last  
2 two days is that other last little bit because it's serious.

3           And one thing that came up and has come up before  
4 is this business of the housewife cooking meat. As a  
5 microbiologist, I worked in sterile areas and worked with  
6 companies designing sterile manufacturing facilities and so  
7 forth. I know that the average housewife can't bring that  
8 meat into an airline, into a laminator flowhood with a HEPA  
9 filter. And she can't scrub down her ceiling and her floor  
10 and her walls every day with potent bactericides. She can't  
11 dress in sterile gown with a sterile cap and goggles and  
12 sterile face gear and sterile booties. And she can't  
13 refrain from touching the refrigerator or the onions of  
14 whatever.

15           It's not an either or. It's not you work on  
16 getting the pathogens out of the meat or you cook it. Once  
17 you bring them into the house, it's an unsafe situation.  
18 You could cook it and maybe you can -- that will help in  
19 some cases. But it's an unsafe situation. So, it's really  
20 a serious business to work on keeping the pathogen levels  
21 down, those that result in human illness.

22           Too long days ago when we started all this, Tom  
23 Billy started off by trying to emphasize how important  
24 research is to what he was thinking. I could really  
25 sympathize with that from all the years of trying to handle

1 food and drug-type products and not having all the facts.

2 And so, we're really driven to do the research first.

3           With the Food and Drug, it's usually been the  
4 other way around. Usually, we're starting with clean,  
5 sterile materials, and we just have to keep them from  
6 getting contaminated. With the meat products, they already  
7 have the pathogens in to start with. The problem is  
8 removing them.

9           The key in this whole process is the anti-  
10 bacterial solutions that you apply to eliminate the  
11 pathogens. So, it's a very important part of the research.

12          When I was listening to Tom Billy, I was trying to hope  
13 that people would focus their attention on that particular  
14 aspect.

15           Last year we -- thanks to FSIS, we were able to  
16 report some recent research at the symposium last July, 10  
17 months ago. And the adequacy of these solutions were vastly  
18 better than the phosphates and the chlorine oxides that was  
19 being reported in the same symposium. And it was so much  
20 better, several logs, that we knew we couldn't pass the "Are  
21 you crazy test?"

22           I mean, this was two strangers from a company they  
23 never heard of coming to a meeting with big industry making  
24 their sophisticated reports. But we came anyhow. And since  
25 then, we've continued to work on this. And the other

1 studies have shown that that first study is in about the  
2 right range.

3 One of the more recent studies that we've done or  
4 that was done out of Clay Center, the agriculture research  
5 center in Nebraska, they put known pathogens on beef squares  
6 and treated them and they followed this through. And they  
7 used e-coli and salmonella and listeria, which again, they  
8 tried to use campy and they didn't do it, which is  
9 unfortunate, but that study needs to be done. But anyhow,  
10 it was complete elimination.

11 And one of the interesting things is that it was  
12 still active five to seven days after application. So,  
13 there may be some things that we can investigate about food  
14 spoilage outside of the plant before it gets to the table.

15 Anyhow, it's a new paradigm. There may be other  
16 companies with other compounds that can do the same thing,  
17 but it's at the nub of what we're trying to do to cut down  
18 on the pathogens.

19 So, we feel that this is very urgent. It should  
20 take some sort of priority.

21 We had a hard time getting cooperation from  
22 different companies. We have some now and we have studies  
23 going on. But it really should be other industry groups and  
24 other companies that are pursuing these applications. You  
25 can't just do one study on a solution and call it a solution

1 because each production line and each product is different,  
2 and there's a lot of engineering to apply this in each  
3 situation. So, we need the cooperation of the industry.

4 We had the study that we wanted to be done with  
5 the campy. He was very interested in doing the study, but  
6 when it came down to doing it and we had the money, he  
7 didn't have enough graduate students. They were already  
8 doing other projects that we thought weren't near as urgent.

9 And he didn't want to bother with all the paperwork. He  
10 had as much paperwork in getting funding as he could handle.

11 So, it's like, "Well, we'll do it next year."

12 Well, this is important now.

13 So, my urging would if there could be some  
14 prioritization as to the research and get things started.

15 MS. GLAVIN: Okay. Thank you very much. Thank  
16 you for attending. Anything else from the Committee? Yes,  
17 Lee.

18 DR. JAN: I'd like to make a comment based on Mr.  
19 Hensen's comments or he brought out I thought a very good  
20 point. We've gone back to the HACCP-based inspection model  
21 and in qualifications of plant personnel. He mentioned the  
22 surveillance -- slaughter surveillance for TB in the bison  
23 where the same principle would apply to slaughter  
24 surveillance for diseases in livestock. And from a farm to  
25 table perspective, we, in the slaughter industry, have to do

1 whatever we can to go back down to insure a continued  
2 healthy population, and slaughter surveillance is part of  
3 it.

4           So, with that, I think the sorting has to be done  
5 by qualified people that can make clinical diagnoses  
6 appropriate to some -- that may lead to a quarantine of an  
7 infected herd or whatever to maintain a healthy population.  
8 So, I think that in this HACCP-based model program, although  
9 the initial protocol didn't require any specific training,  
10 that should be addressed and required that there's at least  
11 oversight of a qualified person to make those diagnoses.

12           MS. GLAVIN: Okay. Thank you. All right. I  
13 thank you for your hard work and your good spirits despite  
14 some of the rough handling you got from your chairpersons.  
15 Thank you. We'll meet again in November, but we'll be in  
16 touch.

17           (Whereupon, at 4:20 p.m., the hearing concluded.)

18 //

19 //

20 //

21 //

22 //

23 //

24 //

25 //

## CERTIFICATE OF REPORTER, TRANSCRIBER AND PROOFREADER

National Advisory Committee on Meat and Poultry  
Name of Hearing or Event

99-020N  
Docket No.

Arlington, VA  
Place of Hearing

May 6, 1999  
Date of Hearing

We, the undersigned, do hereby certify that the foregoing pages, numbers 221 through 440, inclusive, constitute the true, accurate and complete transcript prepared from the tapes and notes prepared and reported by Sharon Bellamy, who was in attendance at the above identified hearing, in accordance with the applicable provisions of the current USDA contract, and have verified the accuracy of the transcript (1) by preparing the typewritten transcript from the reporting or recording accomplished at the hearing and (2) by comparing the final proofed typewritten transcript against the recording tapes and/or notes accomplished at the hearing.

5/12/99                      Nancy McHugh  
Date

Name and Signature of Transcriber  
Heritage Reporting Corporation

5/12/99                      John VanWinkle  
Date

Name and Signature of Proofreader  
Heritage Reporting Corporation

5/6/99                      Sharon Bellamy  
Date

Name and Signature of Reporter  
Heritage Reporting Corporation

Heritage Reporting Corporation  
(202) 628-4888