

UNITED STATES
DEPARTMENT OF AGRICULTURE

In the Matter of:)
)
NATIONAL ADVISORY COMMITTEE)
ON MEAT AND POULTRY)
INSPECTION MEETING)

Pages: 261 through 487
Place: Arlington, Virginia
Date: November 4, 1999

HERITAGE REPORTING CORPORATION
Official Reporters
1220 L Street, N.W., Suite 600
Washington, D.C. 20005-4018
(202) 628-4888
hrc@concentric.net

APPEARANCES (CONTINUED):

MICHAEL MICCHELLI
CATHERINE WOTEKI
MARGARET O'K. GLAVIN
CAREN WILCOX
JOHN SURINA
CHRIS CHURCH
MICHAEL GRASSO
JANE ROTH
CHARLES EDWARDS
DAN ENGLEJOHN
PATRICIA STOLFA
JUDITH RIGGINS
ROBERT POST
NEAL YOUNG
JEANNIE SOMMERAUER
JILL HOLLINGSWORTH
KIM RICE
DENNIS SEXAS
KENNETH RERALSON
FELICIA NESTER
MARK MINA
JOHN McCUTCHEON
PHIL DERFLER
RON HICKS
MARLIN WALLER
JOHN ENGLEJOHN
DEL HENSEL
MARTY HOLMES

P R O C E E D I N G S

1

2

(8:51 a.m.)

3

4

5

6

7

8

9

10

CHAIRMAN BILLY: The opportunity for these companies to sell their products where they are now. So they are saying have this approach, address this possibility that you could be significantly restricting the opportunity of these folks to market. And I don't think it has anything to do with the -- maybe we could reverse the language and talk about specifically what the problem is that needs to be addressed.

11

12

13

14

15

16

MS. MUCKLOW: Might I suggest that you change the word, "marketability", to accessibility? I think the word, "marketability", may be the wrong choice or words there. And the other point that I would make is that this is a change in the law as has been mentioned several times.

17

18

19

Maybe this provision needs to be integrated with the other legislative initiative of the Secretary so that it can all be timed together. I have got some other comments, but that addresses that specific issue.

20

21

22

23

24

25

DR. WOTEKI: Dale?

MR. MORSE: I was just going to make some potential modifications of the wording to make it stronger on the safety/health aspects. For example, the first bullet could be something like, "We are in favor of mandatory inspection of exotic species which pose the same health

1 risks as currently inspected species."

2 The third bullet, perhaps that part of the
3 argument could be moved down into the fourth bullet as
4 another part of the cost benefit so that -- but I think we
5 wanted to retain something about the safety in the third
6 bullet, "Ensure the safety of the product in interstate and
7 international commerce", because there was also a concern
8 about products coming in from other countries that might not
9 meet the same criteria.

10 So we wanted that to be retained someplace and
11 then move this current, I guess, marketability -- or change
12 to "acceptability to product" down into the fourth bullet as
13 part of the increased detail that is going to be needed to
14 get this through the cost benefit and the other issues,
15 change the focus a little bit.

16 MS. DONLEY: Can I ask a question for
17 clarification here? It is my understanding that the
18 industries themselves want to be inspected. They want
19 mandatory inspection on this. But the way that I am reading
20 this -- and if I am reading it wrong, jump in and tell me --
21 but the way I am reading this is that they are saying, "We
22 want mandatory inspection."

23 But they are asking -- it is asking for an
24 immediate exception to current laws that apply that right
25 now there is proposed legislation to move for the state-

1 inspected meat to go into interstate commerce. But they are
2 asking for this immediately at the same time. So it's not
3 asking -- it's asking for a special program, if you will,
4 attached to this one document.

5 MR. MAMMINGO: That is incorrect. They have
6 interstate commerce right now for state inspected exotics.
7 They have international commerce if they can meet whatever
8 criteria that another country might impose. They already
9 have this.

10 What this is say, Nancy, is that if we bring down
11 mandatory inspection upon these exotics, make them amenable
12 to the federal statutes, then they fear that state-inspected
13 buffalo would not longer have access to interstate commerce
14 as it has now or state-inspected ratites could not go in
15 interstate commerce.

16 So they are asking for mandatory inspection and
17 leave the rest of the rules the same.

18 MS. DONLEY: But wouldn't that open up a huge
19 Pandora's box by saying, okay, the rest of the amenable
20 species industry is saying, "Wait a minute, if they can do
21 it, why can't I?"

22 MR. MAMMINGO: Well, and then you can go to the
23 cattle and pork industry and say, "Why can't I under state
24 inspection?" This Pandora's box is always capable of being
25 opened as long as there are restrictions over one part of

1 the team's efforts in interstate commerce which legislation
2 has been proposed and agreed upon by this committee to
3 address. If that comes to pass, it will be a moot question.

4 MS. DONLEY: Right.

5 MR. MAMMINGO: It is not that, but that is no
6 guarantee that that will come to pass.

7 MS. DONLEY: And I guess I am suggesting that we
8 take it in steps that -- in two steps, is take it to an
9 amenable species and then once it is there is when the
10 interstate legislation is passed which I think we are all
11 reasonable sure that it will be. Then it moves right along
12 at the same time at the same pace.

13 MR. MAMMINGO: I have no problem with that you
14 have to say as long as you understand that they are saying
15 we already have interstate commerce now.

16 MS. DONLEY: Right, I understand that.

17 MR. MAMMINGO: And this legislation could take
18 that away for no other reason than buffalo are now an
19 amenable species under the federal statutes and regulations.
20 You know, they are just saying, "Okay, we will submit
21 ourselves to mandatory inspection. Don't take anything else
22 with it." I mean, you know, you can argue and take a stand
23 on that issue. But the fact is that they have it now. They
24 have interstate commerce now.

25 DR. WOTEKI: Caroline?

1 MS. SMITH DeWAAL: I would like to agree with what
2 Dale suggested in terms of bringing the issue of food safety
3 into the document more than it is right now. I think part
4 of the objection about marketability is we really haven't
5 seen much discussion in this document yet about the real
6 principle here is a food safety principle.

7 So I would like to support what Dale suggested in
8 terms of bringing food safety into the document more
9 explicitly and moving the marketability down into the fourth
10 bullet.

11 CHAIRMAN BILLY: And that would be done by in the
12 first bullet adding language. Dale had some, but I wrote
13 down, "Due to the public health risks", and then I put in
14 parentheses, "(they pose the same health risks as other
15 similar species)", or something like that. That is what you
16 are talking about, some language like that.

17 MS. SMITH DeWAAL: Right. In the first bullet,
18 and then didn't you have something in the third bullet?

19 MR. MORSE: Yes. I was just modifying the third
20 bullet to, again, back the safety issue and sort of the
21 safety of, I don't know, product in interstate and
22 international commerce because there are concerns about the
23 potential for international product I guess potentially
24 entering the country if it doesn't have the same safeguards,
25 and then move the marketability or accessibility into the

1 fourth bullet.

2 In the fourth bullet, I would also add in addition
3 to the public health data, maybe to be more specific that
4 public health and microbiological data. So we feel that it
5 probably already exists in terms of culture data that
6 documents the pathogens in these species, that that would
7 make -- help make the public health issue because there may
8 not be as many outbreaks associated with these species which
9 are still small in frequency. But you certainly could
10 document the risks through pathogens that are present.

11 MS. HONIGAN: I guess my thought on this, you
12 know, when we were doing this that when we met again in six
13 months, that Dr. Post was going to be back with our group.
14 And really, on bullet points 1 and 2, you know, we are
15 pretty solid on those.

16 But everything else, he was going to come back to
17 the table with an update. In a year, he would have the
18 completed paper. We would be better informed because
19 hopefully we would have the paper ahead of the meeting and
20 we could read it all through. And we would better
21 understand.

22 So, I mean, I am not opposed to changing the
23 language in these bullet points. Please understand that.
24 But we are also looking for a significant update from him at
25 the next meeting.

1 MS. SMITH DeWAAL: And one my concerns though is
2 that I think it is important for us not to think about FSIS
3 as a marketing program. I mean -- and that's -- when I see
4 marketability in the third bullet, it -- and I think that is
5 part of what Nancy has been reacting to.

6 It is like this isn't a marketing program. They
7 may see opportunities once they have inspection to better
8 market their products. But that is not what this is. It is
9 a food safety program.

10 MS. HONIGAN: And that was never the intent of the
11 subcommittee last night. I mean, we clearly thought that
12 mandatory inspection should be there because of food safety
13 reasons. Collette?

14 CHAIRMAN BILLY: So we were going to change
15 "marketability" to "accessibility." I think that might be a
16 better word. It is about access.

17 MS. SMITH DeWAAL: But it's access for who, Tom?
18 I'm confused.

19 CHAIRMAN BILLY: For the industry. In other
20 words, the industry, it's just what Mike just described.
21 They currently have access to interstate commerce and
22 foreign commerce. And the idea is that as you modify the
23 law and develop this system, they end up with that same
24 access. That's the issue.

25 In other words, it would all be under inspection

1 and whatever the criteria are. But they are just concerned.

2 As business people, I can see where they are coming from.
3 They currently have built a business that may have some of
4 their product flowing to other countries, some of it flowing
5 to various places in the United States.

6 And they are supporting -- we have heard from
7 them, they are supporting coming under mandatory inspection.

8 But in the process of doing that, if you shut off their
9 markets, they may lose their business. And what have you --
10 you see, so it's like find an approach that among these
11 other things also maintains their access to their current
12 markets.

13 That's what they are saying. They are not about
14 promoting the marketability or that kind of stuff. It is
15 about dealing with the reality of their current businesses.

16 And I think that's appropriate. I think Nancy raises an
17 important point regarding how these things will happen.

18 And my sense is you are familiar with the concept
19 paper that we have developed for interstate commerce and the
20 process that we followed. It engaged not only this
21 committee, but the public, you know, process where we
22 arrived at an approach that seemed to develop a consensus.
23 And -- but there are other parts to that process.

24 There is interaction between the Agency and the
25 Secretary's Office, interaction with the Office of

1 Management and Budget. There is a whole process that has to
2 occur. And so when we were talking about the process, it's
3 that kind of process over the next, you know, six to 12
4 months, whatever it takes to do that.

5 So I think actually, Nancy, it probably will play
6 out the way you suggested because if the bill for interstate
7 shipment is on the Hill now, we are still working on the
8 concept paper and have quite a lengthy process to get
9 through to arrive at consensus. So it will probably, in
10 fact, play out the way you suggested. I can't see this
11 happening before the other. But whether it can catch up or
12 not, I don't know.

13 MS. DONLEY: I agree with you, Tom. I just don't
14 think we should be setting a precedent here by starting out
15 with something and making a giant step instead of taking it
16 in an orderly process.

17 MS. HONIGAN: Okay. So what if we strike bullet
18 point 3? Is anybody in the full committee opposed to
19 striking it completely and let it play out as Tom suggests?
20 Bullet point 3 is the marketability of the product. Is it
21 -- Lee?

22 MR. JAN: Well, I think we can't just -- I think
23 it needs to stay there. We need to recognize that that is
24 important. And that may not be perceived as a food safety
25 issue or a public safety issue.

1 But on the other hand -- and you said don't make
2 that precedent. We have already made that precedent when we
3 said state-inspected product can't go into interstate
4 commerce and that's a public safety issue. That is not a
5 public safety issue.

6 So we have already set the precedent. I don't
7 think we need to take that out and have these industries at
8 risk of losing their ability to continue their business in
9 the event that this interstate shipment doesn't happen. If
10 the interstate shipment bill does not pass, that should not
11 be a reason to not go forward with this bill. And if the
12 interstate shipment bill doesn't make it, then I think we
13 will have a reversal over that is supported because they
14 can't afford to lose their business.

15 CHAIRMAN BILLY: And then we will not achieve our
16 public health objective. You see, there are trade-offs
17 here. And we may not.

18 MS. SMITH DeWAAL: Could I just make one further
19 suggestion? Why -- if we know that Dr. Post is going to be
20 coming back, why don't we just move that issue into bullet
21 point 4 so that he is going to amend his document with more
22 detail on the marketability -- maybe assuring the
23 marketability of product in interstate and international
24 commerce or something where it is -- where it makes clear
25 that it is an issue that we want further information on as a

1 committee.

2 MS. HONIGAN: That would be fine.

3 MS. SMITH DeWAAL: Would that be all right?

4 MS. HONIGAN: Objections?

5 CHAIRMAN BILLY: And it would read --

6 MR. LaFONTAINE: I have a comment when you're -- I
7 have another small editorial that I think we need to change.
8 In the very first bullet, it says, "exotic species." And
9 quoting from Dr. Post's paper in 9 CFR 352.1 -- I didn't
10 know that off the top of my head by the way -- but exotic
11 animals have a very definitive -- is very definitive,
12 reindeer, elk, deer, antelope, water buffalo and bison.

13 My suggestion is we change that term from "exotic"
14 to "non-amenable." That way it covers everything across the
15 board and isn't misunderstood in the future.

16 MS. MUCKLOW: Thank you, Mr. Billy. I would
17 remind you all that there is this bill that apparently Mr.
18 Contour is going to introduce which amends the Poultry
19 Products Inspection Act. And one of his constituents is
20 that large producer in squab in California.

21 That might just be interesting to look at that and
22 say case activity that may help people to understand the
23 legal problems that we are struggling with because this
24 provision and this recommendation by this committee is
25 merely support for the Secretary to go expand the amenable

1 species for the Federal Meat Inspection Act and/or the
2 Poultry Products Inspection Act.

3 We cannot allow -- I mean, the authority is not to
4 us or even to the Agency or the Department under the present
5 law to expand that which is voluntary into mandatory. I
6 told you yesterday I was a political scientist. I also did
7 some legal classes as part of the -- so you can call me a
8 political legalistic scientist.

9 CHAIRMAN BILLY: We just need you take a HACCP
10 course. That's what I --

11 MS. MUCKLOW: You're right. I've got to get that
12 one, too, Tom. You are absolutely right. In the case of
13 this particular company, it is quite interesting and it may
14 help everybody to understand what we have all been talking
15 about this morning because there are some people who are
16 probably confused at this point.

17 California does not have a state inspection system
18 like my friend to the right or Lee Jan or others. This
19 company currently applies -- the one that got Mr. Contour to
20 write this bill -- they currently apply and receive
21 voluntary inspection from the USDA under the additional
22 regulations that are available under the Federal Meat
23 Inspection Act/Poultry Products Inspection Act.

24 They get a federal mark on their product to ship
25 into state. If a state has an equal-to program that is

1 already inspecting the reindeer or the bison or whatever it
2 may be and -- and you may have to help me on this one -- and
3 there is no change -- and this change would be made in the
4 law, that product could move or could not move interstate if
5 it were -- couldn't' move interstate without the other piece
6 of legislative authority.

7 So there is a concern that by moving the non-
8 amenable species piece, those people would be denied access
9 to interstate commerce which is why I suggest that the term,
10 "marketability", which is probably stated in very good faith
11 but isn't quite the right word, it is the access to
12 interstate commerce. So that is the concern.

13 The other piece that I would like to speak to is
14 the issue of nitrates. And, again, Robert Post may be able
15 to tie me up in knots on this. But let me tell you my
16 memories of the last 30 years on this subject because there
17 is a lot of confusion as to why they can't use nitrates and
18 what the three percent is and so on.

19 Currently, under the Delaney amendment to the
20 Federal Food, Drug and Cosmetic Act, the use of nitrate
21 which was debated very, very hotly in the 1970s in a report
22 by a man called Dr. Newburn -- and he had a lot of rats that
23 he fed stuff to. It was determined that there was a problem
24 with nitrate. However, because it was in use widely in the
25 curing of meat products prior to the Delaney amendment which

1 was '58 or '59, it had prior sanction for use in meat
2 products.

3 Then came the turkey and the chicken hot dog. And
4 they had to overcome the same threshold to show that,
5 indeed, poultry products were cured with nitrites prior to
6 the writing of the Delaney amendment. And somehow or other,
7 they did that. And I don't -- was not intimately involved
8 in that.

9 They were able to demonstrate a prior approval --
10 prior use before the Delaney amendment. Under the Meat
11 Inspection Act, if a product that we want to make with using
12 nitrates has three percent meat, it is -- or maybe it is two
13 percent. I don't remember, Bob.

14 It is considered amenable to the Act. And,
15 therefore, if you use that two or three percent, whichever
16 it is, then you get to use nitrites with that product. If
17 you make a pure jerky product from deer, you may not use
18 nitrite because it doesn't have a history of prior approval
19 pre-dating the prior amendment.

20 So the addition of the three percent meat makes it
21 amenable to the Federal Meat Inspection Act and, therefore,
22 allows -- or to the Poultry Products Inspection Act,
23 therefore, allows you to use nitrite. I don't know if I
24 have now confused everybody. That is my understanding.

25 CHAIRMAN BILLY: Let me say something more about

1 nitrites. I think that you are correct in the sense that
2 the use --

3 MS. MUCKLOW: Say that again. I like when you say
4 that, Mr. Billy.

5 CHAIRMAN BILLY: But I only think that.

6 UNIDENTIFIED VOICE: You only get one time.

7 MS. MUCKLOW: I want him to say it again.

8 CHAIRMAN BILLY: Another way of saying it is the
9 meat and poultry products were grandfathered in terms of the
10 use of nitrates. But I think --

11 MS. MUCKLOW: You say it more succinctly than I
12 do.

13 CHAIRMAN BILLY: But I think what the larger issue
14 though was one of the reasons there is concern about
15 nitrites is because of the possibility, as an example, of
16 the formation of nitrosamines which are cancer-causing. And
17 there has been a lot of work -- a lot of studies and work to
18 look at whether, in fact, in the various uses these types of
19 compounds or other compounds may be forming.

20 There has also been a fair amount of recent work
21 and what I understand are some pretty good studies that have
22 been done regarding nitrites specifically and the risks
23 associated with them. It would seem to me that this isn't
24 just simply a matter of whether they are or they aren't
25 grandfathered.

1 But I think given the public health concerns that
2 exist, we ought to look at available science and information
3 and take that into account in developing what is the
4 strategy to deal with this. I mean, there are legitimate
5 hazards that the nitrites are used for to address those
6 hazards in the process of smoking the product or the other
7 types of processes.

8 So I think we ought to do a thorough examination
9 of this and look at the whole picture in terms of coming up
10 with whatever the appropriate strategy is for the use of
11 nitrites or any other similar kinds of compounds.

12 MS. MUCKLOW: A very creative man in the meat
13 industry, his name is Ray McFarland. And he owns a
14 mechanical de-boning business up in Utah, or did. He
15 developed some years ago, quite a few years ago now, a
16 slurry of celery and other vegetables which in his
17 creativity, he was able to introduce into the making of
18 bison jerky.

19 And that slurry of green vegetables was very high
20 in natural nitrates which convert to nitrites. And he was
21 able to cure product using that which was a very interesting
22 activity. And if anybody wants to find Ray McFarland and
23 figure out how to do that, they can.

24 There are other ways of getting a cured appearance
25 and effect. And I will be glad to tell anybody about that.

1 You don't all want a lesson in my political sciences. But,
2 Bob, is it two percent or three percent for amenability?

3 MR. POST: It is two percent or three percent or
4 more raw.

5 MS. MUCKLOW: Okay. Thank you. I was right on
6 both. I have been right twice today. Thank you.

7 CHAIRMAN BILLY: Yes, Rosemary -- or Lee and then
8 Carol.

9 MR. JAN: I just wanted to make sure that this
10 nitrite and amenability issue and it does -- is this point
11 here, but that FDA is involved in the -- or you find out
12 where FDA stands. We heard yesterday that if we make
13 amenable -- Dr. Post told us that if we make it amenable,
14 that that nitrite issue will go away.

15 But if we make it amenable now by adding three
16 percent meat, beef or port. FDA in my understanding is not
17 allowing -- is still not allowing the use of nitrites in
18 non-amenable. They are now saying this is a pork sausage
19 or this is a beef sausage or a beef or pork product with
20 added ingredients, one of them being the non-amenable
21 species.

22 So they are allowing the use of nitrites in that
23 pork sausage or that pork product containing -- that is not
24 amenable. So I want to be sure that that is clear with FDA.

25 And then on the other side of that, if these

1 studies that you are talking about and the new information
2 demonstrates that nitrites are a health risk and a food
3 safety concern, a significant one, not just that, you know,
4 feeding tons of it to rats makes them sick, but if it makes
5 humans sick, then we should probably move to not allow that
6 in any product.

7 Otherwise, 20 years down the road, we are going to
8 be in the same fix that the tobacco companies are. And
9 everybody is going to be suing for their health effect. And
10 that may not be a public health issue, again, financially,
11 but it does relate to public health.

12 CHAIRMAN BILLY: Yes. Carol?

13 MS. TUCKER FOREMAN: I think that we are following
14 in absolute order here because I am just going to connect to
15 what you just said, Lee.

16 Just to set the historical record straight, when
17 it appeared that Dr. Newburn had found that nitrites were in
18 and of themselves carcinogens apart from the issue of
19 nitrosamines, the Carter administration announced that if on
20 review the Newburn study held up, that the administration
21 would submit legislation to Congress asking that nitrites be
22 prohibited from use in food products, eliminating the
23 grandfather clause because there was no justification for
24 continuing its use if, in fact, it was demonstrated that
25 this was a health risk. That turned out not to be necessary

1 at that time.

2 MS. HONIGAN: My only comment was I think Dr. Post
3 was aware last night that that would be part of your update
4 to us at the next meeting, the nitrite issue.

5 MR. POST: If I could also clarify a point.
6 Although the use of nitrite and nitrates all spoke for our
7 prior sanction, in the FDA regulations for the use in meat,
8 we have understood the position to be that FDA doesn't
9 permit the use of nitrites or nitrites in the type of meat
10 not referenced in the Federal Meat Inspection Act.

11 And that is where we get into the issue of if, in
12 fact, these are amenable species, they are, in fact, in the
13 FMIA in the future. Then will, therefore, FDA recognize
14 them as part of the FMIA and carry that prior sanction over?

15 And that's what we can certainly deal with over the next
16 six months.

17 CHAIRMAN BILLY: Dan?

18 MR. LaFONTAINE: On the public health issue, as I
19 was working this issue with your predecessor, Lauren Lang,
20 he provided -- he had a literature research done in the
21 National Agriculture Library. And it came out -- you know,
22 I don't know how comprehensive it was. But it came out with
23 two or three pages of various references of pathogens in
24 these various species.

25 So I would suggest you dust that off and as a part

1 of your next go around, provide that to the full committee
2 so it can show, you know, some of the papers in various
3 scientific journals or articles that show, you know, the
4 presence of some of these pathogens such as Salmonella and
5 various species, and maybe even -- rather than just dust it
6 off, go back and dig as deep as you can through whatever
7 sources you have.

8 CHAIRMAN BILLY: Collette?

9 MS. SCHULTZ KASTER: Unlike Rosemary, I am not a
10 political scientist. I am just a regular scientist. So
11 maybe this is a naive question. But Dr. Post has reported
12 that the paper would take a year to complete. And then
13 assuming that this would go through a cumbersome legislative
14 process and then assuming that we are doing this because
15 there is a food safety risk associated with this topic, is
16 there anyway to expedite the process? That is one question.

17 And then the second point that I have is this
18 afternoon, we are going to discuss an inspector shortage.
19 And that is a very real issue right now. As we think about
20 adding additional areas that will need inspection, we will
21 need to address that issue prior to adding the need for more
22 inspectors.

23 CHAIRMAN BILLY: I think to be clear, the estimate
24 of a year is to arrive at broad consensus on a concept paper
25 like we did with the interstate shipment paper. So it's not

1 that it will just take that long to complete the paper. It
2 is to complete the paper and share it with policy-makers at
3 various levels in addition to the work that this committee
4 will be doing.

5 So it is a process -- completing the process might
6 be a better word where you would arrive at consensus on a
7 paper. There are different views about the -- adding the
8 non-amenable species. And I expect we will need a public
9 process to sort out some of the issues that are associated
10 with it.

11 I mean, it is fair enough for this committee to
12 support -- take the position it has. But I can assure you
13 it is not going to be a uniform agreement on that. And we
14 need a public process to I think arrive at a consensus on a
15 concept paper that forms the basis for legislation.

16 And if we are going to have a chance of achieving
17 this, it is important that this process occurs. So it is
18 really completing the process within that time period. If
19 it can be sooner, fine. But I think that is a fairly
20 realistic estimate given all that needs to be done.

21 MR. ABADIR: Would there be any effort to find out
22 the number of species or non-amenable species that are not
23 under voluntary or state programs at this time in your work
24 with the -- ?

25 CHAIRMAN BILLY: In other words, like, for

1 example, do a survey or -- I don't know. Maybe Dan can
2 comment on that because he was -- he has been thinking more
3 about that area.

4 MR. LaFONTAINE: Let me make sure I understand the
5 question. Was the question of how to find out what's not
6 being inspected?

7 CHAIRMAN BILLY: Yes.

8 MR. LaFONTAINE: I don't have a good suggestion,
9 you know. The folks that are not being inspected are not --
10 do not want to be public normally. And they are going to
11 try to market their product in a somewhat clandestine way.

12 So I don't know of any straight-forward manner
13 that you could do that or, you know, get that information.
14 Just like many things, if it is out of sight, it is, in
15 fact, out of sight and may want to stay out of sight.

16 MS. MUCKLOW: Could we say just quite manner and
17 not clandestine?

18 MR. LaFONTAINE: Well, I don't know. Some of them
19 are just that, at least to my --

20 MR. MAMMINGO: Sometimes it is easier to find out
21 what's not being done by knowing what is being done. If it
22 isn't being done by FSIS or the state programs or, for
23 instance, in California that have kind of a unique thing, we
24 can easily identify what we are doing under inspection.

25 So then we can say we are not doing armadillos and

1 giraffes and things. I mean, sometimes the process of
2 elimination is better than doing what you are doing and say,
3 "Well, we are not doing anything else. But we could."

4 CHAIRMAN BILLY: I mean, it's likely you could --
5 as an example, one source of information that the Food and
6 Drug Administration uses is the business registration list
7 that states have. But you will find often that some of
8 these types of operations don't avail themselves to that
9 registration process. So even the states are interested in
10 finding them because they are avoiding other things, as
11 well.

12 So it's -- we can -- maybe we can think about it
13 and see if maybe you could sort of narrow it down and target
14 just a limited geographic area or something and see what you
15 might come up with. But it would be very difficult to do.
16 And I don't know if it would be -- you know, there is a fair
17 amount of cost that would be associated with investigating
18 that. Any other comments or suggestions? Dan?

19 MR. LaFONTAINE: Can I ask that before we leave
20 today, maybe we have an edited copy of this so we all go
21 home with knowing what was asked based upon the discussions,
22 whoever is going to do that?

23 MS. HONIGAN: Yes. I'm going to need to leave
24 early. So, Terri, if you would make sure that you represent
25 our subcommittee as far as getting the document changed for

1 us, please.

2 MR. POST: Do you have the draft?

3 UNIDENTIFIED VOICE: Excuse me?

4 MR. POST: Do you have the draft and the notes
5 made?

6 UNIDENTIFIED VOICE: Yes.

7 MR. POST: Okay.

8 MS. SMITH DeWAAL: So I am sorry I was late. But
9 we asked Tom Billy to write a letter to the NACMCF asking
10 for this particular question to be put into it? Is that --

11 MS. HONIGAN: Yes, we went through those
12 recommendations first.

13 MS. SMITH DeWAAL: Okay.

14 MS. HONIGAN: And in the December meeting -- well,
15 I am assuming that Maggie was going to relay this
16 information through Karen, that bullet point 2 is basically
17 what we are asking for. And that Campylobacter would then
18 be official put on this committee's next agenda.

19 MS. SMITH DeWAAL: All right. So the transmittal
20 will go through Maggie and Karen, not through a letter. Is
21 that correct or how -- I am just curious whether we
22 responded to his --

23 CHAIRMAN BILLY: No, I think -- we would normally
24 write a letter. So we would do that.

25 MS. SMITH DeWAAL: Okay.

1 CHAIRMAN BILLY: Make it formal. Okay?

2 MS. MUCKLOW: I move we adopted the amended
3 recommendations of the subcommittee.

4 CHAIRMAN BILLY: Okay. I have a sense that there
5 is a consensus. I see a lot of heads shaking. So I think
6 we are there. Are there any other comments about this or --

7 MS. DONLEY: Can we -- a question. Can't we see
8 what the amended thing is first? I am not comfortable
9 agreeing to something I haven't seen.

10 CHAIRMAN BILLY: Okay.

11 MS. HONIGAN: Well, what I have reported, as Dan
12 requested, you would see it before the end of the day. But
13 what I have recorded, we are going to change bullet point 1,
14 if I understand it correctly, to say, "Due to public health
15 risk, we are in favor of mandatory inspection of non-
16 amenable species." I think that brought in Caroline's point
17 and Dan's, as well.

18 Number 2 bullet point was going to stay as shown
19 on the paper. The third bullet point was going to be
20 incorporated into the fourth bullet point. But where it
21 does say, "Ensured continued marketability", we are going to
22 take out that word and put in "accessibility." But that
23 whole bullet point is being incorporated into number 4.

24 Number 4, I don't have it all written out here,
25 but it was, "Have Dr. Post amend his document with more

1 detail." We had public health data. At Dale's request, we
2 added, "and microbiological testing." Budgetary concerns,
3 we have to incorporate these accessibility of product in
4 interstate and international markets, etcetera.

5 So we are going to expand that and that is all
6 information that Dr. Post is going to bring back to us at
7 our next meeting. I did not think bullet points -- the
8 remaining bullet points changed at all, that they would stay
9 as written.

10 CHAIRMAN BILLY: And I think one other change was
11 Caroline suggested using the word, "assuring", rather than
12 "ensure."

13 MS. MUCKLOW: Okay.

14 CHAIRMAN BILLY: "Assuring", "assuring the
15 accessibility." Okay. And one other -- Dale also mentioned
16 in bullet 4 where it said, "interstate commerce", I think
17 that the language he suggested was something like, "The
18 health risks regarding" -- or, "associated with interstate
19 and international commerce." So if we could just do
20 something like, "Assuring accessibility and safety of
21 product to interstate and" -- okay -- "international
22 commerce." Okay. We are now --

23 MS. DONLEY: Excuse me, Tom. Tom? Sorry, this
24 will be my last comment on this. I promise. You mentioned
25 the word, "consensus", before. I just want to go on record

1 stating that I am in full support of having non-amenable
2 species with mandatory inspection. I object to anything
3 though that carries assurances of -- with trade issues, any
4 attachment of marketability and trade issues involved with
5 inspection.

6 CHAIRMAN BILLY: Okay. All right. We are now
7 scheduled for a break. We will resume again at 10:00.

8 (Whereupon, a brief recess was taken.)

9 CHAIRMAN BILLY: Okay. I think we will get
10 started again. Well, some of the folks are checking out.
11 So I am going to provide them -- maybe we will wait two or
12 three more minutes. Okay. I think we'll get started.

13 The next report is from resource allocation
14 standing subcommittee and Lee Jan. The floor is yours.

15 MR. JAN: Okay. Thank you, Mr. Billy. Thank you
16 for the opportunity for us to be able to work at night. I
17 think we all enjoyed that. The HACCP system's in-depth
18 review verification -- or in-depth verification review,
19 that's what we talked about. And the subcommittee members
20 did not receive the documents or the charge to the committee
21 until the afternoon of the subcommittee meeting.

22 Therefore, we were unable to make an assessment of
23 the appropriateness of the checklist, although at first look
24 they do appear to be on target. We believe this type of
25 tool is good and necessary. And as an example, it will help

1 in determining whether a plant's hazard analysis is a good,
2 accurate and complete analysis.

3 The charge to the committee was to identify
4 additional sources of technical information. The committee
5 had not specific recommendations, but does recommend that
6 interactive Agency industry HACCP group and neutral HACCP
7 experts such as the International HACCP Alliance, certified
8 HACCP trainers and other recognized HACCP experts.

9 The committee recommends the Agency considers
10 further work on this document be scheduled, specifically
11 obtaining input and critique from neutral HACCP experts in
12 conjunction with the technical meetings scheduled for later
13 this winter.

14 The committee is particularly interested in
15 knowing that the questions asked in these checklists are
16 appropriate questions. We want this to be the best possible
17 tool for evaluating the effectiveness of HACCP plans and
18 their implementation in the plants.

19 The committee did note that this present tool does
20 not indicate whether a category is or is not acceptable.
21 There is no space or area to indicate the acceptability of
22 each question, area or checklist. We recommended that be
23 included, giving more feedback to the plant.

24 The committee suggests that this tool be a living
25 document in that continuous revisions are made as necessary

1 to improve the effectiveness of the tool, but that each
2 provision be a final document before its use is implemented.

3 Each revision should be made through the use of the tool in
4 input from the reviewers, as well as results of using the
5 tool.

6 Each revision should be done with the input of
7 HACCP experts, including those outside the Agency, to ensure
8 that the tool and, therefore, the review is fair and
9 evaluation is valid. We want to keep in mind that the
10 reviews are conducted to evaluate the HACCP system. The
11 goal should be to ensure that the HACCP plans are effective
12 and, if not, provide input to make them effective rather
13 than a process to find fault with the plants.

14 However, if the plant determines -- or the review
15 determines that there is failure of the HACCP system,
16 immediate and appropriate regulatory action should be taken
17 by FSIS to prevent distribution of unsafe products. The
18 committee believes that the process of in-depth review
19 should be an effort of cooperation, timing and coordination
20 between the review team and plant management to reduce the
21 time of the review, therefore making the process as
22 efficient as possible.

23 We recommend that the Agency look at models from
24 other regulatory agencies such as HCFA, the Health Care
25 Financing Agency. They have a process that we thought might

1 make a model.

2 Before implementing the in-depth reviews, we
3 believe it is essential that the reviewer be provided with
4 formal training and education regarding this process. The
5 training should include not only technique, but also a study
6 of the supporting documents such as the '97 National
7 Committee on Microbiological Criteria for Foods document to
8 ensure a consistent interpretation of the meaning of the
9 document.

10 The committee proposed a process for HACCP systems
11 in that verification review as follows: First, the Agency
12 provide the plant to be reviewed a notice and a date of the
13 proposed review in advance. Second, the plant collects the
14 documents required for a review and has them ready for the
15 reviewers.

16 On the date of the review, the reviewers review
17 the documents without requiring the presence of plant
18 management. Plant management will provide working space in
19 the plant or permit reviewers to remove the documents to an
20 appropriate area to conduct the document review.

21 After the document review, the reviewer -- the
22 reviewers interview the plant officials to discuss and ask
23 questions regarding the findings of the document review.
24 After that part, the reviewers will then conduct the system
25 review portion in the plant.

1 An exit conference will be held with plant
2 officials and after completion of the review and in that
3 conference provide a preliminary report. If there are HACCP
4 failures, immediate and appropriate regulatory action
5 according to Sections 416 and 416 will be taken.

6 The Agency provides a formal written report to the
7 plant within two weeks. The plant is given 30 days to
8 respond formally in writing to the findings including
9 corrective actions taken. The record -- the report then
10 does not become available under the Freedom of Information
11 Act until after this 30-day period has expired and the plant
12 responsibly becomes a part of the record if the plant
13 chooses to respond.

14 District managers are responsible to ensure that
15 the plant takes appropriate correct steps to correct
16 efficiencies identified and report to the review team or
17 appropriate headquarter office that the deficiencies have or
18 have not been corrected within an appropriate time frame.

19 And then the deficiencies are identified or
20 determined at an appropriate time for correction and the
21 Agency regulatory action. But it is imperative that the
22 Agency action is consistent. That sums up what we discussed
23 and our recommendations. So now we will open it up.

24 CHAIRMAN BILLY: Okay. Gary?

25 MR. WEBER: Gary Weber with the National

1 Cattlemen's Beef Association. Lee did a tremendous job in
2 the group of pulling that information together and thinking
3 about the dialogue we had yesterday where Mike Grasso was
4 covering training and the HACCP models project.

5 What seemed to me to be something of a
6 recommendation that you might want to consider is so often
7 these programs are delineated and designed to find out
8 what's wrong. And yet with HACCP and the way we are going
9 and putting responsibility on the processors and the
10 packers, there seems to be a unique opportunity here to
11 identify the things that are going right and move that into
12 the training program, move that into new HACCP model
13 projects.

14 And in that context, perhaps as you look at
15 allocating resources, those obviously where you have
16 problems are where you need to focus on that first, but to
17 coordinate some of these in-depth reviews with systems that
18 you know are working well and have a focus of that, you
19 know, why are they working well; and then as you learn that,
20 integrate that into training and helping other people down
21 the road.

22 And I think that would be an effective use of
23 resources and not carry what could appear to be just a
24 singular, sort of the dread of having this audit type
25 approach, but could have the positive connotations that I am

1 sure, for example, evidence that things are going well. And
2 that should be documented through this process, as well.

3 CHAIRMAN BILLY: Okay. Other comments? Dan?

4 MR. LaFONTAINE: I have two comments. Dan
5 LaFontaine, South Carolina. In the top of the second page
6 where we talk about, "The reviewers be provided formal
7 training and education regarding this process", I would
8 suggest we add one word, "audit process", because that's
9 really what we are talking about.

10 And that's the kind of training that they need.
11 And I have picked that up from, you know, one of our public
12 speakers yesterday. That succinctly states the kind of
13 training that they need. So that is my first suggestion.

14 My second suggestion, first of all, I want to
15 compliment Lee and the group. This is quite a lot of work
16 and very well formatted in a short time. I have one
17 suggestion though and that is that integrated in this
18 somewhere, the Agency needs a standardization or correlation
19 cell, probably at the Technical Service Center or -- well,
20 that would be an ideal place -- that provides the
21 standardization of the reviewers.

22 Any audit system falls apart in a hurry. You can
23 have the initial training. But if you don't follow it up
24 with continuous correlation or standardization, it becomes
25 disjointed in a matter of months. And along that line, at

1 least initially when these newly trained auditors do their
2 reviews, that the initial reviews actually be looked at by
3 this standardization cell.

4 Now, long-term that may be too onerous to funnel
5 everything through a central point. But somehow, there
6 needs to be a built in mechanism for standardization.

7 CHAIRMAN BILLY: Can I ask you a question? From
8 your state program perspective, the process that is laid out
9 here, do you feel that is something that is workable in your
10 state?

11 MR. LaFONTAINE: Absolutely.

12 CHAIRMAN BILLY: And really, that is a question to
13 all of the state representatives.

14 MR. LaFONTAINE: Let me add one thing. Tying in
15 with your question, we did this very thing with the SSOPs.
16 We developed an audit checklist. We trained. And I am the
17 standardization officer in this case. So the philosophy
18 would fit HACCP, also. Thank you.

19 MR. BURKHARDT: This process that is identified is
20 these -- you know, mirrors the process that FSIS uses to
21 evaluate state programs. It is the exact same process with
22 introductory meeting, follow-up, so forth. So it works real
23 well.

24 MS. MUCKLOW: Tom, as a member of that working
25 group, I certainly commend the fine work that Dr. Jan has

1 done in chairing the session and bringing this all to us
2 today. I simply want to underscore one of the points of
3 discussion that we had last night that is included in here.

4 And that is that we never lose sight that FSIS is an agency
5 with powerful authorities for enforcement.

6 And there was some discussion here at the table
7 yesterday that the document for your reviewers needs to be
8 very fluid. We don't want to take away anything from the
9 fluidity and the opportunity to change as they find new
10 circumstances. That has to be done in a formal manner
11 because the consequences of action that the Agency may take
12 are very significant.

13 And, therefore, we would ask that the reviewers be
14 using a final document. If you want to change it, you have
15 a process to do that through your FSIS directive system.
16 But they should not be walking around with a document marked
17 draft. It needs to be a final document.

18 I think Dr. Jan will assure you that that was our
19 collective wisdom. But being a representative of industry,
20 I want to make sure that that point is abundantly clear.

21 DR. JAN: Yes, that's correct. We did talk about
22 that. But I did want to say one other thing, too. That I
23 did fail to put in the document that we talked about that I
24 think is critical and we probably need to amend it.

25 We discussed that we felt that there was a need to

1 allow this training that we mentioned be available to
2 industry and share the document with industry so that the
3 industry is able to use this document to verify their
4 processes and know themselves that their plan is an
5 effective plan and they have done all the steps correctly,
6 not in an effort to beat the system, but in an effort -- or
7 at least what we believe this effort should be was to make
8 sure that the HACCP plans are effective and they are
9 working.

10 So we shouldn't wait until they get pulled up and
11 it is their turn in the barrel before we find out whether or
12 not their system is working. So we think the training
13 should be available side-by-side for industry to learn how
14 to do this audit process themselves. Not mandatory, but
15 make it available.

16 CHAIRMAN BILLY: Nancy?

17 MS. DONLEY: I have a question, actually two
18 questions, Lee, to the point that you just made about
19 sharing with it. Is that something that is done by -- you
20 mentioned the Health Care Financing Agency. Is that
21 routinely how these verifications are done, that the member
22 companies or industries are trained in what these audits do?

23 MR. JAN: Let me ask our person that is familiar,
24 Donna Richardson. She is on our committee and she is the
25 one that has had experience with those type of audits.

1 MS. RICHARDSON: Donna Richardson from Howard
2 University Cancer Center. Since I am not -- since I am
3 brand new and not familiar with the HACCP principles, I
4 thought it was good to compare it to something that I was
5 familiar with which was the Health Care Financing
6 Administration's surveys when they come out to look at
7 nursing homes and JCHO when it comes out to look at
8 hospitals and NIH when it comes out to do research audits.

9 And in developing all of those materials, they
10 work with the industry to look at what the evaluation
11 process is going to be, how it is working. And then they
12 also look at the systems that the particular facilities have
13 to determine whether or not it meets the needs for the
14 review process.

15 And so that's why I said, to perhaps look at areas
16 where there are already these processes that have been
17 proven and have gone through tremendous angst between the
18 industry and the enforcing agencies to see what works and
19 what doesn't work and how it can be improved upon. But in
20 all of those, what it is supposed to be is a cooperative
21 effort that is supposed to ensure public safety.

22 MS. DONLEY: Okay. And a second question I have
23 is, is it by design or did discussion come up in the
24 subcommittee at all -- I don't see anything in the document
25 that was given from the Agency or in your write-up about

1 follow-up after these verifications. There is no -- nothing
2 here about follow-up.

3 MR. JAN: We put that in number 9 --

4 MS. DONLEY: Oh.

5 MR. JAN: -- in number 9 of the process. The
6 district managers are then responsible to assure the plant
7 takes appropriate steps. So they would be doing the follow-
8 up. We felt that it would be a better use of these valuable
9 resources. They are proposing I think four or five review
10 teams. And we've got in excess of 3,000 plants.

11 And rather than having those review teams coming
12 back to do a follow-up, turn that over to the district
13 managers who will probably be a part of the system, a part
14 of the review anyway. They will know what the issues are.
15 And they can then report back either to the review team or,
16 if it is more appropriate, to whatever office in Washington
17 to report that the recommendations or that the corrective
18 action that the plant said they would take were, in fact,
19 taken.

20 MS. DONLEY: I would suggest on that, on these
21 checklists, that there be something on there that if
22 corrective action should be done or follow-up action of any
23 sort should be done, that there should be a spot for that to
24 be indicated.

25 And also, and I guess one more question is on

1 these teams, is the plant inspector a part of that team,
2 too, the IIC would be a part of that team?

3 MR. JAN: Yes.

4 MS. DONLEY: Okay.

5 CHAIRMAN BILLY: Dale?

6 MR. MORSE: Just a question about the audit
7 document. As an epidemiologist, I would like to be able to
8 analyze and evaluate information collected as part of a
9 program review. And I assume that part of this is then
10 computerized. But has consideration been given to having a
11 computerized document up front?

12 It looks like a number of the questions have a
13 yes/no answer so that you can sort of pull information from
14 all these program reviews or there might be certain reviews
15 where you have certain things you want to see whether they
16 have done it specifically like beyond yes/no.

17 But if they had done this, this and that, that you
18 would be able to get comparable data across different audit
19 sections that then could be, you know, entered into the
20 computer. That helps usually with standardization.

21 I mean, this is very user-friendly to sort of I
22 guess the way a person would go through it. But I assume
23 that some data from program reviews is collated. And you
24 could make this into a combined computerized worksheet. And
25 I guess you could even have a laptop or a computer that they

1 could enter it while they are there, as well.

2 And then you would have pooling of information
3 that would help with training and standardization possibly.

4 Maybe this is the wrong approach to apply that. But it
5 seems like you would want to have information pooled on how
6 the audits are going for the HACCP. And you could design
7 the form.

8 You could also have the cover sheet go along with
9 the steps that you have to take and make sure that there is
10 a checklist on the front. I mean, there are things that
11 could be done to make it computerized and standardized. But
12 maybe this -- I don't know, some of the people that do the
13 inspections might say that's not appropriate.

14 CHAIRMAN BILLY: No, that is, in fact, our intent.

15 You know, I think the group -- the team made it clear
16 yesterday, this is sort of hot off the press. And I think
17 we need to take advantage of what you suggested and do that.

18 It is our intent to have it computerized. And our
19 teams will have laptops available to enter the information,
20 so -- and do some comparisons. So that is one of the things
21 we intend to do. Rosemary?

22 MS. MUCKLOW: This is an audit team to make sure
23 that the process is right. And while the team may enter in
24 preliminary data, the great problem the government has with
25 its great, big computer on the boat in the Potomac is that

1 preliminary data may be perceived to be final data. And,
2 again, you are an enforcement agency.

3 And so as long as preliminary data is assembled
4 and corrected and you only use it to guide you in the
5 process as you are going through this, but the final stuff
6 is for real. I have no problem with you using preliminary
7 data to help, like the low voltage lights in my garden, you
8 know. I mean, they are not really strong enough to read a
9 book by. But they do help people from breaking their neck
10 on moving rocks and so on.

11 As long as it is recognized that what you receive
12 would be preliminary data subject to maybe reinterpretation
13 or a different understanding -- because we are going to find
14 out in the HACCP system is predicated on the fact that the
15 HACCP program is the plant's program. And it isn't written
16 to a standard predictable command and control system. And
17 so there are going to be differences.

18 IBP's slaughter system is likely to be different
19 from XL's. We just need to understand that there are going
20 to be different and reasonable differences between different
21 HACCP systems.

22 CHAIRMAN BILLY: You triggered another thought.
23 One of the things we learned in our reviews of foreign
24 country systems and one example that pops into my head is
25 New Zealand. And there is a representative here I believe.

1 They have set up a very extensive audit program for their
2 plants. And it is a centralized unit that carries out these
3 audits. And they audit both the plants and in effect their
4 own inspection processes.

5 And we avail ourselves of that information. And
6 it really helps us in our review of a foreign country
7 program because you have got access to all this audit data
8 which you can analyze in various ways. And sometimes it
9 will help steer you in certain directions in terms of
10 managing your time while you are in the country to focus on
11 the right areas.

12 So other countries are looking to us to similarly
13 have audit information available as it relates to HACCP and
14 so forth. So one of the purposes for this sort of down the
15 road is to enable us to help foreign countries that are
16 auditing our system have access to data and summaries and so
17 forth that will be helpful, as well.

18 So it has multiple purposes. It is not just about
19 the specifics of a given plant situation, but our whole
20 system and how well it is working.

21 MS. MUCKLOW: Again, if I might just come back to
22 speak to that issue and back in the first page in his
23 report, Lee made reference to the fact that there are
24 organizations. And, in fact, your Agency always has a
25 representative attend the meetings of the International

1 HACCP Alliance.

2 And the Alliance is also in the process of
3 developing audit processes because there are firms out there
4 who don't want to wait for your auditors. They are pretty
5 well right.

6 And so the coordination and correlation between
7 the people who are the experts -- and I gave them free
8 commercials yesterday. I don't want to go through them
9 again today. But they are here in the audience again today.
10 It is really important that we have that kind of discussion
11 and work together because they need to be including what is
12 important to you and you need to be hearing what is
13 important to them as you develop the process.

14 CHAIRMAN BILLY: Yes. Caroline?

15 MS. SMITH DeWAAL: I think the Department needs to
16 be cautious though in utilizing experts which have a
17 particular industry bias. And we -- while we discussed the
18 issue of experts last night, we would like the experts to be
19 in the Agency and to provide the unbiased viewpoints as
20 opposed to relying on experts who have a specific industry
21 mandate.

22 CHAIRMAN BILLY: Okay. Any other thoughts on
23 this? Yes, Mike?

24 MR. MAMMINGO: From the perspective of a small
25 program, I can't say how essential it is that this auditing

1 process be developed and put in place and be validated as to
2 being correct. Otherwise, as is a pitfall for those of us
3 who are regulators, the process of the audit becomes the
4 point of contention versus the results of the audit which is
5 a benefit to the consumers and the industry and to us.

6 So I think this is really great, a great place to
7 start. But for my purposes, let's make sure that this audit
8 is proper and correct so that we don't have to fight a lot
9 of battles over the process of the audit versus the findings
10 of the audit.

11 CHAIRMAN BILLY: Okay. Anyone else? So what I
12 heard in addition to what was on the paper is that it needs
13 to be amended to add the points -- the following points:
14 That the training should also be made available to the
15 industry. That's one of the points.

16 Second is that we ought to find a way to identify
17 what is going right in terms of the audits of plant systems
18 and document them and incorporate it or integrate it into
19 information that has been made available, as well as our
20 training programs, to use the results of the audits,
21 particularly those that are turning out well, and add that
22 as examples under the training program.

23 And then another point that we needed to add was
24 we ought to have or add a standardization unit that would
25 establish and maintain correlation. And another one is that

1 changes to the documents should be done formally through the
2 directives process or based on -- similar to -- to a process
3 similar to the directives process.

4 And then finally, that the -- we need to ensure
5 that the questionnaires and forms are in a format that lends
6 themselves to entering the data into a computer to allow for
7 both collation and analysis. I don't know if I've missed
8 anything or not. I think I captured it all.

9 Oh, yes. And a one-word addition in the top of
10 page two, the first paragraph there, "Training and education
11 regarding the audit process." That's right. Lee, does that
12 sound --

13 MR. JAN: I think those are the points that I
14 picked up.

15 CHAIRMAN BILLY: Okay.

16 MR. JAN: And we will make those changes.

17 CHAIRMAN BILLY: So we will incorporate those
18 changes as appropriate into the paper. And with that, I
19 have a sense that there is support for these recommendations
20 and moving forward? All the heads are nodding. Okay.
21 Good. All right. Thank you very much.

22 Okay. The next and final committee report is from
23 the Intergovernment Roles Standing Subcommittee. And Dan,
24 you have the floor.

25 MR. LaFONTAINE: First of all, a special thanks to

1 some folks that helped us with this, Dr. Dan Englejohn and
2 his colleagues from FSIS. And also I should note that Tom
3 Schwartz from the FDA participated last evening. And that
4 was extremely valuable for this topic.

5 The first topic that we discussed was reinforcing
6 the food code by adopting key food safety provisions as
7 federal performance standards. Before we started
8 formulating our conclusions, we had to spend quite a bit of
9 time sorting out what the idea or what the question was.
10 And as we went through this, we came to the conclusion that
11 what we were concentrating on was uniform federal
12 performance standards for food safety. And so with that
13 thought in mind, let me go through our comments and
14 recommendations.

15 Our subcommittee supports the concept of
16 developing federal performance standards for critical food
17 safety factors as they relate to meat and poultry products.
18 This will establish a national baseline -- national
19 baselines that all federal, state and local regulatory
20 agencies can adopt in a uniform manner.

21 The second point we wanted to make is these
22 standards will provide a pathway for industry to develop
23 validated alternate processing methods to meet the
24 performance standards or, for regulators, to evaluate a
25 variance to the standard which does occur periodically. So

1 you have a baseline that you can work from for an alternate
2 procedure or to evaluate a variance.

3 The committee also felt very strong that it is
4 important to retain, if they currently exist, or to develop
5 some prescriptive procedures -- I call them safe harbors --
6 that small entities can follow if they do not have the
7 technical expertise to develop their own procedures.

8 And I might embellish small entities being meat
9 and poultry processors, but also looking at the entire
10 chain. It could be the smaller restaurants or food service
11 establishments or institutions that need a take-home menu,
12 for the lack of a better word, of how to do that -- to cook
13 that piece of meat for example.

14 And then we thought out of the box a little bit
15 and thought about how can we make this visible to everybody
16 and not bury it somewhere. And I don't know if it is
17 possible, but we recommended one final -- one federal rule
18 be developed for each performance standard that applies to
19 both FSIS and FDA-regulated entities, the idea being if it
20 can be done in the rule-making, it's here is where you go
21 for the rule or how to cook roast beef or how to cook meat
22 patties be it at a restaurant or large plant and not let it
23 be hidden in some document that is not readily visible.

24 So that is a summary of our thoughts on this
25 subject.

1 CHAIRMAN BILLY: Carol?

2 MS. TUCKER FOREMAN: Thank you. Carol Tucker
3 Foreman with Consumer Federation. I would feel a lot more
4 comfortable with this if we could convey somewhere in it
5 that these are minimum performance standards. You could
6 accomplish that by putting the word, "minimum", between
7 "uniform" and "federal."

8 But because you have -- especially because you
9 have in the second paragraph an ability for regulators to
10 evaluate a variance and because the federal meat and poultry
11 laws are preemptive, I wouldn't like to have any sort of
12 communication or have this used in a way that prohibited
13 states from going beyond the federal standard.

14 CHAIRMAN BILLY: Where did you suggest adding --

15 MS. TUCKER FOREMAN: Well, I thought in that very
16 first line there, "Subcommittee supports the concept of
17 developing minimum federal performance standards", or there
18 was another place where it had -- yes, that would --

19 MS. DONLEY: Or should it be "federal minimum
20 performance standards?" Yes.

21 MS. TUCKER FOREMAN: Thank you. That's fine.
22 Thank you. That's more accurate. I just want it to be
23 understood, this is a floor and not a ceiling.

24 MR. LaFONTEINE: That's certainly a good
25 suggestion. And we -- performance standards implies

1 minimum. But it is certainly good to make that clear that
2 that's what you are talking about.

3 CHAIRMAN BILLY: Okay. Caroline?

4 MS. SMITH DeWAAL: Does the committee -- or does
5 the subcommittee see these performance standards as an
6 alternative to the adoption of the food code?

7 MR. LaFONTAINE: No. Well, yes and no. What --
8 that was -- the first part of the discussion was, you know,
9 the whole business of the food code and making it as a
10 federal regulation. And if we walk through that, what we
11 have to divide is standards versus enforcement.

12 And what we concentrated on was uniform national
13 standards for critical food safety items. That's what this
14 paper is about. And we decided that right or wrong, that
15 the whole business of what the regulators -- what the
16 regulators use or not use the food code, the whole
17 enforcement issue was not the question being asked at this
18 particular subcommittee.

19 So we set it aside and dealt with the issue facing
20 us. That does not mean that that is not an important issue.

21 But it was not the one that we tackled.

22 MS. SMITH DeWAAL: My other question -- and then I
23 think I want to go back to that for a minute. But my other
24 question is what do we mean by "key food safety provisions"
25 and "critical food safety factors?" What are we talking

1 about? I mean --

2 MR. LaFONTAINE: Okay.

3 MS. SMITH DeWAAL: -- are we talking about
4 cooking? Are we talking about refrigeration? What are we
5 talking about?

6 MR. LaFONTAINE: We are talking about cooking. We
7 are talking about cooling. We are talking about maintaining
8 proper temperatures. I use the word, "critical", on
9 purpose, tying it somewhat to critical control points,
10 although I didn't say that when I wrote this.

11 It is those things that if they are reasonably
12 likely to occur could cause a food safety hazard. So
13 cooking, cooling, temperature control, concentrate on those
14 as performance standards.

15 MS. SMITH DeWAAL: And I am all for that.

16 MR. LaFONTAINE: Okay.

17 MS. SMITH DeWAAL: My -- but I guess what I am
18 wondering is -- and having been in a lot of discussions of
19 layering, are we reinventing the wheel here? Are we --
20 aren't there already cooking and cooling standards that
21 apply to most entities?

22 Are we putting in an alternate vehicle for states
23 to adopt rather than adopting the food code because then we
24 will have some states with the food code and some states
25 with these federal performance standards which means there

1 will be less uniformity? I'm just wondering what we are
2 doing and why we -- why do we need this.

3 MR. LaFONTAINE: Let's go back to the basis of why
4 it was presented by Dr. Englejohn and others. What we have
5 now is a fair amount of -- or some inconsistencies between
6 the regulatory agencies as far as some of these critical
7 hazards and critical food safety factors.

8 And this is a -- as I understand it, a strategy on
9 the part of FSIS and I assume FDA to have some uniform
10 federal standards that everybody can hang their hat on from
11 the federal, state and local level.

12 Back to your question of additions. This will not
13 be an addition. If there is an existing FSIS or federal
14 standard that applies to a certain type of process, these
15 new final rules would replace those. It would not be any
16 layering that I see.

17 MS. SMITH DeWAAL: I am concerned that -- I like,
18 by the way, number 4 which is the single rule. But the
19 reality is that we have two different food safety agencies.

20 And they do at times develop slightly different standards.
21 Usually the standards are directed towards different
22 entities.

23 So a cooked roast beef company will have a
24 different -- a performance standard or a five log reduction
25 whereas a restaurant may be specifically instructed with

1 time-temperature parameters. But I -- I mean, I am
2 concerned -- these are FSIS regulations. And you are
3 attempting to impact FDA regulations.

4 And what I have seen is that where there are
5 differences, the agencies have actually gone to the National
6 Advisory Committee on Micro Criteria for Foods. And they've
7 fought it out there. And the Micro Committee comes back and
8 says, "Well, this is what we recommend." And the agencies
9 do whatever they want anyway.

10 So the -- I am just concerned that I am not sure
11 this adds anything. It just puts another set of standards
12 in place where we should already have some standards anyway
13 that are just going to further confuse an area which is
14 already really messy.

15 MR. LaFONTAINE: I disagree with you.

16 MS. SMITH DeWAAL: Okay.

17 MR. LaFONTAINE: I think this does exactly what
18 you are suggesting; that it takes the separate rule-making
19 and the separate past regardless of what the National Micro
20 Committee says, and says, "We are going down the same path
21 with a final rule that will be the national standard on
22 critical items."

23 It sets the template or the baseline that
24 everybody can use and everybody can look to and says if we
25 follow this, whether it be a producer or a food service

1 entity, we have something that is solid scientifically. We
2 can hang our hat on it. And everybody is performing it in
3 the same way. That's what this says.

4 MS. SMITH DeWAAL: Is there any evidence that the
5 standards which -- well, I will withdraw that question. How
6 does the subcommittee anticipate them doing this joint rule?

7 I mean, how do we really know how the agencies operate? I
8 mean, are we anticipating rule-making here? What are we
9 really -- maybe we should clarify that.

10 MR. LaFONTAINE: That's what I anticipate. But I
11 should defer to the Agency to answer the question how you
12 would orchestrate this.

13 CHAIRMAN BILLY: Well, we could -- they could be
14 joint or separate rules that would apply the same standard
15 to all the different entities that are regulated. So it
16 could be a jointly signed rule or they could be separate
17 rules published on the same day, setting the same standard
18 across the board.

19 I would like to come back to your question to
20 satisfy you and think about that while I make some comments.

21 I mean, I think we ought to talk a little bit about what
22 the realities are right now in terms of the food code. And
23 I have to say right off the bat, I'm not up to date.

24 So I don't know if Tom Schwartz is here or anyone,
25 but my understanding is that with the creation of the first

1 food code I think it was back in '93, there was -- an effort
2 was then made to get the states to adopt it. And it's now
3 been about six years later.

4 So we are on the order of about ten states that
5 have adopted it. We have another 20 states or so that are
6 working to adopt some version of the code. Some are still
7 working on the '93 version and haven't completed the process
8 and are missing out on significant changes that were made
9 from the '93 to the '95 version. Some states are working --
10 of those 20 or so are working to adopt the '95 version.
11 There is a '97 version and now a '99 version that was just
12 published.

13 Summing all that up, there are quite a number of
14 states that haven't adopted any version of the food code.
15 The risks, as we talked about earlier, whether you are
16 roasting beef or cooking chicken in a big plant somewhere
17 under FSIS inspection or doing it in a store or doing it in
18 a nursing home, the risks are the same.

19 And it seems to me that given the factual
20 situation, it does make some sense to pull from the food
21 code the key food safety standards that FSIS and FDA and
22 others have worked hard to incorporate into various parts of
23 the food code, to take those standards and establish them as
24 national standards, uniform standards as suggested here.

25 My view is that I don't think it is going to have

1 any impact at all on the rate of adoption of the food code
2 or whether states adopt the food code or not. The food code
3 is a very comprehensive document. And I know there are
4 those that have suggested that the food code in its entirety
5 be adopted as a federal regulation.

6 I would like to see that happen given the rules we
7 now operate under. There are hundreds of pages of
8 prescriptive regulations, none of which have an economic
9 justification established. You would -- if you started now,
10 you might in 20 or 30 years achieve an objective like that.

11 I think it's a little naive to presume that you could do
12 that.

13 But what you can do for public health is pull the
14 key food safety provisions and adopt them separately as
15 federal standards so that whether a state adopts the code or
16 not or they are adopting the '93 code and it is 1999, the
17 federal standards would preempt and establish the minimum
18 that has to be met regardless of the type of operation that
19 it is.

20 So I think that's sort of what is intended here,
21 is to move -- advance the food safety provisions and make
22 them uniform and consistent across the board to address the
23 food safety problems.

24 MS. SMITH DeWAAL: That's very helpful to see kind
25 of what your vision is. My question still is though that --

1 I mean, do you anticipate these standards would then preempt
2 and be enforceable in every restaurant around the country or
3 -- I mean, the problem with the food code in part is it is
4 the states, the local governments and the county governments
5 that actually enforce the food code. So it's got to be
6 adopted on multiple levels on the state level.

7 Do you anticipate that then -- you know, this
8 might be a very exciting approach, to have an alternative
9 where they could just adopt by reference a set of rules that
10 provide cooking, cooling, refrigeration, hot holding
11 standards. But I'm just --

12 CHAIRMAN BILLY: Let me --

13 MS. SMITH DeWAAL: -- I mean, do you see this as
14 enforceable or is it just another document that we are going
15 to fight to get the states to adopt?

16 CHAIRMAN BILLY: Many state and local entities --
17 I don't know of a percentage; someone might know -- have a
18 regulation or a law in the books that automatically accepts
19 and applies federal regulations once they are in place.

20 MS. SMITH DeWAAL: Okay.

21 CHAIRMAN BILLY: And so for many states and local
22 entities, it would become an automatic process. So it
23 wouldn't even -- they wouldn't even have to do anything
24 other than the next time they publish their rules, they
25 would cite the new citation for the new federal standard in

1 what we are talking about.

2 Others have to do -- take specific action. And in
3 some states, it is the legislature that actually does it.
4 So there is all variations on a theme. But nonetheless,
5 whether they adopt it or not, it's the applicable standard
6 that has to be met.

7 And I think through the efforts of state and
8 federal agencies and others to provide training -- we have
9 got a joint training activity for local regulatory officials
10 in this area we are talking about. And it would afford us
11 an opportunity to emphasize what the federal standards are
12 as part of that training. And others could do that, as
13 well.

14 So I think it would just advance this whole
15 process. And at least as it relates to food safety, you
16 would have these standards as reference documents, adopted
17 or being worked on by the states. And the rest of the food
18 code, some of it is advisory. Some of it is just reference
19 information.

20 I think it is good to encourage states to continue
21 to work to adopt the code in its entirety. But that rarely
22 happens. And in the case of the food safety standards,
23 there wouldn't be an option. They do preempt and they are
24 the standards that have to be met.

25 MS. SMITH DeWAAL: And you would do this in one

1 rule or one point.

2 CHAIRMAN BILLY: Yes.

3 MS. SMITH DeWAAL: It wouldn't be mixed all over
4 in the Federal Register or in the Meat Act or whatever. It
5 would be a package of performance standards.

6 CHAIRMAN BILLY: That's what we've talked about.
7 But, you know, that still has to be designed. I don't know
8 exactly how we would do that. There has been some
9 discussion with FDA about that.

10 MS. SMITH DeWAAL: Okay. I think it is important
11 -- and then I will stop -- that -- first of all, with this
12 explanation, I support what the subcommittee has done here
13 because I have a much better understanding of it now.

14 I would recommend that it be in one -- that the
15 regulations, including the performance standards and safe
16 harbors, be accessible at one point in the Federal -- in the
17 CFR or at two points if it is being done where FDA and USDA
18 are both adopting them.

19 I also really strongly like the concept of one
20 federal rule, as I also support the concept of one federal
21 food safety agency because it gets -- I mean, this is just
22 an example of the quagmire that we have when we are trying
23 to regulate the same hazard in food all the way down the
24 chain from the federal government to the restaurant we are
25 going to eat in at lunchtime. So thank you for giving me

1 the time.

2 CHAIRMAN BILLY: Cathy would like to add a point,
3 then Mike.

4 DR. WOTEKI: Yes. I thought I understood the
5 concept until Caroline's clarifications. Let me ask a
6 question both of Caroline and of Tom.

7 I had understood from the paper and from the
8 discussion so far and from the subcommittee's report, my
9 inference from all of this was that the food code did
10 provide the safe harbor because of the greater specificity
11 that is in the food code than what would be in the
12 performance standards of what would be published.

13 And Caroline's qualification leads to a different
14 conclusion that there would yet need to be a third set of
15 regulations providing those safe harbors for the small
16 entities. Is that correct?

17 MR. LaFONTAINE: Let me answer that question and
18 then we will go on. What we are saying is there will need
19 to be minimum performance standards that are uniform across
20 that everyone can use. And that may be you must prove that
21 you can do a five log reduction of X organism for X item.
22 Having said that, in that same rule, we need -- if there
23 exists or does not exist, provide a safe harbor.

24 For those folks who do not have the expertise in
25 their type of business to provide those kind of validations

1 so that there is -- the committee felt very strong that,
2 hey, we have got to put something there also that says,
3 "This is a procedure you can use to maintain or create safe
4 meat or poultry."

5 So we are looking at a package deal where you can
6 accommodate -- or have a performance standard that can be
7 used by those who have the expertise, but also a home
8 remedy, for the lack of a better word, that everyone can
9 look to that says, "This is also a national standard that if
10 I do this, I am maintaining food safety."

11 CHAIRMAN BILLY: Let me add to that. Currently,
12 and in the recent past in fact, FSIS has not been successful
13 in an approach that would both establish a performance
14 standard and include in the same rule a safe harbor-type
15 prescriptive example or way of doing it. OMD has not
16 accepted that kind of approach.

17 So the alternative that we have chosen is to issue
18 Agency guidance that normally takes the existing
19 prescriptive formula out of the regulations that we are
20 changing and puts it into the guidance document, and then
21 making a commitment that we will maintain that guidance
22 document and in some instances add other options, as well.

23 It would be an alternative to use the food code as
24 what would be the prescriptive examples. In many instances,
25 that is what is in the food code now. In some instances

1 though, the standard that we would establish might require
2 us to circle back and go through the food code process to
3 get the food code changed to make it consistent with the
4 performance standards because there is room for further
5 improvement of the food code.

6 So there are some options there. But I think
7 while it may be the interest of Dan and perhaps the
8 committee to have them both in the federal regulation, I am
9 forewarning that the likelihood of that happening that way
10 is not very great.

11 MS. SMITH DeWAAL: Can I just add though that
12 there has been one change since perhaps you had that
13 experience. And that is with the juice HACCP regulation
14 where they put a really nifty performance standard in, but
15 most of the cider manufacturers really don't know what it
16 means.

17 When we are dealing with small businesses, it is
18 real nice to say get a five log reduction. But the reality
19 is for when we are dealing with small entities, it is
20 helpful to them to say, "Hey, dummy, pasteurize." You know,
21 "Achieve a five log reduction" is really nice language, but
22 it's just simply not very meaningful. And I think OMD
23 perhaps needs to be educated on that. So I like Dan's
24 approach.

25 CHAIRMAN BILLY: Yes. Mike?

1 MR. MAMMINGO: I just think that we don't want to
2 have any false expectations here of what we were asked to do
3 or what this product is.

4 We were asked -- FSIS would like to go to the
5 model food code -- it is not a regulation now, it is a model
6 -- to extract from that food safety -- critical food safety
7 information regarding meat and poultry to make it a part of
8 a federal performance standard in FSIS which will be under
9 Title 9.

10 Part 303 in Title 9 exempts the restaurants, the
11 grocery stores from everything except adulteration,
12 misbranding and for time control. It doesn't exempt them
13 from that.

14 CHAIRMAN BILLY: And these standards.

15 MR. MAMMINGO: Okay. Say that again.

16 CHAIRMAN BILLY: There is a provision in both the
17 laws that allows us to establish national standards for --
18 as they would apply to meat and poultry products across the
19 board.

20 MR. MAMMINGO: Understood.

21 CHAIRMAN BILLY: The exemption applies to whether
22 we can enforce or not. So when we're not --

23 MR. MAMMINGO: That was my next thing. And you
24 said it before me. The expectation -- and I have no such
25 expectation.

1 I think it is a grand idea because no matter what
2 has happened anywhere else in government, in the
3 relationship between FSIS and FDA, this is at least an
4 extension of the hand from FSIS to FDA in saying let's take
5 these things and make it a part of our FSIS performance
6 standard under the meat and poultry regulations because it
7 is good and it provides guidance for people at any level.
8 From the small restaurant to the giant packer, it provides
9 guidance.

10 But if there is an expectation here that suddenly
11 enforcement is going to change at the restaurant and the
12 grocery store, then you better put that out of your mind
13 because that is not going to happen as a result of this.
14 Isn't that correct? From any practical standpoint --

15 CHAIRMAN BILLY: Not from FSIS.

16 MR. MAMMINGO: Unless you get a mandate from
17 Congress and a few zillion dollars, they are not going to
18 rush out to all of these places. And that is the only thing
19 that I want everybody to understand, was we have and
20 expressed and discussed many concerns about do grocery
21 stores and do restaurants know how to cook hamburgers or
22 not. This is not going to fix that. And it is not going to
23 codify FDA and FSIS requirements.

24 What we are doing -- and it seems fairly simple.
25 You are going to another agency that has responsibilities in

1 food including meat and poultry, taking some critical
2 elements of their model, and incorporating it into federal
3 performance standards.

4 And while you always have the authority under the
5 federal statutes to reach out to meat and poultry at the
6 retail store and in commerce, this is not an enforcement
7 vehicle to suddenly change what you are doing. Is that
8 correct?

9 CHAIRMAN BILLY: Yes.

10 MR. MAMMINGO: Okay. In that respect, it's kind
11 of hard to argue about this.

12 MS. DONLEY: If I can jump in, I was on this
13 particular subcommittee. And I want to thank everyone in
14 that subcommittee for being exceedingly patient with me
15 because I needed a tremendous education in this subject.
16 And I was the one who was really thinking this could be the
17 greatest thing since sliced bread because now we will be
18 having things cooked safe, prepared safely and correctly all
19 the way down through the line.

20 I was brought back to reality and told that we
21 can't get to that point from here. We just -- it just can't
22 be done. But our hope was and the intention is that it will
23 be something maybe that the states can wrap their arms
24 around a little bit easier than a whole food code, that we
25 can make some differences somewhere on a limited basis, that

1 peer pressure among states might further bring others along
2 into it.

3 And I still have a problem in my head of why can't
4 we just -- why can't government just say, "Hey, listen, this
5 is the way it has got to be done and the only way that it
6 should be done because it is the safe way to be done." And
7 I just, again, want to thank the subcommittee for being very
8 patient. And I started out by just saying we should just
9 adopt the whole doggone thing. And I guess this is the best
10 way that we are going to be able to do it.

11 CHAIRMAN BILLY: Yes. Rosemary?

12 MS. MUCKLOW: I come from an industry that can't
13 understand why restaurants don't have to cook their
14 hamburgers. I do remember when we were at the Hyatt a
15 couple of several meetings ago, I suggested Dr. Woteki go to
16 the kitchen and talk to the chef there because he wasn't
17 cooking the hamburgers right.

18 We have all become extraordinarily frustrated at
19 the obfuscation that this issue has had in the handling of
20 the food code. And you make it more clear today that they
21 are even more obfuscated than I even thought they were in
22 that some of them were discussing one version of the code
23 and there are four versions since 1993. And we've still got
24 restaurants out there that don't understand the importance
25 and value of cooking hamburger properly.

1 This I think gives us a benchmark. And it is
2 something we can do rather as -- rather than just sit around
3 and be continually frustrated about what we can't do. We
4 can set a standard, a performance standard. It is a beacon
5 in an otherwise murky future. I think it is the right thing
6 to do and I commend the work of the subcommittee in
7 addressing this issue.

8 Obviously, the cooking of hamburger is one of the
9 major ones. The cooking of roast beef is also very, very
10 important. We had illnesses associated with that 20 years
11 ago. I just think it is absolutely the right way to go.
12 And I commend Dr. LaFontaine for guiding the process to
13 bring this document back.

14 CHAIRMAN BILLY: Dan?

15 MR. LaFONTAINE: I need to go back to the safe
16 harbors issue one more time. First of all, I recognize that
17 I don't know -- I know very little about federal rule-
18 making. And I acknowledge that to get a prescriptive
19 requirement in may be close to impossible.

20 My point is this, and I am going to play a little
21 mind game. On January 6th of this year, FSIS published a
22 final rule that regulates the cooking, the heat lethality,
23 and the cooling of roast beef, corn beef, certain poultry
24 products, etcetera. That is in the rule and it is clear.

25 They also kept safe harbors and they put those in

1 a separate document. I would guess there is very few people
2 in this room that can tell you where to find those safe
3 harbors. I happen to know because I have a reason to dig
4 real deep.

5 My point is, and it goes back to what Caroline
6 said, they get lost in the quagmire. And if there is any
7 way you can figure out to put the safe harbors with the same
8 visibility as the final rule, do it. Otherwise, you are
9 just kidding yourself if you say you are going to put it
10 somewhere else and everybody can find it. That is my only
11 point.

12 I realize the difficulty you have. But it has to
13 have uniform visibility or broad visibility for lack of a
14 better word. Thanks for listening to me.

15 CHAIRMAN BILLY: Yes. To be clear, I am not
16 encouraging or recommending that the committee change their
17 recommendation in that regard. I am just sharing with you
18 what our recent experiences have been. The fact that we
19 have such a recommendation from the committee will enable us
20 to perhaps try once again. Yes, Magdi?

21 MR. ABADIR: I have a question on point. In the
22 first line when you talk about critical food safety factors,
23 this is a very open definition of that. Can we specify, are
24 we talking about cooking and cooling or are we talking about
25 critical areas that can be controlled? Because this is too

1 wide to leave like that.

2 MR. LaFONTAINE: I will repeat what I said
3 earlier. We are talking about those things that are
4 critical -- those things that are very important to
5 maintaining -- creating or maintaining a safe food: heating
6 or a lethality step, cooling to prevent the growth of
7 pathogens subsequent to cooking, and the maintenance of
8 temperature whether it be a raw or a fully cooked item.

9 That is the kind of items, the same type of things
10 that would have a reasonable likelihood of being identified
11 as critical control points. That's where we should
12 concentrate first. And that's why I used the word,
13 "critical", kind of tying it into potential critical control
14 points.

15 CHAIRMAN BILLY: Would -- if we substituted for
16 "factors" the words, "process control measures", that would
17 read then, "Critical food safety process control measures."
18 Does that make it clearer?

19 MR. LaFONTAINE: Are you suggesting critical food
20 --

21 CHAIRMAN BILLY: Food safety process control
22 measures. Those are all parts of the process as you
23 describe them.

24 MR. LaFONTAINE: Yes, and to go a step further,
25 Nancy just handed me, "Such as including cooking, cooling,

1 temperature maintenance", give some examples if that's okay
2 with everyone. I will make those editorials and get those
3 to Mike. And we can print this out again this afternoon.

4 CHAIRMAN BILLY: Good.

5 DR. WOTEKI: Can I --

6 CHAIRMAN BILLY: Sure.

7 DR. WOTEKI: -- I would like to ask a question
8 again to the subcommittee and I guess also to the full
9 committee. As this is drafted, this report, it has a title.
10 And the title is actually I think the purpose for the
11 report. Would it be possible to amend this to actually have
12 that as a statement of purpose as opposed to a title?
13 Because I think it really then -- the rest of it flows.

14 And it begins to address the question that I
15 wanted clarified earlier, as well. Because as I understand
16 it, the intent is to not set up a competing process for the
17 food code, rather to reinforce the food code and provide
18 some additional incentives to states to adopt the most
19 recent, up-to-date versions of the food code.

20 MR. LaFONTAINE: I certainly agree with what you
21 are saying. I will have to listen again what you are
22 actually suggesting.

23 DR. WOTEKI: Well, I -- my suggestion for
24 discussion is that the title of this paper, "Reinforcing the
25 Food Code by Adopting Key Food Safety Provisions as Federal

1 Performance Standards" --

2 MR. LaFONTAINE: That should be the entry sentence
3 basically?

4 DR. WOTEKI: Yes, it be stated as, "The purpose
5 for the following recommendations is to reinforce the food
6 code."

7 MR. LaFONTAINE: Yes. I certainly agree with that
8 if everyone else does.

9 CHAIRMAN BILLY: Caroline?

10 MS. SMITH DeWAAL: We also should note for the
11 record, but also it might be appropriate to add something to
12 this. That it's very important if you do this that you
13 update them as science becomes available showing they are
14 out of date because it is always great to have a new set of
15 performance standards. But five years down the line, they
16 may be out of sink with what the science is.

17 And so the commitment here has got to be to not
18 only develop them, but to update them as appropriate to meet
19 the best scientific knowledge.

20 CHAIRMAN BILLY: Rosemary?

21 MS. MUCKLOW: Again, I feel sometimes that I am a
22 historian.

23 CHAIRMAN BILLY: You are.

24 MS. MUCKLOW: But when Carol Foreman was Assistant
25 Secretary of Agriculture and there were illnesses from roast

1 beef, under her administration, an emergency regulation was
2 published. It is one of the rare occasions when this Agency
3 published an emergency regulation for the cooking of roast
4 beef to assure the safety of product.

5 This Agency has the authority as new science
6 becomes available even to take instant action which it does
7 very rarely. Carol was absolutely right to require that.
8 And it then forced a revisiting. And then a more formal
9 process and a better regulation was ultimately adopted for
10 the cooking of roast beef. But there was an emergency need
11 at that time.

12 So those vehicles do exist in the regulatory
13 process to make those kind of changes as science becomes
14 available.

15 MS. SMITH DeWAAL: So could we add just a clause
16 to it to make sure that updating it is part of our
17 recommendation?

18 MS. MUCKLOW: I don't think that's necessary. I
19 think it happens anyway.

20 MS. TUCKER FOREMAN: I am not sure it could happen
21 today with the regulatory burdens, the burdens that are
22 placed on the Agency with regard to risk assessment,
23 development and cost benefit analysis. I don't think you
24 can do anything quickly anymore, although I am going to urge
25 you to on Listeria.

1 CHAIRMAN BILLY: I think it is an important point
2 about that to work in here somewhere that the performance
3 standards be updated consistent with new science or some
4 language like that. I think that's a good idea.

5 MR. LaFONTAINE: Give me one second here. Let me
6 read back to the committee what I have captured. And then
7 we will move on to the next subject if that's okay.
8 Following -- or using Dr. Woteki's suggestion, I have
9 written a new introductory sentence. And it says, "The
10 subcommittee endorses a concept of reinforcing the food code
11 by adopting key food safety provisions as federal
12 performance standards." Is that what you had in mind?

13 Okay. And then we go on with the rest of this,
14 "The subcommittee supports the concept of developing minimum
15 performance standards for critical food safety process
16 control measures such as cooking, cooling and temperature
17 control as they relate to meat and poultry products."

18 And then there is one additional one which I
19 haven't put in yet, would be your suggestion about that the
20 standards be updated as new science evolves. So I need to
21 integrate that. I will put this together with Mike and we
22 can re-do it and hand it out this afternoon if that's okay.

23 Any other comments? Mr. Chairman, are you read to move on?

24 CHAIRMAN BILLY: Yes, sir.

25 MR. LaFONTAINE: All right. We had double-duty

1 last night. We had two topics. So we worked well into the
2 night. Not really, but it did take a while to air the
3 subjects.

4 The second subject we were given was the topic of
5 regulatory reform. And once again, the FSIS folks were
6 quite helpful in explaining this topic, Ms. Tucker, Mr.
7 Englejohn and others. Once again, kind of as an
8 introductory comment, we had to talk in general quite a bit
9 to make sure we knew what was being talked about and what
10 FSIS was asking us to do. Even though we had the questions
11 in front of us, we needed further explanation.

12 After that preliminary discussion, what we came up
13 with is the following statement on regulatory reform:
14 "Using transparent and methodical rule-making with
15 opportunities for interested parties to work cooperatively
16 towards the objective, particularly on those that are
17 identified as new regulatory agencies, for example,
18 transportation" -- so in essence, we are saying be as
19 transparent, as open and as methodical as you can to get all
20 parties concerned to the table, just what you have been
21 doing on many of these rules.

22 And we used transportation as an example because
23 that is going to be a tremendous challenge to pull that off.

24 Another one that we didn't mention here would be the
25 cooling requirements for meat and poultry which are

1 nonexistent on meat and hidden so to speak on poultry. So
2 we are saying be prepared for a very transparent and
3 methodical path.

4 Number 2: "Incorporate scientific data and cite
5 sources to support the rules as they are proposed." In
6 other words, when you come out with a proposed rule, put up
7 front all of the scientific data and references that you
8 can. So when people start coming to the table, they have
9 got something that they have had a chance to dig into.

10 Three, and it somewhat repeats the first one, but,
11 "Make the process very public and make available in advance
12 information on the subject to facilitate discussions in
13 public meetings." We keep harping on this, but it is -- it
14 just doesn't work when you come to this committee or to a
15 hearing and you've got a handout that's five pages or ten
16 pages. You are really lost in trying to make constructive
17 comments.

18 Four: "Regulations should strive to improve food
19 safety. Standards for one species should not be decreased
20 in the interest of making them the same for the other
21 species." I guess another way to say that, yes, there needs
22 to be a level playing field. But don't compromise food
23 safety on one species just in the interest of making them
24 equal. I think you know what we are talking about on that.

25 And finally, "Gather as much economic data on the

1 benefits to support the cost of the regulations." We
2 realize that's something you have to do as a part of your
3 process. But Dr. Englejohn explained that is the probably
4 the most difficult part of his rule-making process, is
5 getting useful -- getting information, especially useful
6 information, on the economic cost benefit analysis.

7 So that's a summary of our five recommendations on
8 how you handle this regulatory reform issue.

9 CHAIRMAN BILLY: One suggestion that I would make
10 is -- picks up on the suggestion that Cathy made earlier
11 regarding the previous recommendations. Maybe we could take
12 the title and turn it into a --

13 MR. LaFONTAINE: Sure.

14 CHAIRMAN BILLY: -- a sentence and join it with
15 number 1, which would be something like, and I don't want to
16 put words in the committee's mouth. So we would have to
17 sort this out. But, "The committee supports continuing
18 regulatory reform," and then add that to the first item or
19 something like that. That might -- something like --

20 MR. LaFONTAINE: I can do that if there is no
21 objection from anyone else or from the committee.

22 CHAIRMAN BILLY: And then these are sort of other
23 qualifications as I understand it. Yes, Caroline?

24 MS. SMITH DeWAAL: I didn't sit in this part of
25 the subcommittee meeting last night. But, I mean, we are

1 having a real problem with the Agency's willingness to move
2 forward on needed, urgently needed public health regulations
3 because they claim they don't have the risk assessment.

4 And I notice that in number 5, you say, "Gather
5 the economic data on benefits." But is there some statement
6 the committee could support on urging the Agency to move
7 forward on regulations -- or not to wait on urgent public
8 health issues for -- to complete lengthy risk assessments,
9 but to move forward with available public health data?
10 Because we frequently feel they have the data. We know the
11 impact of some of these.

12 But they are waiting for these very lengthy joint
13 risk assessments with -- you know, that multiple agencies
14 and numerous committees are involved with instead of moving
15 forward. So I want to get a sense of the committee because
16 that is something that could I think strengthen
17 significantly this recommendation.

18 MR. LaFONTAINE: I'll speak for myself personally,
19 and this is not speaking for the subcommittee. The
20 scientific way to evaluate the risk is -- to evaluate the
21 food safety impact is a risk assessment. And I personally
22 don't feel comfortable backing away from that.

23 MS. SMITH DeWAAL: Well, and we don't -- we
24 support risk assessment. The issue is it shouldn't stand in
25 the way of protecting public health. And, in fact, it

1 appears to be doing that today.

2 MR. LaFONTAINE: But you can't have it both ways.
3 You can't support risk assessment and say, by the way,
4 forget about risk assessment when I have -- make an
5 empirical judgement that this is a food safety hazard and we
6 can't wait. So either you buy into it or you don't.

7 MS. SMITH DeWAAL: They can target risk
8 assessments to -- for example, I mean, they are not doing a
9 risk assessment on Listeria in ready-to-eat meat products.
10 They are doing a risk -- a very broad risk assessment
11 dealing with all types of food products including frozen ice
12 cream.

13 And that risk assessment, while it may be
14 valuable, is not -- we don't need the answers to all those
15 questions to get the information they need to fulfill their
16 risk assessment requirements for rule-making on ready-to-eat
17 meat products. So what I would like to do is to add
18 language that says that risk assessments should be -- that
19 risk assessment should be targeted to address -- should be
20 targeted so that they don't delay urgently -- or rule-making
21 on public health matters.

22 MR. LaFONTAINE: I had the privilege to sit in on
23 a briefing in San Diego, the U.S. Animal Health Association
24 meeting on the current on Listeria. The status of the
25 current Listeria risk assessment by a gentleman from FDA,

1 they are doing it right. They have USDA, FDA, all parties
2 concerned, they are doing a comprehensive review because
3 just as important as maybe the ready-to-eat products is the
4 soft cheeses on the FDA side.

5 And we criticize frequently that we've got one
6 agency going off in one direction and another one in
7 another. They are looking at it in a comprehensive way,
8 very rapidly set aside some of the low risk item such, as
9 you mentioned, frozen deserts, and finding out for the high
10 risk products what is the risk and how do we -- you know,
11 what risk do we assign to them.

12 So you've got to let the process do it properly
13 and not jump in and tackle one entity and leave the rest
14 behind. So I will just shut up. I think they're doing it
15 right and they are working vigorously at it and making some
16 good progress.

17 MS. DONLEY: Earlier this week, a plane went down.
18 And hundreds of people were killed. And you better believe
19 the FAA is moving as we speak on reevaluating and looking at
20 putting in additional regulations or they are examining
21 everything.

22 We shouldn't have to wait for planes to go down
23 and we shouldn't have to wait for people to be getting sick
24 and die from eating foods. We recognize a problem. And we
25 shouldn't have to let the bodies pile up while we are

1 scrambling to accumulate data to support what is obviously -
2 - regulations that are obviously needed.

3 So maybe for the purposes of this, could we put
4 into some sort of language the need for, well, emergency --
5 an emergency response of some -- and I hate to do that. I
6 hate the thought that we have to have the disaster first and
7 then respond. But in this particular case, while we are
8 waiting for this very comprehensive risk assessment to be
9 completed, we've got a very identified segment that needs to
10 be addressed immediately.

11 So I am just thinking out loud here. Is there
12 something we can put together that says in a crisis
13 situation, that we need to move forward immediately with
14 regulations and get something moving while risk assessments
15 are being -- while it is in process, while the risk
16 assessment is in process? Is that kind of, Caroline, where
17 you are coming from, the rest of the committee, Mike?

18 CHAIRMAN BILLY: Okay. Well, let's start here and
19 work our way around. Collette?

20 MS. SCHULTZ KASTER: One of the benefits of risk
21 assessment is that in the process you try to identify
22 interventions and you weigh out the risk of something
23 occurring against your ability to control that. And just
24 the same as the airplane example, we have no idea why that
25 plane crashed. There is a million reasons.

1 There is a million things that could go wrong with
2 an airplane, just as in a biological system whether it is
3 the bacteria or the meat supply or the dairy supply. There
4 is a million things that can go wrong.

5 Science cannot just go out and blindly start to
6 try to identify all of the things that can go wrong with the
7 system. Therefore, we need to look at things that have
8 taken place, identify what broke down in the system, use
9 risk assessment, and then come up with interventions and
10 regulations in that order.

11 And I support doing it in that order, even though
12 unfortunately it takes longer than any of us want to --
13 nobody is comfortable with the situation, whether it is
14 regulatory, consumers or industry. I mean, if this was the
15 Nancy Donley Meat Plant, you would feel in a panic even
16 though you had a vested interest in continuing your
17 business. So nobody is comfortable with the time frame, but
18 it is a good scientific process. And we need to support
19 that.

20 CHAIRMAN BILLY: Jim?

21 MR. DENTON: I totally agree with what Dan and
22 Collette are saying. One of the issues that we face,
23 despite the fact that we think that what we are dealing with
24 here in this particular committee with meat and poultry
25 products are the most important things in the world, when we

1 look at a properly conducted risk assessment, we have to
2 look at the entire food supply.

3 As Dan very eloquently stated earlier, we are
4 trying to go about this in the most appropriate manner to
5 identify those very critical issues in all foods to make
6 sure that when we take the approach of trying to implement
7 regulations, that they are being done in the most
8 prioritized manner that we can possibly do that.

9 I think that if we divert or diverge from the
10 systematic process, it leads us to make very poor decisions
11 in many cases. I share the same concerns that both Caroline
12 and Nancy share. I just happen to believe that there is a
13 very systematic methodology that we have to use in order to
14 arrive at valid conclusions.

15 CHAIRMAN BILLY: Okay. Caroline?

16 MS. SMITH DeWAAL: I think Mike was first and then
17 I am.

18 CHAIRMAN BILLY: Okay. Mike?

19 MR. MAMMINGO: Move it on.

20 CHAIRMAN BILLY: Who wants the last words?

21 MS. SMITH DeWAAL: Anytime.

22 CHAIRMAN BILLY: Oh, no.

23 MS. SMITH DeWAAL: These aren't speculative risks.
24 This isn't something that is going to happen in the future.
25 We had an outbreak a year ago, almost a -- it was happening

1 actually a year ago right now that documented a significant
2 gap in the system.

3 This isn't potential hazards. This isn't
4 anticipating a plane going down in the future. It is
5 reacting to the plane having already gone down.

6 What we learned yesterday during this session is
7 that after a rule clears the Agency, there is still at least
8 a five-month time period for it to clear the rest of
9 government, for it to clear the USDA and then OMD. So
10 whatever day they start, you have to -- and whatever comment
11 period, whatever process they go through, we are talking
12 about a multi-year process.

13 My concern here is that a year after this
14 outbreak, we have no evidence from the Agency that they are
15 moving forward with rule-making. And their rationale is,
16 "We can't" -- "We don't have a risk assessment."

17 We know what the risks are. CDC just last month
18 published another report documenting what the risks are.
19 Listeria is responsible for about a quarter of the deaths
20 from known causes in the food supply from foodborne
21 illnesses. It's about a quarter of the deaths. And this is
22 a very significant hazard.

23 We know there are gaps. We know how to fill those
24 gaps. I mean, companies are already testing. We heard that
25 yesterday. I know NFPA recommends testing. I know the

1 government recommends testing. But nobody is requiring it.

2 And, in fact, the food lawyers for ten years have been
3 telling companies not to test.

4 And we need to fix this problem. We can't wait
5 for them to analyze every possible food source for Listeria
6 and put them on some kind of list. We already know what the
7 food is that's at the top of the list. And we would like to
8 figure out how to get FSIS to understand the urgency.

9 The language I am proposing simply says, "Risk
10 assessments should be targeted so as to not delay rule-
11 making on public health matters", so that we -- the Agency
12 understands that they shouldn't wait. They should move
13 forward. If this risk assessment the FDA is in charge of
14 isn't moving forward in a way that is going to facilitate
15 their rule-making, then they should do a more targeted rule-
16 making to facilitate it.

17 So I would like the committee's opinion on whether
18 we could add language that simply says, "Risk assessments
19 should be targeted so as to not delay rule-making on public
20 health matters", so that we're not in this situation where
21 we are sitting around waiting for a risk assessment that
22 really isn't going to answer the questions that they need
23 answered to proceed with their rule.

24 CHAIRMAN BILLY: Carol?

25 MS. TUCKER FOREMAN: Yes. I'm sorry. Mike, you

1 go right ahead.

2 CHAIRMAN BILLY: Oh, I'm sorry, Mike.

3 MR. MAMMINGO: That's all right.

4 CHAIRMAN BILLY: I thought you wanted the last
5 word. No, go ahead, Mike.

6 MR. MAMMINGO: Oh, I just would like to reiterate
7 what I said yesterday. Our friends, the scientists, you
8 cannot take them away from their discipline of risk
9 assessment. You can't change that. You can't abbreviate
10 it. And what we are talking about here is really two
11 things.

12 You are talking about a methodical, disciplined
13 approach to risk assessment and what my friends at the table
14 are concerned about has to do with the legal and political
15 and policy issues of doing something because you think it
16 needs to be done.

17 I think Carol did that with her roast beef thing.
18 I was out there in the sticks when that came to pass. She
19 decided she was going to do something. And she was willing
20 to stand up in front of God and everybody and fight it out
21 with them even if it went to court.

22 And those of us that have been in court a time or
23 two know that the courts are sympathetic to protecting the
24 public health. And even if you don't have a chapter and
25 verse rule but you can show you have taken an action to

1 protect the public health from a real hazard, the courts
2 have been sympathetic with that.

3 Now, that's -- and then fortunately -- or
4 fortunately, the fact of life is in your position and in
5 mine and those of the rest of us that are regulators, we are
6 confronted with situations that we have to make decisions
7 on. Are we going to take the scientific, methodical,
8 disciplined approach to address a problem over time or are
9 we going to take action right now, this minute because we
10 think we are compelled to by our conscience and by what we
11 know to be a fact?

12 And then, are we willing to stand up in front of
13 God and everybody and take the heat for it? In that respect
14 -- we kind of beat that term, "risk assessment", up an awful
15 lot because as, you know, there are risk assessments about
16 playing golf when it is lightning, and then there are risk
17 assessments that we need to do to determine what the effect
18 or what the possibilities of a hazard are over time and
19 what's appropriate to do scientifically to address that.

20 I have no problem with being on the hot seat. And
21 you certainly don't either. We can't escape it. But I
22 think we have two different issues here involving this fine
23 phrase of "risk assessment." What you are asking for is
24 what, for example, Carol did. That is aside from this
25 process called risk assessment.

1 And I don't have any advice for you, sir. That's
2 just the straw you've drawn in this business, to listen to
3 your constituents and determine when you are willing to go
4 out on a limb whether you have a specific rule behind you or
5 not to demand something. And I guess that's about all I
6 have to say about that.

7 CHAIRMAN BILLY: Carol?

8 MS. TUCKER FOREMAN: Well, you said it so well
9 that I really hesitate to say anything more. I just think,
10 you know, balance, balance, balance. You have to balance
11 the industry and the scientist's need to have as close to
12 the final answer, the best possible data against our need.

13 We are going to eat three times a day, please.
14 We've got to do it every day. We've got to have the best
15 protection that we can have based on the best information
16 that we have at a point where you need to take action. And
17 it is never the final answer in science.

18 And part of this is you've got to be prepared to
19 say, "Geez, you know, we were wrong about that one and we
20 are going to fix it now." Caroline I think is asking for a
21 balanced amendment to this recommendation. She is not
22 asking you to throw out risk assessment, but to target it so
23 that you move this process as quickly as possible.

24 My last comment on everything that we get into on
25 this discussion is that meat and poultry products are

1 different from all the other food out there. They come to
2 the public with an imprimatur of safety placed there by the
3 United States Government. I think it is an additional
4 responsibility on the government to act expeditiously to do
5 everything possible to make sure that they are, in fact,
6 safe.

7 You know what, sometimes that is going to place an
8 unnecessary burden on the industry. And it is the trade-off
9 for having your "Inspected and Approved" U.S. Department of
10 Agriculture sign on there.

11 CHAIRMAN BILLY: Okay. I have some suggested
12 wording for number 5 that I thought maybe I would put out
13 and maybe you can think about it while we get some
14 additional comments. I will read it twice so you can get --
15 sort of capture it.

16 And it would be, "The Agency is encouraged to
17 anticipate the need for risk assessments and cost benefit
18 analyses and gather scientific and economic data to support
19 the timely development of regulations." I will read it once
20 again. "The Agency is encouraged to anticipate the need for
21 risk assessments and cost benefit analyses and gather
22 scientific and economic data to support the timely
23 development of regulations."

24 MS. TUCKER FOREMAN: Tom?

25 CHAIRMAN BILLY: Yes.

1 MS. TUCKER FOREMAN: I don't think that quite does
2 it because I don't think you could have anticipated the
3 problem with Listeria monocytogenes. There are a lot of
4 ones that we could have anticipated, but I am not sure this
5 is one of them.

6 And I don't disagree with it, but I don't think it
7 is enough in this instance becomes sometimes -- you know, I
8 think Caroline's suggestion goes more to the point of target
9 the risk analysis to get the fastest possible action that is
10 reasonable.

11 CHAIRMAN BILLY: Dale?

12 MR. MORSE: I guess I think there has to be a
13 mechanism for some kind of emergency rule-making. I just
14 think in terms of our state basis, if we didn't have that
15 availability, just the two outbreaks this fall with the E.
16 coli, over 1,000 cases and linked to an unchlorinated water
17 supply and then finding out there were six other county
18 fairs that have the same systems with some of them having
19 events the next couple of weeks.

20 If we didn't have the mechanism for emergency --
21 if we had to go through a risk assessment to see what those
22 water supplies, were they potentially safe or with the West
23 Nile, if we had to go through the risk assessment to
24 evaluate the spring and for mosquitoes, then no action would
25 have been taken.

1 So at certainly the state level, I can't imagine
2 an agency without the ability to take some emergency
3 responses, even though they may not be perfect. And then we
4 modify it since I think the Agency needs to have the
5 availability of some kind of emergency response basis, an
6 interim -- even though then you can modify it through the
7 risk assessment, I don't see them as mutually exclusive. I
8 think you have to have both capabilities.

9 So I think there are times you have to go ahead
10 and act quite dramatically on the science available at that
11 time. And then you improve it with the risk assessment
12 later on.

13 MS. TUCKER FOREMAN: The Administrative Procedures
14 Act has provisions for emergency action. Have you ever
15 considered invoking the emergency provisions with regard to
16 Listeria?

17 CHAIRMAN BILLY: I don't believe we have had a
18 specific review of that done by general counsel. There has
19 been some limited discussion in the Agency about that
20 possibility, not just with regard to Listeria, but to deal
21 with some other problems, as well.

22 A compounding factor is the change -- the USDA
23 Reorganization Act which requires cost benefit analysis for
24 -- including risk assessment for rules. And so the question
25 is there is sort of a legal issue there that would have to

1 get sorted out in terms of whether that overrides or affects
2 the provisions in the Act you mentioned.

3 MS. TUCKER FOREMAN: I would be really surprised.
4 And I think that is worth finding out just for information.
5 There is no emergency provision in the USDA Reorganization
6 Act?

7 CHAIRMAN BILLY: I'm pretty sure there is no.

8 DR. WOTEKI: I would like to ask a question for
9 clarification. We started out this discussion about the
10 regulatory reforms that the Agency has underway. And this
11 set of recommendations addresses those regulatory
12 requirements.

13 We have spent quite a bit of time talking about
14 Listeria and the adequacy or the inadequacy of the Agency's
15 response to the outbreak a year ago. In the materials that
16 were provided to the committee and that we did discuss
17 yesterday there are descriptions of the actions that the
18 Agency undertook. I would refer the committee back to
19 those.

20 And at least during the part of the time that I
21 was here and in the morning, I heard a recommendation or
22 thought it was -- would be framed as a recommendation that
23 the Agency should consider -- should undertake labeling of
24 specific products. That was what I think you brought up,
25 Carol, yesterday morning.

1 Caroline, your comments today have gone to the
2 risk assessment for Listeria and your concerns about the
3 risk assessment that is now being undertaken, that it does
4 not address immediately the specific -- it's not
5 specifically addressed to L.m. in ready-to-eat meat and
6 poultry products.

7 And you see this as a serious deficiency. And you
8 would like to see -- you would like to see that risk
9 assessment speeded up so that rule-making for environmental
10 testing could proceed that would be based on that risk
11 assessment.

12 MS. TUCKER FOREMAN: And product testing.

13 DR. WOTEKI: Okay, and end-product testing. I
14 view these things that relate to these elements as really
15 being separate issues from this question of regulatory
16 reform as it was broadly put to the committee for review and
17 recommendations.

18 And I guess I am posing this as a question to the
19 committee: Do you see these as being two separate issues
20 which albeit are related because they certainly have
21 resource implications for the Agency, or do you see them as
22 being one? And if you do see them as being one, could you
23 explain to me why -- what that relationship is because I
24 don't see it.

25 MS. SMITH DeWAAL: Regulatory reform brings us for

1 those of us who were working in Washington at the time that
2 the Republicans took over Congress all of the issues of risk
3 assessment and cost benefit analysis. And those are
4 contained in the materials that we talked about yesterday on
5 this issue. It is also contained in number 5 of these
6 recommendations.

7 A problem that we are having with regulatory
8 issues with this Department is that we can't -- when we
9 approach the administrator and say, "Why are you not doing
10 more? Why have you not done this?", he says, "We do not
11 have a risk assessment. We do not have a cost benefit
12 analysis."

13 What we are hearing is that the Agency -- there
14 are tremendous hurdles in front of this Agency right now to
15 address acute public health problems. And so I think this
16 fits very well within the context of recommendations from
17 the committee to the Agency on how to improve their rule-
18 making.

19 And the language that I have talked about would be
20 added just to number 5. That does talk about -- I'm not --
21 and I do want to clarify something that you said. I am not
22 criticizing the risk assessment that is being done. What I
23 am saying is it is not the right risk assessment to support
24 the rule-making that we believe FSIS should be oriented
25 towards.

1 And so if that is what they are waiting for, if
2 that is what they are holding out and saying, "We don't have
3 a risk assessment; we have to wait", then what I think the
4 committee could do is to encourage them to make -- that risk
5 assessments should be targeted so as to not delay rule-
6 making on public health matters because that is exactly what
7 we are hearing: "We can't do it. We've got to wait. We've
8 got to delay."

9 And from a public standpoint, this is a huge
10 problem because if the Agency hasn't started the risk
11 assessment, if the Agency hasn't started the preliminary
12 steps to getting a proposed rule out, we are literally five
13 years away from having a regulation.

14 So we need to light a fire here and to get you
15 guys moving. And I think this language from the committee
16 would communicate this urgency. And I do think that it fits
17 in well with this whole topic.

18 CHAIRMAN BILLY: Yes, Jim?

19 MR. DENTON: I think I'm going to have to disagree
20 with Caroline on this one because as I understood the issue
21 of regulatory reform as it is outlined in the program and
22 with regard to the documents that this subcommittee was
23 given to work with, I think we are looking at developing a
24 system that is a lot more responsive.

25 As we look at the recommendations that the

1 subcommittee had, one of these is to incorporate scientific
2 data and cite the sources to support the rules as they are
3 proposed. It's part of that systematic orderly process.

4 I see the L.m. issue as a separate issue that is
5 what I would term -- and this may not be an appropriate term
6 -- but it's a rapid response to an emerging problem. Now,
7 that may or may not be able to be addressed in regulatory
8 reform. It's going to be hard enough to get regulatory
9 reform accomplished with regard to getting all of the inputs
10 into that -- in the appropriate time frame and in the
11 appropriate process.

12 I think that it still has to be systematic, has to
13 be orderly. We have to look at all the scientific
14 parameters with regard to the public health risks. That
15 starts at the very top of the list every time we are dealing
16 with one of these issues.

17 Now, what we are contending with is that right
18 now, we are trying to come to terms with Listeria
19 monocytogenes in a well documented outbreak. That is an
20 outcome. That's not a risk. I mean, that is a given
21 outcome that that situation occurred.

22 But what do we do if next month we have something
23 else that pops up as an emerging issue with regard to a
24 foodborne illness outbreak that is not L.m., but it's
25 something else? We have to have some orderly process, well

1 defined, in how we approach this.

2 I think that dealing with L.m., dealing with
3 Campylobacter, dealing with E. coli 0157:H7, all these
4 because they have been demonstrated to be the root cause of
5 some foodborne illness outbreaks, we would never be able to
6 prioritize which one of these that we were going to address
7 first if we didn't have that orderly process.

8 So I see the regulatory reform issue as one by
9 which we document everything that we are trying to do from
10 the standpoint of good, sound science and with regard to the
11 cost of getting it done. There may be a parallel system,
12 going back to what Mike said, about how we address these
13 emerging issues that come up that catch us by surprise
14 because they do catch us by surprise.

15 CHAIRMAN BILLY: Yes, Carol?

16 MS. TUCKER FOREMAN: Jim, let me disagree. I am
17 not addressing this in terms of Listeria monocytogenes or E.
18 coli 0157:H7. I have spent most of my life dealing with the
19 regulatory process. The regulatory process should not
20 unnecessarily get in the way of public -- of action to
21 protect public health.

22 The argument we are making is that the USDA Reform
23 Act of 1994 has been cited on several occasions as making it
24 difficult for the Agency to respond in a timely fashion.
25 What we are asking for -- and I might suggest changing

1 Caroline's language to say that, "The risk assessment should
2 be targeted so as to encourage the most rapid response
3 appropriate."

4 What we are asking for here is that you not use
5 regulatory reform to slow action, but to use regulatory
6 reform to get us all deliberate speed. And the requirements
7 imposed on the Agency from outside have tended to slow that
8 action. We are not asking that you not do risk assessment.
9 We are just asking that you target risk assessment so the
10 Agency can act as quickly as possible.

11 CHAIRMAN BILLY: It sounds like my knowledge.
12 Nancy?

13 MS. DONLEY: I've been flipping through a couple
14 of these pages here. And something just kind of came to
15 light. And I think, Dr. Woteki, it kind of comes with your
16 point here.

17 Those of us that were back when the whole
18 regulatory reform issue came up, that it sets off certain,
19 you know, buzzers in our heads and all. I think here the
20 Agency is referring to regulatory reform -- correct me if I
21 am wrong -- as reforming currently regulations.

22 MR. LaFONTAINE: That's correct.

23 MS. DONLEY: Not the regulatory process. So we've
24 got kind of two -- we do have two separate things here. But
25 it doesn't at all change or minimize this other conversation

1 that we are having.

2 But it is -- and what it kind of jumps out at me
3 as saying is why are we working on reforming the current
4 regulations. We are arranging the duck chairs while the
5 ship is going down because we are removing these particular
6 regulations and not moving forward on things that need
7 immediate attention like the Listeria problem.

8 So it is -- we may have a little bit of problem
9 with semantics here. But I think the conversation has been
10 very, very useful as far as the regulatory process that the
11 Agency uses needs to be reformed.

12 CHAIRMAN BILLY: Yes, Rosemary?

13 MS. MUCKLOW: The very large outbreak of Listeria
14 monocytogenes, whether one likes it or not, was a pretty
15 unique situation to a specific firm for very specific
16 conditions that are highly unlikely to be repeated in any
17 other particular location.

18 The knowledge of what occurred in that particular
19 facility is well known and heeded by the industry across the
20 board. It doesn't -- and the industry has learned about
21 those circumstances and they are very unlikely to occur
22 again on a matching process.

23 Listeria monocytogenes is a serious problem.
24 Meat, poultry, lots of other food products. And having an
25 organized risk assessment and evaluating it and looking at

1 it across the board -- now, maybe in wisdom, in hindsight,
2 it should have been done in the late 1980s following the
3 huge outbreak in Los Angeles with the soft Mexican cheese.
4 We are doing it in the late '90s instead of in the late
5 '80s.

6 It is being done using the best resources that the
7 greatest nation in the world has available to bring to bear
8 on that subject. And that process needs to be completed.
9 I would suggest that Food Safety Inspection Service is not
10 like Nero watching Rome burn, that the Agency has done quite
11 a few things, that the industry has done quite a few things
12 to try to correct the concerns that are out there.

13 Our own organization, I think I mentioned this
14 yesterday, with others that are in attendance here today
15 developed guidance materials for the industry. You have
16 helped us to disseminate them. Maybe they can even be
17 better helped through better distribution.

18 I was talking to somebody today telling them one
19 of the state friends -- I think it was Terri, wasn't it? --
20 and telling him where to go look on our website because he
21 needs to get them out to the small plants in his state.

22 Maybe we need to renew our efforts to disseminate
23 the helpful information to see what we can all do
24 cooperatively to reduce it because it is people and the
25 companies that employ those people that are going to help to

1 make sure that we minimize and make food safer.

2 Regulations of and on themselves don't make the
3 food any safer. And we have already been through that this
4 morning when we talked about taking our performance
5 standards and making them readily available. It is when
6 people read that, when the small and large companies read
7 that, when we give them helpful information to help make
8 food safer for people that the food thus becomes safer.

9 The Agency is correct to wait for its risk
10 assessment before it moves forward in a process. If, indeed
11 -- and I am not an expert in the emergency regulations --
12 if, indeed, this is truly of an emergency nature that you
13 feel that you have to act, you will take the same kind of
14 authority and the same kind of heat that Carol Foreman took
15 in the late 1980s when she saw that we needed to change a
16 regulation on roast beef.

17 Now, we may all fight and kick and scream. But as
18 Mike Mammigo has made it very clear today, when you go
19 before a judge and you tell him that this is the body of
20 scientific opinion that had you take this course of action,
21 we all know as an industry that you are likely to prevail
22 unless you have been highly capricious and we can undermine
23 that argument. You will most likely prevail.

24 I think, therefore, that your position is correct.
25 I will go away from this meeting, as I am sure people in

1 the audience will, and renew our efforts, not that we
2 haven't put them out there earlier this year, but renew our
3 efforts to get firms and people in this industry and beyond
4 this industry to understand that this pathogen is a serious
5 problem.

6 We are already at a zero tolerance for it on
7 product. It is a pathogen of foodborne significance that is
8 not permitted on a cooked, ready-to-eat product.

9 There are enormous efforts out there to make sure
10 that the food we are putting out is safe because the
11 companies that I come to this table to represent are in the
12 business of selling food every day, every week and every
13 year. And if they don't make it safe, they won't be in
14 business tomorrow.

15 CHAIRMAN BILLY: Okay. Gary?

16 MR. WEBER: Rosemary, just before you made that
17 statement, I was going to say I know that there is an
18 enormous amount of effort going on out there. And no one
19 would want to wait for a regulation to be promulgated when
20 there are some very fundamental principles that can be
21 applied today.

22 But I have seen -- and I have worked for USDA for
23 over ten years -- and I have seen that routinely, that when
24 there are problems emerging, why, I don't know, people don't
25 take the initiative and get out and talk and raise the

1 awareness of it is beyond me because you can see it coming.

2 And -- but companies -- a company went out of
3 business over this essentially. Change is occurring. And
4 one thing the Agency could certainly do, and it sounds like,
5 Rosemary, you've already initiated, is get the word out to
6 people. And the principles are there. If that's not being
7 done, Caroline, then that is where there is a huge problem
8 because every QA person out there should know what needs to
9 be done. And I would argue they are going to do it.

10 We have had huge success in anti-battic residue
11 prevention and elimination not because of regulations, but
12 because of commitment of the industry and veterinarians and
13 animal scientists and education of every single sector.

14 But in this arena, what is going out to extension
15 both at the end of the processing side and to the consumer's
16 side on this? I don't know who is initiating that. That
17 should be something that you should be supporting and
18 pushing hard as a priority.

19 I am reminded many years ago -- and the reason I
20 support the risk assessment is a family member was the
21 second in command of a large dairy operation, processing
22 operation here in Maryland -- or in Maryland.

23 And he came to our house one evening and he said,
24 "Don't buy any of our milk." And I said, "Why?" And he
25 said, "We have a huge Listeria problem. We don't know why.

1 But it's a big problem." And I knew where their milk went.

2 And it just so happened it was a place I usually bought my
3 milk. And I didn't.

4 But here the QA people were trying to solve it.
5 But in the absence of having a structured risk analysis,
6 risk assessment where these people were aware -- and they
7 solved it themselves. But what worries me I think,
8 Caroline, we have to have stuff on every one of these
9 fronts.

10 We've got to have -- know where this thing is
11 coming from or in five years, we will have another food
12 source cause the problem. And we better know that or we're
13 not being responsible.

14 We have tons of education material that can get
15 out there today. And I am not averse to pushing that side
16 of the regulations. But I think if you don't hit every one
17 of these simultaneously and in a multi-faceted approach, we
18 are not doing our job.

19 MS. TUCKER FOREMAN: How about putting a label on
20 all the packages that say, "Cooked, ready-to-eat; good if
21 used by -- USDA Inspected?" How about doing that. Would
22 you support that, Gary?

23 MR. WEBER: I think people need to do what --
24 consumer education is critical.

25 MS. TUCKER FOREMAN: No. Would you support having

1 USDA require as a label until we get the risk assessment
2 finished as an interim step?

3 MR. WEBER: I think that makes a lot of sense. I
4 don't know whether consumers would adhere to that. I would
5 like to, you know, review that and see if that's effective -
6 -

7 MS. TUCKER FOREMAN: Well, at least it's a step.

8 MR. WEBER: But it's a step.

9 MS. TUCKER FOREMAN: It's a step.

10 MS. SMITH DeWAAL: Can I just --

11 MS. TUCKER FOREMAN: But, you know, we are off the
12 risk assessment.

13 CHAIRMAN BILLY: I want to get you back on it. We
14 are running out of time. I understand. Caroline --

15 MS. SMITH DeWAAL: Thank you.

16 CHAIRMAN BILLY: -- for a final word on this.
17 Then we are going to break for lunch.

18 MS. SMITH DeWAAL: Okay. Thank you. I just want
19 to note that what the subcommittee put together clearly
20 anticipates future regulations. "Incorporate scientific
21 data and cite supports to support rules as they are
22 proposed." Regulation should strive to improve food safety,
23 gather economic data on benefits to support cost of
24 regulations.

25 We are talking generally about regulatory issues

1 here. I believe that without a mention of the problem that
2 we are seeing with risk assessment, this set of
3 recommendations is incomplete.

4 I think Gary's point and Rosemary's point is
5 excellent. And, gosh, get out there and let's solve this
6 problem. I don't want to wait for a reg. either. But we --
7 it takes one company not doing the voluntary program. It
8 takes one milk company that has people out there warning
9 people not to buy their products because they are still
10 selling them even though they know there is a problem.

11 We need a level playing field. We need everyone
12 in the industry to know what is expected of them. And that
13 is why we need regulations. I think this document is
14 incomplete. I do agree with Carol that the language should
15 be, "Risk assessments should be targeted so as to encourage
16 the most rapid response to public health matters." And
17 that's my last word.

18 CHAIRMAN BILLY: We are going to break for lunch.
19 But just before we do, my suggestion is that, Dan, you try
20 to capture this language and then have some discussions.
21 And then we have our remaining issues discussion at 4:00, we
22 will come back and look at what you've come up. And if
23 there is acceptance, that's great. And we can bring it to
24 closure. Rosemary?

25 MS. MUCKLOW: Before you break for lunch, you have

1 Phil Derfler coming over. Is he going to talk about the
2 action plan or inspection shortage? I guess the action
3 plan.

4 Could he also tell us your current situation as
5 far as the retail exemption is concerned? You know, there
6 was the Honey-baked Ham decision and so on. It is a matter
7 of interest to me and to quite a few people in the audience
8 here. I'm sure if he could give us a short update on that,
9 we would like to know.

10 CHAIRMAN BILLY: Yes, we will ask him. Yes.

11 MS. MUCKLOW: Thank you.

12 CHAIRMAN BILLY: Okay. So we will break and be
13 back at 1:15.

14 (Whereupon, at 12:16 p.m., the meeting was
15 recessed to reconvene at 1:15 p.m., this same day.)

16 //

17 //

18 //

19 //

20 //

21 //

22 //

23 //

24 //

25 //

A F T E R N O O N S E S S I O N

(1:18 p.m.)

1
2
3 CHAIRMAN BILLY: My intent is to initially kick
4 off the discussion this afternoon in terms of the Agency
5 briefings with the subject of NRs which we talked about
6 yesterday and were provided some data from Carol Foreman,
7 and thought that what we would do is share with the
8 committee some basic information about NRs and then respond
9 to the data that has been made available and make some
10 suggestions about where do we go from here.

11 So I have asked Dr. Mark Mina to lead this
12 discussion. And he is prepared to do that at this time. So
13 Mark?

14 DR. MINA: Okay. Good afternoon. It is a
15 pleasure to be here this afternoon. As Tom indicated, I
16 gave you an overview on NRs and also particularly I want to
17 talk a little bit about how they are used in the plant
18 setting and what are the plant responsibilities versus the
19 inspector responsibility, and how we use that data in taking
20 regulatory actions at future steps in the process.

21 This is the new NR -- it's kind of trick to use
22 the microphone and --

23 CHAIRMAN BILLY: Why don't you take it out of the
24 stand.

25 DR. MINA: I will take it out. This is the new NR

1 that we are using in HACCP plants. And I just want to point
2 -- talk about significant blocks on the form. I am not
3 going to go through the whole form in great detail. But I
4 want you to pay particular attention to these two boxes
5 here, "Food Safety" and "Other Consumer Protection."

6 So if a violation is found or identified, the
7 inspector is required to classify it as either food
8 safety or other consumer protection. Block 9 is also of
9 a particular interest to us because we need to classify the
10 deficiency in several categories and use that as an
11 indicator for HACCP effectiveness in that plant.

12 So if we go through that block 9, it is broken
13 down into two major categories. One is "SSOP", and that is
14 in Section B, and "HACCP." So the deficiency is either SSOP
15 or HACCP. And if you break it down further, it is either
16 monitoring, corrective action, record-keeping or
17 implementation. And the other difference on HACCP is plant
18 verification versus implementation.

19 And then we also check the product or facility or
20 E. coli, E. coli testing. And we also break the product
21 whether it is economic, misbranding or protocol. And,
22 obviously, on facilities as you see on the form, "Lightning
23 structure outside premises" and "Produce base."

24 Let me explain that product base is probably a
25 misnomer. But the product base indicates that we have a

1 deficiency that is not on direct product contact surface.

2 It could be on a table leg or on the wall. But it is not on
3 the product contact surface.

4 We have asked our inspectors and instructed them
5 to fill in block number 10 which is a description of a
6 noncompliance. We want it to be very explicit on what they
7 found.

8

9 CHAIRMAN BILLY: Mark?

10 DR. MINA: Yes? Okay, thank you. So we want the
11 inspector to be very explicit on the description of the
12 noncompliance. And also they will sign it. I want to go
13 back up here that we notify plant management and we put the
14 name of the person that we notified.

15 Plant management response, there are two boxes.
16 One is "Immediate Action", what they are going to do right
17 now to correct this deficiency. And particularly, if there
18 is product contamination, that is dealt with immediately.
19 That doesn't happen an hour later or two hours later. We
20 take immediate control of that product. And they need to
21 correct that problem and either make that product wholesome
22 or dispose of it otherwise.

23 On some other deficiencies -- and that doesn't
24 show very clearly on this form unfortunately. It is kind of
25 hard to fit it in the frame. But "Further Plant Actions",

1 long-term actions, what they are going to do to fix this
2 problem permanently. So it is not just correcting the
3 problem, what you are going to do to prevent it. That is
4 the whole basis for HACCP, is the prevention system.

5 See, we are moving from a system where the
6 inspector has identified deficiencies and the plant reacted
7 to those deficiencies. We are moving to a system that the
8 inspector responsibility is to evaluate the effectiveness of
9 HACCP implementation in that plant; how effective are
10 management controls.

11 And it's not to be pointing deficiencies for. We
12 will make the determination whether the HACCP system is
13 effective or inadequate based on some of those trend
14 indicators. And I am going to explain that a little bit
15 later.

16 So we make an overview. We evaluate the whole
17 system, not on a deficiency-by-deficiency. Any questions on
18 the form?

19 MS. TUCKER FOREMAN: Would you -- could we get a
20 Xerox copy of it?

21 DR. MINA: Sure, sure.

22 MS. SMITH DeWAAL: For the E. coli section, that's
23 just the E. coli sampling?

24 DR. MINA: Yes.

25 MS. SMITH DeWAAL: And just have "Other." So all

1 the descriptives there would be in box 10 as to why that was
2 the --

3 DR. MINA: Right. Well, there are two parts to
4 the E. coli box. One is if they have a program; and if they
5 do have a program, are they following their program. So
6 obviously, if they don't have program to test, we suspended
7 the operation in those plants. And so if we have
8 implementation problems, that's what goes in here. And the
9 description tells us exactly what the problem is and what we
10 need to correct it. Okay?

11 MS. DONLEY: Excuse me. Can I ask one question?
12 Is a separate report filled out for each individual problem
13 that is found or is it something that goes through the day
14 and can multiple violations, if you will, be on one report?

15 DR. MINA: On the schedule -- every inspector gets
16 a schedule. And that directs them on which activities they
17 need to conduct. On that schedule, they mark whether that
18 activity was acceptable or unacceptable. And they use the
19 trend indicator so we know what are the areas that they are
20 talking about. The full description of the deficiency stays
21 on the NR.

22 CHAIRMAN BILLY: But I think the question, Mark,
23 is if you are doing that and you perform one task and you
24 find a deficiency, you fill out a form.

25 DR. MINA: That's correct.

1 CHAIRMAN BILLY: You find another deficiency on
2 another task, do you fill out another form?

3 DR. MINA: That's correct.

4 CHAIRMAN BILLY: So each and every deficiency you
5 might find --

6 DR. MINA: Right.

7 CHAIRMAN BILLY: -- in a plant is tied to a form.

8 MS. DONLEY: It's a separate form.

9 DR. MINA: That's right.

10 CHAIRMAN BILLY: It requires reaction from the
11 plant, is that correct?

12 DR. MINA: That's correct.

13 MS. SCHULTZ KASTER: Yes, but I thought that as we
14 started, that inspectors, for example, after pre-op were
15 consolidating their observations. So one inspector might
16 find a deficiency. Another inspector, although it is the
17 same activity across the inspectors, then there would be
18 different sets of observations on the same NR.

19 DR. MINA: That happens with SSOPs. And we take
20 the most critical ones.

21 MS. SCHULTZ KASTER: So they could list all of
22 them --

23 DR. MINA: Yes.

24 MS. SCHULTZ KASTER: -- to communicate them to the
25 plant and then use the trend indicator for the --

1 DR. MINA: Right. I will show you the trend
2 analysis in a minute so you can see where those fit.

3 CHAIRMAN BILLY: But there still would be
4 individual identification of each --

5 DR. MINA: Yes, of the --

6 CHAIRMAN BILLY: -- non-conformance as they are
7 observed. And then they would be consolidated if they apply
8 to sanitation, is what I am hearing, in terms of informing
9 the plant.

10 DR. MINA: The individual record is maintained.
11 It is not thrown away or destroyed. The individual record
12 is just compiling the data so we won't have a whole lot of
13 paper to deal with. Okay.

14 Let me move a little bit into the trend analysis.
15 Just before we go into trend analysis, I think it is
16 important to kind of at least understand this chart here.
17 And as we talked on the NRs, you recall that we had an SSOP
18 section. And that is broken down into monitor and
19 productive action record-keeping and implementation.

20 And there is a letter attached to that. The same
21 with HACCP, economics, E. coli and other inspection
22 requirements. I will keep that close by so we can refer to
23 those letters. I don't know if you can see that.

24 CHAIRMAN BILLY: Can you slide it up a little bit,
25 Mark, so it is up on the -- yes, up further, even further,

1 further up. Oh, that's it. That's better.

2 DR. MINA: Okay. This is some of your plant HACCP
3 trend indicator by activity codes. 01 is for SSOP and
4 sanitation. And that is a produce shift. And we have 122
5 tasks scheduled and 22 unscheduled tasks. And the number of
6 tasks not performed is 0.02 percent.

7 "No data" means that maybe the task was performed,
8 but they didn't input that into the computer. So we didn't
9 receive any feedback. So 38, we didn't get any feedback in
10 the computer for summaries and performed 66 tasks and two
11 non-performed, two total not performed. If you recall this
12 chart, that's an important part I think that I want to
13 emphasize.

14 Keep that in mind. I can put the two charts side-
15 by-side. But you see a number under C for monitoring for
16 SSOPs. So that means we found a discrepancy or a deficiency
17 or a violation in monitoring out CCPs.

18 Okay. In this case, we found 37 of those meaning
19 the plant either did not record their finding on their
20 record or did not monitor it. And go on across for
21 sanitation, you have to keep in mind these.

22 DR. WOTEKI: So SSOPs are C, D, E and F.

23 MS. ROTH: C, D, E and O.

24 DR. MINA: C, D, E and O, yes. They are not in
25 alphabetical order. Okay, see, that's what is a little bit

1 confusing I think. They are not in alphabetical order.

2 CHAIRMAN BILLY: Now, Mark, these are summary data
3 for beginning, it says, calendar year '98, first quarter and
4 ending calendar year '99, third quarter. So this is one and
5 three quarter years worth of data.

6 MS. ROTH: This is an actual plant.

7 DR. MINA: An actual plant, that is an actual
8 plant. This is an actual plant. And also --

9 CHAIRMAN BILLY: So that's a year and three
10 quarters worth of data.

11 DR. MINA: Yes.

12 CHAIRMAN BILLY: I just wanted to --

13 DR. MINA: Right.

14 CHAIRMAN BILLY: And what each line like the O-1,
15 the first line there --

16 DR. MINA: That's an indication. That goes
17 across.

18 CHAIRMAN BILLY: Okay.

19 DR. MINA: And that's for first shift and second
20 shift. We are capturing also the second shift. That's for
21 the whole plant on the SSOP.

22 CHAIRMAN BILLY: Okay. So that means -- let me
23 make sure I am clear. So like the first line across, the O-
24 1, Shift 1, and there was somebody scheduled and
25 unscheduled. Unscheduled I assume means the inspector --

1 DR. MINA: Yes.

2 CHAIRMAN BILLY: -- happened to see something and
3 has the freedom to check that out and add that to their
4 work.

5 DR. MINA: Or even without seeing something, they
6 decide to go to an area that was not schedule for a reason.

7 CHAIRMAN BILLY: Okay.

8 DR. MINA: And they have the freedom to do that.

9 CHAIRMAN BILLY: Okay. And then the first number
10 there, the C, the 37, that means there was 37 instances in
11 the year and three quarters where an NR was filled out for
12 an observation that the inspector made. And that whole
13 process occurred where the plant was notified, the action
14 required, the plant responded immediately, and then also
15 identified what corrective measures they would do for the
16 longer haul. That's how this would work.

17 So 37 instances of that occurred in this year and
18 three quarters for that plant for this particular item.
19 Okay. I've got it.

20 DR. MINA: That's correct.

21 MS. MUCKLOW: That's 37 instances of
22 noncomformance.

23 CHAIRMAN BILLY: Yes.

24 DR. MINA: That's correct.

25 MS. MUCKLOW: Okay. And how many were there, 140

1 or -- I can't see the number from here.

2 DR. MINA: Sixty-six were performed. We scheduled
3 122 and -- 122 were scheduled, 22 were unscheduled, and then
4 66 were performed.

5 MS. MUCKLOW: And 37 didn't make the grade.

6 DR. MINA: That's monitoring for SSOP, SSOP
7 monitored. That's how you read that chart. Yes?

8 MS. DONLEY: Does -- excuse me, Mark. Does -- so
9 out of -- roughly 50 percent of the scheduled inspection
10 functions were performed?

11 DR. MINA: Yes.

12 MS. DONLEY: Only 50 percent?

13 DR. MINA: When they say, "Number not performed",
14 we have the total not performed is two. That, obviously,
15 does not add up. Those numbers are not adding up. That is
16 why everybody is struggling with it.

17 MS. DONLEY: See, because I am seeing it is --
18 boy, do I need new glasses.

19 DR. MINA: Don't we all.

20 MS. MUCKLOW: He needs a new chart.

21 MS. DONLEY: Is that 66 performed out of 122
22 scheduled?

23 DR. MINA: That's correct.

24 MS. SMITH DeWAAL: And "No data" means you don't
25 know whether it is performed or not?

1 DR. MINA: Yes. We didn't get feedback from the
2 inspector or through the computer, the management assistant
3 person. So I don't know why. And you see those not very
4 frequently because the rest of the column you probably see a
5 lot of zeros. So all the input then in the computer --

6 CHAIRMAN BILLY: So this would have --

7 DR. MINA: -- and as you know, we can have a
8 computer glitch.

9 CHAIRMAN BILLY: So there are seven quarters here.

10 DR. MINA: Right.

11 CHAIRMAN BILLY: There are seven ones in a row.
12 So there are seven quarters covered by this data. So I
13 assume that's the first quarter of '98. Then the second
14 quarter, third quarter and so forth --

15 DR. MINA: Right.

16 CHAIRMAN BILLY: -- for the first shift.

17 MS. DONLEY: And does that mean that of that 122
18 functions or whatever -- again, I'm sorry, I can't -- I am
19 going to use round numbers -- 120, 60 were performed of the
20 scheduled. So that is 50 percent of the scheduled
21 inspection tasks were done and 50 percent that they
22 performed, there were 37 or another roughly more than 50
23 percent NRs issued.

24 CHAIRMAN BILLY: So this would have been the
25 period where the -- on January 26th of this first quarter

1 was when this plant implemented HACCP. So for 26 -- 25
2 days, it was under the old system. And then the rest of the
3 quarter, it was under the new system. And it looks like
4 there were adjustments in the process where the plant and/or
5 the inspector were getting comfortable with the NRs, which
6 were new, and carrying out the HACCP assignments.

7 So if you look then at the next quarter, you had
8 130 scheduled. You had 28 -- 95 were performed. Then the
9 next quarter is 132, 111, then 94 and 89. So it looks to me
10 like there were adjustments where the inspectors then were
11 able to come close to carrying out the number of scheduled
12 tasks, plus carrying out unscheduled, as well. So that's
13 the trend. I assume that's probably what you were getting
14 at --

15 DR. MINA: Right, that's the trend.

16 CHAIRMAN BILLY: -- in terms of -- yes?

17 MS. TUCKER FOREMAN: You know, this is not easy to
18 understand. And it is impossible because I can't see it.

19 CHAIRMAN BILLY: Okay.

20 MS. TUCKER FOREMAN: And so just you have to
21 understand that the explanation here is going to be
22 insufficient because I don't have a piece of paper that
23 let's me know what you are saying, Mark. And I can't read
24 your slide.

25 DR. MINA: How we can help you, Carol -- I can

1 appreciate your concern.

2 MS. TUCKER FOREMAN: You can give us some copies
3 of it. And maybe when I go home tonight, I will be able to
4 figure it out.

5 DR. MINA: No problem. No problem.

6 MS. TUCKER FOREMAN: But we are going to suffer a
7 lack of clarity through this meeting --

8 DR. MINA: Okay.

9 MS. TUCKER FOREMAN: -- because I can't read it.

10 DR. MINA: Okay. The purpose of our discussion
11 here is to give you an overview of how the system works and
12 what kind of data that we have available and how we use that
13 data in making decisions at the in-plant level and also at
14 headquarters. That's the purpose of the presentation. Yes?

15 MS. SCHULTZ KASTER: I think Carol's point a
16 little bit is what this illustrates is we are discussing
17 something that wasn't on the agenda like Katie talked about
18 all morning. It is something that we don't have materials
19 in-hand or nobody was prepared to discuss because it was a
20 recent addition to the agenda.

21 I would kind of question whether or not we would
22 want to hand out this specific sheet. I mean, is that what
23 you are comfortable doing, handing out one plant's specific
24 sheet to the group or would it be better to hand out an
25 example with explanation --

1 DR. MINA: Well, there is no plant number on that
2 sheet.

3 MS. SCHULTZ KASTER: I understand that. But it is
4 still somebody's information. So I think it just speaks to
5 maybe that if this is important, we put it on the agenda for
6 a future topic. Everybody prepares in an appropriate
7 fashion. And then we have a detailed discussion. Thank
8 you.

9 CHAIRMAN BILLY: Well, one of the suggestions that
10 the Agency was going to make and I can make it now in light
11 of this discussion is that we have the Agency prepare a
12 report for 1998 and pull the data together in a report form
13 and summarize it and explain it and provide that to the
14 committee in advance of the next meeting, and then have this
15 item on the agenda with adequate time to make sure everyone
16 understands it. And the committee can react to the data and
17 information that is provided. So that's --

18 MS. TUCKER FOREMAN: That would be fine by me. I
19 would like to ask a couple of questions based on the
20 material that you passed out yesterday that is from the
21 website. That -- on page 7 of that material, it -- first of
22 all --

23 CHAIRMAN BILLY: That's the enforcement report,
24 right?

25 MS. TUCKER FOREMAN: Yes, Peer Enforcement Report.

1 But starting on page 5, it describes NRs and appeals from
2 them. And then on page 7, it says that the NRs issued April
3 1 to June 30th, 1999 -- that's one quarter -- were 29,354.
4 So we might be looking at not very many up there. But for
5 all the plants involved in HACCP in that quarter, there were
6 29,354 out of -- arising from 766,433 inspection tasks in
7 HACCP plants.

8 The 1998 data for NRs, obviously, you would expect
9 them to be higher in 1999 because all those new plants came
10 on. But there were in the second quarter of 1998, 16,979
11 NRs; in the third quarter of '98, 18,745; in the fourth
12 quarter, 18,944; the first quarter of '99, 28,995.

13 CHAIRMAN BILLY: What page are you on again?

14 MS. TUCKER FOREMAN: Well, that I am using from
15 earlier enforcement reports.

16 CHAIRMAN BILLY: Oh, all right.

17 MS. TUCKER FOREMAN: The only data that are on
18 page 7 are for the second quarter of 1999. I presume -- but
19 I have a series of questions based on that. One is I
20 presume that among all the plants involved in HACCP, the NRs
21 are not evenly distributed.

22 DR. MINA: Yes, that's a correct assumption.

23 MS. TUCKER FOREMAN: There are some plants that
24 rarely have NRs.

25 DR. MINA: That's correct.

1 MS. TUCKER FOREMAN: There are some plants that
2 have lots of NRs.

3 DR. MINA: That's correct.

4 MS. TUCKER FOREMAN: Consistently. What action
5 does the Department take to deal with those people who
6 consistently have large numbers of NRs?

7 DR. MINA: If we go back to these classification
8 categories, it depends on the seriousness of those NRs. And
9 we have taken also our enforcement report which reflects
10 strong enforcement action that we took in many, many plants
11 because of the significance of our findings.

12 You can have a large number -- the number by
13 itself does not indicate a problem per se. But it raises a
14 flag. And we look into it very closely to make sure that
15 these numbers are not in those categories that we are very
16 concerned about. It's like HACCP implementation and product
17 conditions. If the product is shipped outside the plant
18 that is not wholesome and is not in an acceptable manner,
19 that operation is suspended right then and there.

20 MS. TUCKER FOREMAN: Can you tell me how many
21 times --

22 CHAIRMAN BILLY: Well, let me add to that a little
23 bit. This is where, unfortunately, you can't see the chart
24 -- but this is where this chart is informative, because part
25 of what we do is follow the trend. So while we are aware

1 that in each instance when an NR is issued the plant is
2 expected to respond to that -- if it's a product
3 contamination, immediately; if it's not, then within a short
4 time, and both correct the immediate situation and then make
5 a change that prevents that from happening again.

6 So then we follow the trend. And it's not just in
7 these general categories. We will look specifically within
8 column C or D or O or whatever and say is there a pattern
9 here where notwithstanding the action that the plant has
10 taken to deal with the immediate situation, their corrective
11 action to prevent it in the future is there is a pattern of
12 failure of that fixing the problem on a permanent basis.

13 Then that starts to -- that forms the basis for
14 taking further action than what is immediately done in the
15 plant. So it is a judgement that involves the inspector.
16 If the inspector believes that there is a repetitive failure
17 in a particular area of noncompliance, then the inspector
18 notifies his or her supervisor. And then a compliance
19 officer is brought in.

20 And then that forms the basis for regulatory
21 action that could be withholding the marks, that kind of
22 thing. So there is a whole process that is tied to the
23 trends that are occurring in the specific areas within these
24 columns in terms of what's going on.

25 So if there are failures but they are in different

1 areas, you know, and they only occur once in each of the
2 different areas and then they are corrected, that is
3 different than a repetitive failure in the same area and the
4 plant is not, you know, preventing it from happening in the
5 future. So there is -- that's how that works.

6 So it is tied to -- it is an attempt by the Agency
7 to move from the old process of relying primarily on "just
8 get the problem fixed right now and if it happens again,
9 then get that problem fixed again and then again" to moving
10 to the process that you are dealing with. And if there are
11 repetitive failures, then taking more formal action against
12 the plant with regard to sanitation or HACCP. And that's a
13 basic -- that's basically how this works.

14 MS. TUCKER FOREMAN: I have two -- can I go on?

15 CHAIRMAN BILLY: Yes.

16 MS. TUCKER FOREMAN: The -- so a large number of
17 NRs reported at one plant might be the same problem
18 happening again and again and again. It might be a labeling
19 failure that just happens every single day.

20 In the data that the government accountability
21 project got under the Freedom of Information Act from the
22 Department, it showed a number of plants with over 1,000
23 total NRs in a period of three quarters in 1998. In a
24 number of cases, there is no report of any enforcement
25 action being taken. Why would that be?

1 CHAIRMAN BILLY: Well, I don't -- there was action
2 take on each NR.

3 MS. TUCKER FOREMAN: But, Tom, there were lots of
4 plants that had one, two or three during three quarters of
5 the year and there are lots of them -- there are a fair
6 number that had 800, 900 and 1,000. But they don't show any
7 enforcement action being taken against the plant. If you
8 have the same error repeated day after day, why should Zacki
9 have to compete with somebody who screws up every day if
10 they do it right every day?

11 CHAIRMAN BILLY: Well, I think that's why you need
12 a more detailed breakdown of these data to show whether, in
13 fact, that is the case or not. And, you know, provide
14 examples and an analysis that shows whether, in fact, even
15 if there is a large number, are they repetitive in the same
16 area; do they relate to food safety; and what was done about
17 it.

18 Beyond the action that the inspector or team of
19 inspectors at the plant take, then there is a weekly meeting
20 where they talk to the plant manager about the overall
21 situation. And then the circuit supervisor plays a role in
22 terms of monitoring the trends, and the district manager.
23 And there is a whole process there that is looking at the
24 situation on that basis.

25 So it's -- while in some instances numbers are

1 important and should trigger action -- further action by the
2 Agency, that turns on the specifics of what the NRs were
3 issued for and, you know, what part of the plants and so
4 forth.

5 In a great big plant with multiple shifts, you can
6 have a lot of things happening. So you also arguably ought
7 to look at the amount of product that is being produced and
8 the complexity of the operation, as well. I think all those
9 are factors that we take into account in terms of whether we
10 should take further action. But I think we ought to address
11 that thoroughly in the report.

12 MS. TUCKER FOREMAN: I guess I think that the
13 term, "NR" -- I understand that this is just an attempt to
14 make the PBRs change to deal with HACCP. You know, HACCP is
15 sufficiently different that maybe we need to have something
16 that is not where everything is just lumped under the term,
17 "NR."

18 It says on page 6 of your document, "The problems
19 reported on NRs and PBRs vary from minor labeling
20 discrepancies to serious breakdowns in food safety
21 controls." I think it misleads the public when you have
22 something -- when you lump those two things and everything
23 is called a violation, a noncompliance.

24 It also then says that when deficiencies occur
25 repeatedly or when the plant fails to prevent adulterated

1 product from being shipped, FSIS takes action to control
2 products and may take an action to withhold and suspend
3 production. And later on, you tell me how many -- it
4 reflects on about page 9 I think -- how many pounds were
5 detained.

6 When you start putting this together, this
7 detailed information for the committee, it would really help
8 to know more than how much product was detained. What other
9 actions are available in terms of enforcement beyond the
10 inspector just saying, "You have to fix that before you go
11 on?"

12 I think there needs to be some capacity to
13 quantify actions that were taken in a more specific way. If
14 it was a labeling problem, how many times did it occur
15 before they said, "You can't use that label anymore. We are
16 going to stop production until you get it fixed?" When it
17 was a serious food safety error, then clearly we need to
18 know what the inspectors did.

19 And it really is my view that those companies that
20 either, because they are inept or because they are -- don't
21 place a sufficient importance on complying with the law
22 should not be allowed to compete unfairly with those
23 companies who go out every day and try to do it right.

24 There should be some penalty associated with the
25 fact that you just occupy an awful lot of the Agency and,

1 therefore, the taxpayers' time and energy and money. And I
2 don't see anything in this system that makes a provision for
3 that.

4 CHAIRMAN BILLY: Well, I might insert there that,
5 you know, the Agency -- the Secretary has strongly supported
6 getting the Agency civil penalties that we think that --

7 MS. TUCKER FOREMAN: We all agree.

8 CHAIRMAN BILLY: We think that --

9 UNIDENTIFIED VOICE: We don't all agree there.

10 MS. TUCKER FOREMAN: Okay.

11 CHAIRMAN BILLY: We think that could play a useful
12 role in this very example in terms of that distinction
13 between different kinds of plants where you've dealt with
14 the product, but they are, in fact, occupying a lot of
15 inspector time dealing with many NRs and following up on
16 those NRs, as well as the rest of the system I described.

17 MS. TUCKER FOREMAN: And since it costs money for
18 a plant to comply with the law, I am not sure, Rosemary, why
19 anybody wants to defend plants that either are incapable of
20 complying or refuse to comply on a day-in, day-out basis.
21 Why should they be allowed to compete unfairly with those
22 plants who take the effort and the time to do it the right
23 way?

24 I guess that would at least for the time being
25 until we get some more information take care of the

1 questions that I have. But as -- you know, there is nobody
2 who is a stronger supporter of HACCP than I am. I think I
3 understand that NRS may frequently reflect that a problem
4 was detected and prevented from causing a human health
5 threat.

6 But the data that I have from the Department don't
7 show me that in a convincing form. And if we want to have
8 public support for this, then I think we have to have a
9 better reporting system and particularly with regard to
10 actions taken.

11 One last comment, obviously, the plants are
12 terribly unhappy with this because the number of appeals
13 filed is fairly small. And the -- if you once again look at
14 that page 7, and the number of won are even smaller. So
15 that of all those 29,000 NRS issued, only 80 plants filed
16 appeals and a total of 223 appeals were filed.

17 MS. HALL: With regard to the civil penalties for
18 problems created by plants, you do have the option to
19 withhold inspection. And in some cases, that would be more
20 costly to the plant than a civil penalty that you might
21 impose.

22 I guess from the industry standpoint, what we look
23 for is that there would be even application of any type of
24 penalty or any problem that you would create for the plant
25 such as withholding inspection. But I look at the number of

1 NRs that some plants as compared to others. Even from the
2 industry side, it raises big questions as to what is going
3 on there.

4 And I don't see how that is being evenly applied.

5 I don't see what -- you know, I don't see exactly what is
6 going on with those NRs. So if we could have some
7 explanation, it would really be helpful from the industry
8 side, too.

9 CHAIRMAN BILLY: Okay. So we will do that. We
10 will prepare --

11 MS. TUCKER FOREMAN: One last comment. It really
12 -- because this information is out there, because we raised
13 it in this meeting and the documents were copied and passed
14 around, I think it is really important for the Department to
15 get back to us as quickly as possible because, obviously,
16 there is some public impact from that.

17 CHAIRMAN BILLY: I agree. Okay. Yes, Collette?

18 MS. SCHULTZ KASTER: I don't want to bring up a
19 whole new can of worms, but I do want to clarify one thing
20 that you said which is to make a judgement about numbers of
21 appeals and a plant's feeling about an NR that it has
22 received, that may not be the best measure to go to because

23 I think we all know that there are other reasons
24 why or why not NRs would be appealed or why people might not
25 appeal. So I would caution you to use that as a gage of

1 acceptability of the NRS and the numbers.

2 MS. TUCKER FOREMAN: I accept that.

3 CHAIRMAN BILLY: Okay. Rosemary?

4 MS. MUCKLOW: Thank you for bringing this. And I
5 terribly relieved that I don't have to strain my eyes to
6 read any more of Dr. Mina's chart. Like the first two, I
7 will need new glasses from the Agency.

8 I think it would be very useful for us to have the
9 kind of discussion that you have had in some public meetings
10 at some time in the future with respect to a progress
11 report. Clearly, this is one example where we would like to
12 have the example ahead of time to study because you don't
13 give us much of an evening either. You have kept us working
14 in committees.

15 And I worked hard in committee last night. If I
16 should have not attended my committee meeting so I could go
17 study my papers for today, that was not clear to me then.
18 So -- and I am not good about reading things when I need to
19 be listening. And so it's certainly an item that needs to
20 be looked at for the future. And you certainly have a lot
21 of this information available.

22 The one thing I don't want to be doing at this
23 advisory committee is getting into micro-managing how you
24 run this system. That's your job, not our job as an
25 advisory committee. And getting into grungy details of

1 exactly what this number in this column means and so on,
2 your people need to come and explain to us the overall
3 trends of what they are finding.

4 And I hate to be here and I will not be here to
5 micro-manage the Agency. If I don't like what you are
6 doing, I know what I have to do and it's not in this room.
7 And I would hope that you would bring us maybe at the next
8 meeting an overview of what you are finding. The number
9 game is a very difficult one. And anybody can play with the
10 statistics and prove anything they want with it.

11 CHAIRMAN BILLY: Okay. Well, I think we have
12 gotten good guidance. So we will prepare a report and get
13 it out as quickly as we can and then include this on the --
14 with adequate time on the agenda for the next meeting. And
15 I would like to thank Mark for pulling stuff together pretty
16 quickly.

17 DR. MINA: Well, that's -- I need to just make one
18 point, is that we try to be very responsive to the committee
19 concerns. And we did a lot of scrambling between yesterday
20 and today to get some data together. And it doesn't project
21 the best way we want it to project. But I think we need to
22 regroup and come up with all the information that you
23 requested.

24 MS. MUCKLOW: Thank you, Mark. And, you know, I'm
25 not demeaning in any way the work that you and your guys did

1 to come here to tell us this today. That was very kind of
2 you and we all have a little bit better knowledge of it as a
3 result. But I don't want any more of those charts up there.

4 Like Carol, my eyes don't take it.

5 CHAIRMAN BILLY: All right. We are going to move
6 on. The next item is the evaluation of the pathogen
7 reduction final rule. And Jane Roth and Don Anderson will
8 lead that discussion.

9 MS. ROTH: Thank you. If we turn to Tab --

10 CHAIRMAN BILLY: Move the mike close so that
11 people can hear you.

12 MS. ROTH: If you turn to Tab 10, there is a one-
13 page description of what -- can you hear? Okay. Tab 10 of
14 your loose leaf has a one-pager that provides a quick
15 overview of the evaluation of the pathogen reduction HACCP
16 final rule.

17 This evaluation is being undertaken by Research
18 Triangle Institute. It is a multi-year contract. And Don
19 Anderson on my right is going to give you an overview of the
20 studies that are being undertaken as part of this
21 evaluation.

22 MR. ANDERSON: Thank you very much. I know that
23 we are a little behind schedule this afternoon. And there
24 is a lot of business, probably more pressing business
25 perhaps than this to get through. So I will try to keep it

1 short.

2 I will talk about who we are and what we are doing
3 and some of the kinds of things that we are looking at. But
4 I won't go into any detail on methodologies. But me and a
5 couple of my co-workers that I will introduce will be here
6 this afternoon if you would like to try to catch us in what
7 little free time you do have.

8 My name is Don Anderson. I am from Research
9 Triangle Institute. RTI is in the Research Triangle Park,
10 Raleigh, Durham/Chapel Hill area of North Carolina. We are
11 an independent, not-for-profit, university-affiliated
12 organization. We are actually I guess legally owned by the
13 three universities down there, Duke, UNC and North Carolina
14 State University. And we frequently collaborate with
15 faculty from those.

16 And we are very pleased to have been selected I
17 guess almost a year ago, maybe nine months ago, to help the
18 Agency with its several year, I guess it's a four-year
19 evaluation of the various types of effects of the pathogen
20 reduction HACCP rule.

21 I would like to introduce a couple of people that
22 are here with me today and maybe they could each stand:
23 Sherry Kates from Research Triangle Institute is sitting
24 back here. She is going to be leading the consumer studies
25 and the animal production studies that I am about to

1 discuss. And Dr. Morales, also from RTI, is here. And she
2 is going to be leading the foodborne illness and hazard
3 reduction studies and helping me with several of the other
4 studies, as well.

5 There is actually a larger team than RTI alone.
6 We are also working with several researchers closely from
7 Texas A&M University, most notably Dr. Zelsa Morano and Gary
8 Acuff at Texas A&M are helping with -- helping us with
9 several of these activities.

10 We are also working with a food safety economist,
11 Dr. Neil Hooker, who was recently -- or has just completed a
12 post-doctoral fellowship at Texas A&M. And is now almost
13 literally en route to Colorado State University where he has
14 accepted a position there on the faculty. And he is helping
15 us with some of the international trade impact studies.

16 And it would be difficult to acknowledge the
17 assistance so far of all the people here in the Agency.
18 Many people in this room we've met with many times on our --
19 and in some cases, on multiple occasions. But I would like
20 to in particular acknowledge all the help from one of Jane's
21 staff, Cynthia Willem.

22 Cynthia, could you stand for those people who
23 might not know you? She has worked tirelessly to help us
24 formulate the evaluation questions that we are going to be
25 looking at and to basically facilitate our discussions with

1 people inside and outside the agencies that we need and will
2 continue to need to work with for the next few years.

3 I am not going to go over each of these topics
4 right on this slide, but rather I have got one slide for
5 each topic. So you can see what we are going to be
6 addressing.

7 Let me say while I am here though that these
8 evaluation questions or the studies we are going to be
9 conducting essentially arise from one of three main things.

10 The FSIS five-year strategic plan lays out a number of
11 goals and objectives that we are going to be looking at.
12 The PR HACCP final rule itself, of course, has goals and
13 objectives in it that we are going to be evaluating. And
14 some of these are actually more as a response to the
15 Government Performance and Results Act than they are to
16 either of the others.

17 First and foremost, when the pathogen reduction
18 and HACCP rule was promulgated, the intent was, of course,
19 to reduce the incidence and severity of foodborne illness
20 and to reduce hazard levels of various types in meat and
21 poultry products.

22 The five-year strategic plan that I referred to
23 sets a goal of a 25 percent reduction in foodborne illnesses
24 attributable to meat and poultry products over a five-year
25 period which is basically '97 which you can think of as pre-

1 HACCP to 2000 which, of course, is the year when HACCP is
2 supposed to be fully implemented through the industry, and
3 also clearly states that we are looking for reductions in
4 hazard levels in meat and poultry products and particularly
5 pathogen hazard levels in raw carcass meat.

6 So these two objectives are, of course, one of the
7 key things that we are going to be evaluating in the study.

8 We want to see whether or not these stated objectives or
9 these goals are being met or to what extent they are being
10 met. And to the extent that we can, how are these goals
11 being met; what are the key initiatives in the Agency that
12 are leading to those accomplishments; and to the extent that
13 some of the goals are not being met as fully as you would
14 like, what are some of the impediments to that.

15 On the foodborne illness question, we are going to
16 be working closely -- and Dr. Morales is going to
17 particularly be working closely with members of the Foodnet
18 team at CDC. We have met with them in person one time and
19 had numerous discussions with them. They, of course, are
20 independent from the Agency. And it is their business to
21 track foodborne illnesses attributable to all sources, but
22 including meat and poultry.

23 And they will be using the best, most timely data
24 they can from Foodnet, PulseNet and other sources to track
25 the change in incidence of foodborne illness over time.

1 And, in fact, they have already started to do that.

2 You know, there was a recent report, I guess it
3 was in March, in MMWR. And they are already starting to
4 report some gains in the fight against foodborne illness.
5 And they will be continuing those activities. And we will
6 be working with them as best we can to provide assistance to
7 make sure they can do the best job possible with that.

8 On the hazard side per se, we don't want to just
9 track illnesses by themselves because illness reduction and
10 hazard reduction won't necessarily correlate perfectly. So
11 we also want to track hazards separately. The Office of
12 Public Health and Science here in the Agency, of course, has
13 been collecting pre-HACCP and continues to collect, if you
14 will, post-HACCP data on hazard levels, chemical, physical
15 and primarily I guess biological and pathogen levels.

16 And we will be working with OPHS to make sure that
17 we can bring the best data that we have and that we can get
18 from other sources to determine whether hazard levels of
19 being diminished at the rate that we would like to see.

20 At the same time, we are looking at, if you will,
21 the intended effects of HACCP. We will also be looking at
22 the mostly economic implications or repercussions of HACCP
23 in the meat and poultry industry. We are interested in
24 seeing basically whether HACCP and other farm-to-table
25 initiatives are affecting the performance and the structure

1 of the meat and poultry sector, but also the animal
2 production sector.

3 We will, for example, be looking at whether or not
4 the compliance with HACCP and PR is causing an increased
5 exit rate from the meat and poultry industry. That has been
6 a number of concerns, stated concerns that the -- that
7 compliance with the rules may cause exit from the industry
8 to increase. And that is one of the things that we want to
9 evaluate over time.

10 We will also be looking at changes that -- or
11 changes that might occur in the industry that wouldn't
12 necessarily manifest themselves in exit. There may be
13 productivity changes in the industry. Those productivity
14 changes actually could be positive or negative. And we will
15 conduct that analysis by basically doing interviews with
16 industry and other individuals to see how productivity is
17 changing.

18 Also, the Economics Research Service, of course
19 another part of USDA, is preparing -- at this time is
20 preparing an information collection request for OMD approval
21 to do a survey of firms in the meat and poultry industry to
22 ask questions about the impact of HACCP and pathogen
23 reduction on them.

24 We spent some hours very recently reviewing and
25 commenting on the ERS survey instrument and will continue to

1 work with them to make as sure as we can that the answers
2 that we would like to see answered -- or the questions we
3 would like to see answered will have data collected in the
4 survey when they field it next year.

5 We will also be looking at international impacts.

6 I won't go into details here, but we will be looking at
7 whether or not the final rule and compliance with it are
8 affecting the ability of companies in this country to export
9 meat and poultry to other countries and whether it is
10 affecting the ability of companies here to import meat and
11 poultry products into this nation.

12 I think also, very importantly, we are going to
13 look at whether or not the HACCP and pathogen reduction rule
14 in this country is kind of by an osmosis or other sort of
15 effect, maybe having positive impacts on global food safety,
16 maybe through more global adoption of PR HACCP or HACCP-type
17 standards. We will be doing that by talking to Codex
18 officials, officials with the Foreign Ag. Service, and maybe
19 using some other methodologies to do that.

20 We will also be very interested in seeing whether
21 or not the pathogen reduction HACCP rule itself or other
22 farm-to-table initiatives are changing consumer knowledge,
23 awareness and behavior of food handling practices and the
24 like. There -- again, there are a number of ongoing surveys
25 that track the use and knowledge about safe handling

1 practices and about consumer confidence in the food supply.

2 There is an ongoing FDA/FSIS food safety survey
3 that was conducted in '93, '98 and then is scheduled to be
4 conducted again in 2000. And we will be using that data to
5 analyze trends in consumer knowledge, behavior and
6 confidence.

7 We will also be conducting some special studies to
8 basically examined whether or not selected consumer
9 initiatives are reaching the intended targets. We may, for
10 example, conduct an analysis using focus groups or other
11 data collection methods to see whether or not the "Fight
12 Bac" campaign is effective or whether the thermometer-use
13 campaign is effective.

14 Again, these are all studies that are intended to
15 see whether the more in-distribution and consumer-oriented
16 initiatives are having the intended positive effects that
17 the Agency hopes they would.

18 So continuing in the farm-to-table continuum,
19 we've talked about some of the analyses that we will be
20 looking at towards the consumer. We will also be looking at
21 some of the impacts looking back towards the farm.

22 Specifically, I mean primarily what we want to see
23 is whether or not coincident or because of farm-to-table or
24 rather pathogen reduction HACCP and other farm-to-table
25 initiatives, we want to see whether or not farm level animal

1 production practices really are improving, whether they are
2 changing for the better.

3 Again, we will probably have to do some primary
4 data collection to do this, some interviews and small-scale
5 surveys and activities such as that. And particularly, we
6 are interested in seeing whether the Animal Production Food
7 Safety Program's state partnerships are having positive
8 impacts on animal production food safety.

9 So we may, for example, do some case studies,
10 interviews and case studies in states with and without state
11 partnership programs to see whether those state partnership
12 programs are having a positive impact and where they are
13 having a positive impact, try to feed that information back
14 to the Animal Production Food Safety Program here in the
15 Agency so they can double their efforts in those kinds of
16 activities that are working and maybe change the kinds of
17 activities that there might not be as much evidence that
18 they are working.

19 There was a lot of talk this morning about the
20 adoption of the FDA food code and why it is and isn't being
21 adopted in various areas. One of the things that we do want
22 to look at in our study is whether or not those businesses
23 that transport, distribute and retail meat and poultry
24 products are adopting either voluntarily or because of state
25 requirements or local requirements that are safer food

1 handling practices.

2 So, again, we've got a number of activities
3 planned here. But one of the things that we think we
4 probably need to do or intend to do is go into some of those
5 states that have adopted the food code and find out why they
6 did and what prompted them to do that, and also to see what
7 kinds of activities or what initiatives here in the Agency
8 were undertaken that prompted them to adopt those food
9 codes.

10 So there is a lot of concern -- there was a lot of
11 concern this morning about the number of states and
12 localities that aren't adopting some of these practices.
13 And hopefully, we can find out some of the reasons why those
14 states that are reticent to do so maybe are and maybe look
15 for some improvements in that area.

16 I save this for last, but in some ways it is one
17 of the foremost things in my heart at least. I have come to
18 think of this fairly recently as the Agency kind of -- the
19 Agency's requiring industry to use HACCP. And the Agency
20 now, I think, partly with our process here is starting to
21 apply HACCP principles to its own operations.

22 Essentially, the Agency is trying to -- they have
23 been trying for some time, and this is maybe another effort
24 or a continuation of that effort, to assess where in the
25 meat and poultry system from farm to table the most and the

1 greatest hazards like. I mean, where -- it is essentially
2 conducting a hazard analysis of the entire farm-to-table
3 system.

4 We are going to be over the next few years
5 conducting a hazard analysis farm to table which essentially
6 looks at all of the entities in the meat and poultry sector
7 where meat and poultry products are handled. We will be
8 looking at the types of products the different entities
9 handle, the processes that they use to process the meat and
10 poultry product, and the volumes of products that these
11 various entities process.

12 This is essentially following a hazard assessment
13 framework that was proposed a couple of years ago by Dr.
14 Frank Bryan that essentially looks at product, process and
15 volume as a hazard ranking system. And we are going to
16 methodically go through all of the currently inspected state
17 -- currently inspected meat and poultry establishments to
18 look at product, process and volume, and assign hazard
19 rankings to those different types of processes. Then we
20 will go through a similar process within distribution
21 facilities.

22 At the same time we are doing that, we will be
23 looking at -- hopefully in a new way or maybe a more
24 simplified way -- what are the regulatory resources or the
25 Agency resources that you have to address these problems. I

1 mean, almost everything -- I sit back -- my tongue gets sore
2 after two days because I bite it, you know, the whole time
3 sitting back there in the audience.

4 I am an economist and I think about trade-offs.
5 That's -- I think about cost benefit analysis. And one of
6 the things I know is that on any given day, the man over in
7 that chair has a given set of resources that he can work
8 with. And he can't do everything for everybody all the
9 time. So there are trade-offs that have to be made.

10 There are either explicit trade-offs or there are
11 implicit trade-offs. And what we are going to try to do is
12 give a tool to make more informed and more explicit trade-
13 offs so that we see, given a pool of resources, given the
14 hazards that we've got distributed through the farm-to-table
15 system, where should I devote those resources of different
16 types to do the best job I can today of addressing those
17 hazards and trying to reduce foodborne illnesses.

18 One of the things that this process may reveal
19 possibly is that we don't have enough resources to do
20 everything that the public expects us to do or that Congress
21 tells us we have to do. So we will go through the process
22 of identifying the hazards farm to table, identifying the
23 resources that we have to address those, and then -- and
24 this is in many ways the hardest part; these two are
25 relatively easy as complicated as they are -- is to then

1 develop some guidelines for intelligent resource deployment.

2 Given that we have a distribution of hazards
3 throughout the farm-to-table sector and given that we have
4 at least at any point in time a fixed set of resources, how
5 can we more efficiently use those resources to visit
6 establishments, to do compliance activities, to do sampling,
7 to make decisions about should these products be exempted
8 from inspection because of what type of meat they have or
9 whether it was produced in a state-inspected plant or a
10 federal plant, all of these kinds of exemption decisions
11 that you have to grapple with.

12 Hopefully, we will be able to develop some
13 guidelines using these resources and these hazard profiles
14 that will at least give some first cut suggestions about how
15 resources might be better allocated to address these risks.

16 Then to kind of finish that activity up, we will
17 develop some indicators of success. That is, we will sort
18 of evaluate ourselves and say, okay, we have suggested some
19 guidelines. Now let's be bold. Let's try to implement some
20 guidelines. Let's set up some kind of objective indicators
21 of success; how would we know if we are doing a good job
22 with our resources. Let's identify those indicators.

23 Let's conduct some field trials, some tests. And
24 then let's go out and get feedback to see according to these
25 indicators how good of a job are we doing. And then

1 finally, making that known or giving that information back
2 to the decision-makers so that they can make adjustments in
3 their resource deployment.

4 I know that this is -- in some ways, this is kind
5 of vague. It is not highly specific. But it is a process
6 that we are working towards. We've actually made I think
7 very good progress on developing these hazard profiles. I
8 would hope that six months from now, we will have all of the
9 hazard profiling of the currently inspected establishments
10 done and then a database and deliver it to USDA for use.

11 So this I think is an exciting part of the study
12 because it is really looking at whether HACCP and pathogen
13 reduction is allowing the Agency to do a better job of what
14 it is doing in the same way that we are expecting industry
15 to do the same. So I will stop there. And if anybody has
16 any questions, I will let the Chair decide how long the
17 discussion should go.

18 CHAIRMAN BILLY: Okay. Thank you very much.

19 MR. ANDERSON: Okay.

20 CHAIRMAN BILLY: Yes, Caroline?

21 MS. SMITH DeWAAL: Thank you. And I thought your
22 presentation was very good. And I am excited to see the
23 Agency doing this kind of evaluation. I do think that some
24 of your questions are going to be tough to answer simply
25 just in the HACCP pathogen reduction framework because

1 things like increased exit rate from the industry and
2 consumer knowledge are so based on some of the increases in
3 pathogen awareness.

4 And in the industry, with the exit issue, I mean,
5 the need for new technologies in some instances is going to
6 knock people out. So I just think the evaluation is
7 excellent, but really you are looking at a somewhat broader
8 question which is the awareness of pathogens in the food
9 supply and then the need for industry, consumers and the
10 government to respond to that. So it's almost -- it's great
11 work. It's almost bigger than what you've laid out.

12 DR. ANDERSON: Okay.

13 CHAIRMAN BILLY: Other comments? No. Okay. Let
14 me bring this to closure. Thank you very much, Don.

15 DR. ANDERSON: You're welcome.

16 CHAIRMAN BILLY: One other -- this is something --
17 this presentation and what we are doing is something I feel
18 quite strongly about. It is important for regulators to
19 evaluate themselves and the impact of what they are trying
20 to do and to do that in a transparent way where everybody
21 has access to and is aware of what's working, what isn't
22 working, so while -- what may not be working at all.

23 And so over the next three or four years, as
24 indicated, we will be sharing a lot of additional
25 information and some new models and developing an ability to

1 really focus our resources where the greatest hazards are
2 and do that in a justified kind of way.

3 Okay. The next item is the MOU with FDA on field
4 communication. And that's going to be presented by John
5 McCutcheon. So John.

6 MR. McCUTCHEON: Thank you. Good afternoon. This
7 is a very short presentation. So if there is no objection,
8 I could do it just from here. Can everybody hear me all
9 right?

10 And MOU is a memorandum of understanding. It is a
11 new tool for FSIS that was developed about a year ago. This
12 is an agreement between the two agencies, the Food and Drug
13 Administration and ourselves, that we share information and
14 we work together and we communicate with each other on
15 issues, regulatory issues of common interest.

16 We have, as you probably are aware, about 17
17 district offices. I say about because the last time you
18 looked, you might have found that we have 18 district
19 offices. We did consolidate the Boston office with our
20 Albany office within this last year. So FSIS now has 17
21 district offices. FDA has 20 district offices throughout
22 the United States.

23 We developed a wiring diagram so that we could
24 figure out which FSIS office should talk with which FDA
25 office, and then have had meetings between the agencies. We

1 have focused the attention here on our district managers and
2 have required the district managers in FDA and FSIS to be
3 the ones that are going to make this process work.

4 The intention here, and this is a new tool for us
5 that, as I say, was developed about a year ago. Both
6 agencies signed it. Then in March of last year -- well, no,
7 March of this year rather, last spring, there was a training
8 session that was held for the district managers and the
9 staffs in the district offices to explain what the purpose
10 of the MOU is and the mechanisms for making that work.

11 The intention here is that where there are joint
12 regulatory actions that can be taken, that the two agencies
13 work together. We do have examples of that. And what I
14 have is a proposal for the committee, if they are agreeable
15 to it. As I say, the process started with a training
16 session last March. And we have agreed as part of the MOU
17 that there will be an evaluation each year of how the
18 process is being undertaken.

19 That process is going on right now. We decided to
20 shorten the year a little bit for the first year. And we
21 have the districts having joint meetings during the month of
22 November, right now. Gary Pierce as my counterpart at FDA
23 and I will participate in a sample of those evaluations.
24 That's where one or the other agency will host the meeting
25 in each of the district offices so that the staffs get

1 together and discuss the progress that has been made.

2 What I would like to propose to the committee is
3 that when that process is completed, Gary Pierce's and my
4 job will be to consolidate that into an overall report. And
5 I will go over six or seven of the different types of issues
6 that will be evaluated during that process. And then at
7 your next meeting, then I would propose that we make a
8 presentation along how that particular evaluation came out
9 because we will have more specific information.

10 What we will be gathering during the month of
11 November is the list of enforcement actions, the joint
12 enforcement actions that have taken place. We do have a
13 number of those that have already occurred where we have
14 gone into a plant. And if we have some particular problems
15 that we are observing and if the plant is also having some
16 problems that could potentially affect FDA-type products, we
17 communicate with each other about that.

18 And then we coordinate the type of action and
19 share information and gather samples for each other. And we
20 have had some experience in doing that and working the
21 information together.

22 Along with our General Counsel's Office, of
23 course, we have to be careful that we don't do work on
24 somebody else's regulation or statutory authority. So we
25 are quite cognizant of the responsibilities that we have

1 there when we work together.

2 We have developed with the two computer groups a
3 joint list of plants that are under both areas of
4 responsibility. And our computer staffs are maintaining
5 that so that we have in the district offices a list so that
6 we know which plants are involved here.

7 We will developing a list of the joint actions
8 that have taken place. And from that, during the
9 evaluation, we will be asking questions of how did it work;
10 what problems did we have; what communications problems came
11 up and how were they addressed. And then we also want to
12 follow up with what changes have taken place in the district
13 level contacts; how has that been working; and what changes
14 might we need there.

15 We are also going to be concerned about what
16 additional training might be necessary for each party to
17 understand how this could work better. We also want to find
18 out what we didn't include in the MOU in terms of activities
19 that we might include such that from the experience we've
20 gained, that we can then share that from one district to
21 another, and then any obstacles that were encountered in
22 implementing the MOU such as things that are in there that
23 maybe should come out. These would be sins of omission and
24 sins of commission, if you will, of how can we improve the
25 process.

1 So very quickly, I wanted to give an overview and
2 point out that such a document does exist. It has been used
3 in a number of cases. We are doing an evaluation right now
4 and that evaluation will be completed before the end of the
5 calendar year. And then we would be available to give an
6 overview and a more detailed perspective when that is
7 completed. So if there are any questions, I would be glad
8 to address those.

9 CHAIRMAN BILLY: John, how many plants -- I
10 remember a number of about 800. Is that correct in terms
11 of --

12 MR. McCUTCHEON: That's correct. That is the
13 current number of plants that we have that are on the joint
14 list.

15 CHAIRMAN BILLY: Okay. Lee?

16 DR. JAN: You said that you have to be careful
17 that you don't try to use or implement somebody else's
18 regulations or use other regulations. And I wonder why is
19 that. And what I'm thinking about is particularly in some
20 of these plants that have a meat processing area and maybe a
21 non-meat processing, maybe making tamales on one side and
22 tortillas on the other. In fact, we see quite a few of
23 those.

24 Why couldn't that inspector that is there every
25 day go ahead and do the FDA regulations, impose those or

1 carry out those regulations on the other side rather than
2 just making sure that some of that -- those activities do
3 not contaminate this side?

4 It seems to me that that would be a better
5 utilization of the resources. And also, on that same line,
6 where FSIS does not have the regulatory authority -- and a
7 prime example would be temperature requirements on red meat,
8 why could not that inspector reach over and use FDA
9 regulations where there is a requirement that product be 40
10 -- perishable product be 40 -- right now I think 45, in the
11 future it will be 41 or below before it shifts?

12 We are doing that in Texas. I don't know if
13 that's legal or not, but cooperatively.

14 MR. McCUTCHEON: I'm not the lawyer that would
15 probably give the best answer to that question. But we have
16 statutory authority under our Meat and Poultry Inspection
17 Acts including the Egg Products Act which define what we can
18 do. And we get appropriated moneys, you know, to enforce
19 that Act.

20 And if you sort of stray from those requirements,
21 then people do get upset that you are spending money for
22 other activities. I think the purpose of the MOU though is
23 to minimize and -- or, if you will, the lost opportunities
24 of where if we see something and a marginal cost or
25 opportunity of seeing something can be passed along to FDA,

1 we do that.

2 And the purpose then of the MOU is to facilitate
3 that process. So if we see something that it involves an
4 FDA product that we think they would be interested in, then
5 we have now a good mechanism to pass that long. FDA then
6 engages itself in that and follows up with it. So that we
7 do use your eyes and awareness to pass that information
8 along. And that's permissible and that's what we are doing.

9 DR. JAN: Well, that -- I think that's a good
10 first step, you know, make each other aware. I think that's
11 excellent. But couldn't that MOU, maybe the next step cover
12 some of these financial things and maybe do some trade-offs?

13 I'm sure you can't transfer money across budgets. But
14 maybe there is something in that MOU that then they could
15 give in-like service back to FSIS.

16 MR. McCUTCHEON: Well, I think we should explore
17 and push the envelope as far as and as hard as we can on
18 that. I agree with that. There is also a training issue
19 that they -- the FDA people don't necessarily know our Act
20 and we don't necessarily know their Act and the ins and outs
21 of what is needed there. So that you also get into the
22 issue of knowing what authority the other party has and
23 behaving appropriately.

24 CHAIRMAN BILLY: Cathy?

25 DR. WOTEKI: Yes. I might add to what John said.

1 Among the things that we are doing in the strategic
2 planning activities of the President's Council is examining
3 all the legal impediments to do exactly the kind of thing
4 that you've suggested. I think you are absolutely right in
5 characterizing this as a first step towards better
6 utilization of resources. And we are using the strategic
7 planning activity to examine barriers to improving that kind
8 of cross-utilization.

9 CHAIRMAN BILLY: Caroline?

10 MS. SMITH DeWAAL: I might point out that Lee's
11 very logical approach arises from the fact that they have
12 only a single food safety agency down in Texas. So they can
13 use their thermometers to inspect either side of the aisle
14 in these plants. And perhaps in the considerations of the
15 President's Food Safety Council, they might consider some of
16 the leadership from the state of Texas in solving some of
17 your own problems.

18 I do have a question for Mr. McCutcheon regarding
19 I see this MOU as a one-way street where FDA is getting
20 increased oversight of its products hopefully, if you guys
21 happen to see something, without really increasing their
22 inspector base.

23 Can you give me some -- I mean, how are these
24 meetings going on the district level? How many inspectors
25 do they actually have? How do you deal with the fact that

1 their inspectors may be dealing with a medical device plant
2 one day, a drug plant another day, and a food plant the
3 third day? So give me a sense of how this works in real
4 life.

5 MR. McCUTCHEON: Tom was with FDA more recently
6 than I was. But when I remember the FDA inspectors --

7 MS. SMITH DeWAAL: I remember that.

8 MR. McCUTCHEON: -- that they did get credentials
9 in certain areas. And they don't generally go from a
10 medical device to a food plant within the same week or day
11 period. But they might have changed that.

12 But the meetings that we have are at the
13 management level in the district offices. We don't have the
14 inspectors there, so I can't give you a count of how many
15 people they have behind the scenes that we don't see. I
16 would say that with the evaluation that is going to go on,
17 we will get some input on that.

18 The experience that we have had though with FDA
19 has been that in the case of one of their products in
20 particular, that it worked out very well that we were able
21 to work cooperatively. And there are more instances, but
22 that's what I am aware of right now. In terms of the
23 sampling that was done, the resources that were available to
24 get information on the FDA side and so forth, it was truly a
25 joint and cooperative effort.

1 MS. SMITH DeWAAL: Are they giving you information
2 about your products?

3 MR. McCUTCHEON: Yes. They are contacting us
4 about issues. Although call the district office and let
5 them know because they do go into plants also when they do
6 have investigations. And I myself was on a place like
7 Marriott for example, not to pick on anybody.

8 But obviously, they do meat and poultry items as
9 well as non-meat and poultry items, and so plants of that
10 type which comprised the list that Tom mentioned of about
11 800 plants. They do have an inspection capability that they
12 also get information from various sources that target some
13 of their investigations, too, that are followed up on.

14 MS. MUCKLOW: Tom, let me just ask John, will this
15 memorandum, John, help to facilitate a closer working
16 cooperation on the identification of livestock with animal
17 residues? Will it reach into that area or is that just a
18 whole different can of worms?

19 MR. McCUTCHEON: I guess I would have to agree
20 with it is a whole different can of worms in that we really
21 haven't explored that area. It may be something that will
22 come out, but we just haven't had any cases of residues that
23 we have tried to follow up with.

24 And also, we are primarily involving the food
25 area, if you will, as opposed to the veterinary area more.

1 If you are thinking of the Center for Veterinary Medicine
2 and that, we have other ways of cooperating with them that
3 we have had for a number of years through the RIVA system
4 and the way we have shared data there.

5 MS. MUCKLOW: Yes, I am thinking of that.

6 MR. McCUTCHEON: Yes. And that -- in fact, FDA's
7 offices for a number of years have had terminals with -- the
8 RIVA system is the residue violation system database that we
9 have that we share information with. And that was going on
10 for sometime before the MOU even started. So, and that does
11 continue.

12 CHAIRMAN BILLY: All right. Thank you very much.
13 We are scheduled for a break about 3:00. So I think what I
14 would like to do is move forward and deal with the inspector
15 shortage briefing. And if we run over a little bit, then I
16 will shorten the break. So that may create an incentive.
17 I'm not sure. This briefing will be led by Dr. Mark Mina.
18 So Mark.

19 DR. MINA: Thank you, Tom. I don't know why I get
20 all these choice assignments. FY '99 was a particularly
21 difficult year in terms of our resources. Can everyone hear
22 me or do I need to get up there? Okay.

23 MS. MUCKLOW: Don't go near that screen again,
24 Mark.

25 DR. MINA: I won't. That's why I am staying here.

1 FY '99 was a particular difficult year in terms of our
2 resources. As you all know, we have limited resources. And
3 I perceive the comments that Don made about stretching these
4 resources to cover a lot of things that we would expect it
5 to cover and the public expects it to cover.

6 Two main factors contributed to maybe our shortage
7 of resources in '99. One was the significant increase in
8 plant productions. And that is in cattle and swine and
9 particularly poultry that went beyond our expectations. And
10 so that was one of the main factors that the result was
11 maybe some of our shortages.

12 The other factor is the strong economic condition
13 in the country. Our ability to recruit a large number of
14 inspectors was really hampered because of the strong
15 economy. And they can get maybe a better job, a higher
16 paying job than working for FSIS. And so that makes it
17 extremely difficult for us to recruit people.

18 And to give you an example of how that translated
19 to our shortages, we used to get roughly 2,000 applicants on
20 our national register. And last year, we were lucky if we
21 got about 800 on the national register. And in certain
22 parts of the country, we don't get any, none.

23 Having 800 names on the register, that does not
24 normally translate to 800 inspectors. The declination rate
25 was at least 50 percent, at least 50 percent. So that

1 number is reduced already to 400. And so those are the two
2 main factors I think that contributed to our difficulty in
3 '99.

4 We have recognized the problem early on and we
5 have reallocated many of our resources. We took a lot of
6 action to reduce our travel meaning headquarter travel,
7 district travel, inspector travel, to come up with some
8 additional resources that we can add to our existing
9 resources to eliminate some of those shortages in the
10 plants.

11 Starting this year and maybe starting -- maybe --
12 let me back up, two or three months ago, we embarked on a
13 very aggressive recruitment program. We put a lot of things
14 in place that we had not done in the past or we did not
15 really need to do in the past.

16 But today, we live in a different environment.
17 And as I indicated before, the economic conditions in the
18 country is pressing us to do things that are different. And
19 we need to do very creative in recruiting instructors. And
20 I am glad that Mr. Ron Hicks and his staff are here. And I
21 think they are probably better equipped than I am in telling
22 you about our aggressive recruitment plan.

23 We have been working very, very closely with our
24 personnel staff to make sure that we recruit enough
25 inspectors for us to do the job that we are required to do

1 in FY 2000 considering, again, our limited resources in
2 2000. But we will do everything that we can to make sure
3 that all the jobs are fully staffed and fully covered. With
4 that, I will ask Ron Hicks to --

5 MR. HICKS: Okay. Good afternoon. I am Ron
6 Hicks, Deputy Administrator for Management. With me is
7 Marlin Waller who is my HR Director, Human Resource
8 Director. Mark is right, we have had a very difficult year
9 in terms of dealing with the ability to staff our food
10 inspector ranks.

11 Trying to keep up with attrition has been most
12 difficult. There was a time when it was fairly easy to go
13 to a register, as Mark has indicated, and find the number of
14 people that we needed to fill jobs. And now all of a
15 sudden, we are finding out that we are not the only game in
16 town. There are other games in town. And we have to be far
17 more aggressive and created in trying to make sure that we
18 recruit the caliber of people that we need and the numbers
19 of people that we need.

20 So we've dealt with one of the issues that we had
21 to deal with in terms of the necessary resources to do that.

22 Now we have to put in place mechanisms to make sure that we
23 can actively recruit and have on board the numbers of people
24 that we actually need.

25 We have begun the process already. Marlin Waller

1 can tell you some of the things that we have done in order
2 to try and keep up with attrition and recruit the numbers
3 that we need. We need to do more. And our commitment to
4 you here today is to tell you that whatever we need to do
5 over the upcoming months in order to staff our ranks is what
6 we will do.

7 So I would like for Marlin to talk to you about
8 some of the things that we have done and some of the things
9 that we will be doing. We will be meeting with Mr. Billy
10 next week to provide him with a more detailed plan to what
11 we had already thought was a fairly detailed plan for
12 recruitment.

13 But as we are finding out right now, we need to
14 keep up better than what we have kept up. So there is more
15 information that will be forthcoming that will be developed
16 to enhance what we already have put in place. But let me
17 let Marlin Waller talk to you just briefly about things that
18 we already have done and what we intend to do.

19 MR. WALLER: Thanks -- excuse me. Thanks, Ron.
20 All right, thank you. Thanks, Ron, and I am happy to be
21 here, as well. I will say within our division, within the
22 Human Resource Division, we have been working very hard to
23 identify food inspector candidates. As Mark and I think has
24 Ron has indicated, it is probably as difficult or more
25 difficult than it has ever been to do that. But we don't

1 think it is insurmountable.

2 So as Ron said, we are in the process of
3 developing a very specific plan. Some of the things that we
4 have already done is held a recruiter training session in
5 which we trained recruiters in each of our district offices.
6 That will be sort of an ancillary help to our Human Resource
7 people and actually we will conduct outreach types of
8 activities at conventions, at schools and other locations.

9 We have advertised quite a bit in the past and we
10 are looking at more targeted advertising and just better
11 ways to spend our advertising money and to maybe even be
12 better to allocate a little more money to that. We have
13 explored pay incentives.

14 We actually have some recruitment bonuses in place
15 for veterinarians in certain parts of the country, so --
16 where it is particularly hard to recruit. And we are
17 looking at locations for where it is very hard to recruit
18 for food inspectors, as well, and hope to have something in
19 place for them soon.

20 We are also looking at some possibilities of
21 paying for moving expenses to the first duty location for
22 food inspectors. We have commonly done that for
23 veterinarians, but haven't done that for food inspectors in
24 the past, and are now looking at that.

25 We are planning on making more and additional

1 visits to schools and conventions and other organizations
2 where we would commonly be able to find food inspector-type
3 candidates. As Mark has indicated, we have a register in
4 which in order for candidates to actually be able to be on
5 the register for us to hire them, they have to take a test
6 which we hold at various locations around the country.

7 And we are looking at holding those tests in
8 different locations, holding them more often, holding them
9 in areas where we really have inspector shortages. So
10 hopefully we can speed up that whole process and actually be
11 closer to the places where we actually need the applicants.

12 We are looking at different kinds of hiring
13 authorities, actually looking at ways to bring on people on
14 a temporary basis while they have the -- and then have them
15 go through the testing process so that we can get them on
16 early on and then maybe -- and then, you know, have them go
17 through the testing.

18 We are also within our office just trying to
19 streamline the process. It does take some time in the
20 system we have to actually get people through that system
21 and to make sure we've followed all the applicable
22 procedures. But we are looking to streamline that. And we
23 are just looking to have a better outreach within our
24 Agency.

25 And obviously, if anybody here has any ideas on

1 things that we could do, we would be more than welcome to --
2 more than welcome those. But we are just looking to also
3 make sure that we use all of our people in our Agency to
4 help us identify candidates.

5 So those are just a few of the things that we are
6 intending to do and have already started doing. So
7 hopefully we will be able to get the shortages reduced very
8 quickly. Thank you.

9 DR. MINA: We are also particularly interested in
10 hearing from the State Director that he may or may not be
11 experiencing the same problem that we have and how we can
12 help each other maybe dealing with some of those situations.

13 CHAIRMAN BILLY: Right. And in particular, if you
14 would provide us a list of your state employees so we can
15 write them letters. Dan?

16 MR. LaFONTAINE: It's interesting, that was a
17 perfect lead-in to what I have to say. Something very
18 unusual has happened in the last six months. And that is
19 that I am getting calls from FSIS inspectors wanting to come
20 to work for the state and take a pay cut.

21 And the reason is the tremendous concerns about
22 job security and, "Oh, you are going to have a job, but you
23 might have to move." And I am relating this back to the
24 HACCP models project, you know, the change to consumer
25 safety inspectors, consumer safety officers.

1 I know you are doing a lot of information through
2 the Thursday report and every method you can to try to get
3 the word out. But when you are on the chicken line or the
4 turkey line and your plant is going to go be a model plant
5 and they know that part of the objective is to reallocate
6 some of your resources, you can talk until you are blue in
7 the face. There is a lack of job security.

8 So bottom line is work harder -- that is a part of
9 your problem. And they are telling their friends, "I don't
10 think now is the time to come to the USDA because you may
11 not have a job. You better try something else." I think --
12 I haven't heard that mentioned. And that's real out there.

13 When they call me and they want to take a
14 \$3,000.00 cut to do the same work because that's how much
15 less we pay for the entry level, that speaks for itself.
16 They want to stay where they are at, in their communities
17 with job security. Family and job security first.

18 DR. MINA: Thanks.

19 CHAIRMAN BILLY: Lee?

20 DR. JAN: I heard -- actually, I heard I think two
21 different areas of your problem. And maybe they are not.
22 But at one point, you indicated you are not getting the
23 candidates. And I think that was the biggest issue here.
24 But you also said that you cut travel in the different -- in
25 the central office or at headquarters and in the regions to

1 get more resources.

2 And so that would tell me that you also have a
3 money problem, that you don't have the money to fund these
4 positions that you can't get applicants for. Is that my
5 understanding, that you have both problems or do you have
6 the fund and just can't get the people?

7 DR. MINA: It's more complicated than that. It's
8 very complicated, Lee. And I don't think a simple answer
9 would do justice to that problem. It is a combination of
10 all of the above.

11 DR. JAN: Okay. Well, let me tell you, you asked
12 for suggestions and how could we maybe work together.

13 DR. MINA: Right.

14 DR. JAN: And I will tell you briefly what some of
15 my problems are. I've got it fully staffed, 100 percent
16 staffed. And that's not a problem. But we have a
17 legislative mandate that caps at the FTEs and the dollars.
18 So just looking in the future, if this bill comes to pass
19 and we a seamless inspection system, and to help the Agency
20 out I can recruit -- and I can get the people to do -- so I
21 can take on more plants. But we might need to look at it.

22 But my problem that's going to limit me is an
23 inability to get FTEs even if you come up with 60 percent or
24 maybe if somebody would become wiser and say, "Maybe you
25 ought to pay 75 percent", whatever. But the money -- if I

1 could get the money, I still won't get the FTEs.

2 But we might could take what's kind of in the
3 picture already and maybe modify the cross-utilization where
4 you fund these people and we recruit for them and we kind of
5 have a cooperative situation that way. I don't have to come
6 up with FTEs or dollars and you -- we will help you fill
7 those positions. And we will take on more plants, you know,
8 the smaller plants to our limitations. It might help out.
9 That's it.

10 DR. MINA: If I heard you correctly, that's
11 predicated on Congress passing the interstate shipment bill.

12 And that bill is in Congress, as you know. And that
13 decision is for Congress to make. And when they make that
14 decision, we might want to consider this proposal. We are
15 concerned about the immediate need today and how we
16 alleviate some of this problem today through 2000.

17 MR. HICKS: Lee, when I look at that issue and
18 what I've put my finger on it, I think that's the real crux
19 of the problem, is dealing with an action that we will have
20 in Minneapolis to fill a job and to go to the applicant pool
21 to try and fill that job.

22 And there are very few people, if any, who have
23 either applied for that job or which we wish to select.
24 Then we have to go out and recruit again. And that happens
25 over and over and over again.

1 That's what I see as really the major crux of the
2 problem, is the tracking of people and then, too, holding
3 onto folks that we want to hold onto, would like to hold
4 onto, but have decided that because of the change that we're
5 going through, the tremendous change that we're going
6 through and the uncertainty that that generates, another
7 option may be more appealing to them.

8 And we do have to do as good a job as we can do to
9 put together information for folks to give them a clear
10 picture as to where we are going, what we intend to do with
11 them and for them, and are we best to create job security.

12 We have a group called Work Force of the Future,
13 in other words, a group, a task force that is designed to
14 just that, to make sure that all the pieces of where we are
15 going and where we are headed make sense to each other but,
16 more importantly, makes sense to our workforce so that they
17 can understand clearly what is going to happen with them and
18 where their job opportunities may be. So that's a very key
19 piece that we have to make sure that we implement and make
20 sure that it works.

21 CHAIRMAN BILLY: Collette?

22 MS. SCHULTZ KASTER: I could go on about this for
23 a long time. I have a lot of notes that I have taken. So
24 the first thing -- so I'll try not to do that. I will start
25 by doing that.

1 But the first thing that I want to say is that I
2 want you to understand how urgent the situation is because
3 the feeling in the field is that you don't understand the
4 urgency. It is an urgent matter to the industry. It is an
5 urgent matter to consumers. And it is an urgent matter to
6 your own employees. And there are costs to all three of
7 those segments associated with this problem.

8 I am disappointed that -- in your response, that
9 it's too complicated to say whether it is a matter of
10 needing more bodies or needing more money because I think
11 that we need to understand which one of those or what
12 combination of those that it is. And so I hope that you
13 will elaborate on that.

14 We are very empathetic because we are all, no
15 matter whether it is the meat industry or restaurants, we
16 all are facing this situation right now. But this is
17 something that you need to take very seriously, that the
18 people in the field need to see you taking hard action on --
19 because this is a big deal right now.

20 CHAIRMAN BILLY: Let me elaborate a little more on
21 the money question. Last fiscal year, the budget allocation
22 we received from Congress was one where we were provided not
23 only some of the increases that we asked for, but also had
24 some funds earmarked for certain areas of expenditure.

25 We weren't provided funds to cover all of our cost

1 increases. Certain ones, you know, in terms of the salary
2 increases and other cost increases, for example, our share
3 of the funds related to the states in the cooperative
4 programs we have there.

5 So what we had to do last year was to severely
6 restrict the expenditure of funds in areas other than what
7 we call front line. Those are the inspectors, the first
8 line supervisors, the compliance officers and the lab
9 personnel that do the analyses. That's our front line. And
10 those -- we did not limit those funds other than within the
11 framework of the total amount of money that we were
12 provided.

13 We put in place things like a one-for-three hiring
14 freeze in the non-front line hiring areas. So in
15 headquarters, in the district officers, managers were only
16 allowed to replace one out of three people that departed.
17 We limited travel. We limited training. In fact, we cut
18 back severely on training, all of which was to not just
19 maintain the workforce, but an attempt to increase the
20 number of inspectors commensurate with the growth that was
21 occurring in the industry.

22 One example is the growth that occurred in the
23 poultry area, a six percent growth. That six percent growth
24 represents in round numbers about 450 million birds in 1998
25 -- in 1999, excuse me. And each of those birds have to be

1 looked at individually. And so when you translate that into
2 the number of inspectors, additional inspectors or capacity
3 that you need, it is indicative of what we were wrestling
4 with.

5 So there was an attempt to build our employee
6 base. But, in fact, we struggled with it because of the
7 reasons already mentioned. Now, for our new budget --
8 current budget this year which isn't quite settled because
9 we've got this business going on about an across-the-board,
10 just slightly less than one percent cut that the President
11 has vetoed. And I can assure you that if that actually
12 occurs, that kind of across-the-board cut, it will have a
13 very direct impact on our ability to hire inspectors.

14 But Congress has indicated to us they want us to
15 spend a certain minimum amount of money on inspection and
16 provided the Agency, all toll, about four million dollars
17 less than what the President asked for.

18 So we are now in the process of sorting out what
19 it is we can do and what it is we can't do and cover what we
20 anticipate will be a demand for an additional, beyond just
21 maintaining our workforce that we have now, an additional
22 100 to 200 additional inspectors that will need to handle
23 the further growth projections of the industry in this new
24 fiscal year that just started October 1st.

25 So it's not just maintaining. There is growth in

1 the industry. And we have to provide -- cover that in order
2 for the firms to operate and receive the mark of inspection.

3 So it's -- in part, that's what Mark was getting at. It's
4 not simple.

5 We've got funds to provide for some increase in
6 the number of inspectors. We are not sure that is going to
7 be enough to cover all of the growth and demand that we are
8 going to have.

9 And if it isn't, then in an overall, finite pot of
10 funds, then what are the things that we will have to stop or
11 not do to handle what will become an inspector shortage or
12 reoccur as a shortage if we are able to achieve what we are
13 doing here in terms of hiring an additional number of
14 inspectors in the next several months.

15 So it won't be a one-time fix. It will be a
16 constant struggle I think over the next year and perhaps
17 over the next several years if we've got the same kind of
18 economic conditions in the country in terms of being able to
19 hire entry-level people at about \$10.00 an hour which I know
20 we've had an example cited to us where there are school bus
21 drivers, school bus driver jobs that pay more than \$10.00 an
22 hour or McDonald's in certain locations where they are
23 having the same problem or -- and many other examples.

24 So it is difficult given the pay structure and
25 what we are able -- so we are trying to come up with

1 incentives and other ideas that will facilitate this process
2 and solve the problem.

3 It is not in our interest to have an inspector
4 shortage. It just creates nightmares for us. So we are
5 going to work really hard to do that. And we are going to
6 mount some additional efforts to hopefully achieve
7 elimination of the shortage. Ron?

8 MR. HICKS: I fully appreciate the sense of
9 urgency that you are referring to in terms of what the folks
10 in the field need to see. I wish there was an urgency meter
11 that I could hold over my head so you could see exactly
12 where it is pointed.

13 When I leave here, Marlin and I are going up to
14 visit with two district managers as part of an overall union
15 meeting and district meeting. And part of our jobs there
16 are going to be to talk to the district managers about these
17 very same issues.

18 I am headed out to Chicago week after next to talk
19 to a district manager out there to wrestle with some of
20 those issues. And I have a few upcoming meetings in
21 December. And a large part of that is to talk to folks,
22 with my people, to find out how we can start to tackle this
23 issue in a very real way.

24 We can list 100 things that we are doing. And
25 without something that says how urgent we feel about this,

1 there are just 100 things in a list on paper. But I can
2 guarantee you that behind those things that Marlin has
3 mentioned and others that have not been mentioned then is a
4 commemorative resources that we have which says we have got
5 to tackle this problem with field operations and get it
6 done.

7 CHAIRMAN BILLY: Okay.

8 DR. MINA: I want to echo what Ron said about the
9 sense of urgency. For me, that is my number one priority.
10 And I think that was evident for the district managers. We
11 had a district manager meeting last week. And that was the
12 number one topic of discussion.

13 And Tom and Maggie attended the meeting. And we
14 had a full discussion of the issues and what we need to do.

15 And so everyone is fully aware of it. And we are
16 working very hard to resolve it.

17 CHAIRMAN BILLY: Donna?

18 MS. RICHARDSON: Thank you. Coming from a
19 profession that goes through shortages every ten years where
20 it is critical, I can understand the concerns. To make it
21 more real to me, other than this sense of urgency -- and I
22 understand you are talking about needing approximately 200
23 more inspectors in the future to deal with the demand -- is
24 what are we talking about in real people now? What are the
25 FTEs that you have allotted for inspectors? And then what

1 are your actual vacancies?

2 And I know having worked in the VA system that
3 oftentimes the FTEs are reduced because you have less money
4 for those FTEs. But what I thought I heard at the very
5 beginning is that you do actually have an actual vacancy
6 rate now.

7 MR. WALLER: Yes. We are right now -- in our in-
8 plant staffing, we are right in the vicinity of 7,400, maybe
9 a little over 7,400, 7,420, somewhere in that range. And we
10 are shooting or targeting to get to near 7,600. But at the
11 same time, we have a very high attrition which either ranges
12 somewhere in the 20s, sometimes up to 30 or so per month.
13 So, I mean, that sort of amplifies the situation and just
14 indicates how many additional people we have to hire just to
15 keep up with that attrition rate and to get to the targets
16 that we are looking for.

17 CHAIRMAN BILLY: It is not an FTE problem. We
18 have adequate FTE. It's just getting people.

19 MS. RICHARDSON: But you have actual vacancies.

20 CHAIRMAN BILLY: Yes.

21 MS. RICHARDSON: Now, your attrition rate that you
22 have now is -- is that markedly different than you had five
23 years ago?

24 MR. WALLER: It's a little higher. It has been a
25 little higher over the past year, but not an extreme amount.

1 Within a couple per month maybe is the difference. So it's
2 not a lot different.

3 MS. RICHARDSON: So what we are talking about is a
4 chronic problem as opposed to something that just happened
5 in the last four years of this really --

6 MR. WALLER: Yes, well, I think it does go in
7 spurts. But I think as people have indicated here earlier,
8 the difficulty is more just attracting candidates and
9 applicants. I think in the past, for food inspector-type
10 candidates, we really didn't have to do a lot of special
11 recruitment efforts.

12 The number -- the people were available generally.
13 And for many of the reasons that we have outlined here
14 including how the economy is, it's just more difficult to
15 find and attract candidates. So I would say that's maybe
16 one -- the primary difference from the past. I mean, we
17 have always had this attrition rate, but we have generally
18 been able to keep up with it.

19 MR. HICKS: I think what we have here is a chronic
20 problem that seems to be getting worse than what it has been
21 in the past, that we've always experienced these highs and
22 lows and a certain level of attrition. But a number of
23 factors seem to be coming together now to make it a little
24 bit worse than even what it has been in the past.

25 CHAIRMAN BILLY: Okay. Caroline?

1 MS. SMITH DeWAAL: What is the impact of the
2 failure to get the consumer safety officers? Would that
3 expand the population from which you could draw? Are there
4 any -- or would it actually make the job more difficult
5 because you are paying people more to do the job? What's
6 the impact of that?

7 DR. MINA: We thought there is a good opportunity
8 for us to maybe increase the pay at least to attract more
9 people. And obviously, we're going to have higher
10 qualification and have the scientific background. That is
11 different than a GS-5 that we higher today. And so that --
12 we did not have that problem.

13 MS. SMITH DeWAAL: Is there any opportunity to get
14 that added to the supplemental and perhaps the industry
15 could help you get that particular provision passed?

16 CHAIRMAN BILLY: Well, we think that the addition
17 to the mix of people we have, of people classified as
18 consumer safety officers that are college graduates with a
19 minimum of 30 hours in the relevant sciences is not only
20 important in terms of dealing with this recruitment issue --
21 it will help there.

22 But it also is consistent with this transition
23 that we have underway, the discussion that we had about
24 doing the in-depth reviews is an example where placing
25 consumer safety officers throughout the field, in district

1 offices, in supervisory positions and inspector positions
2 can bring the capacity to do not just the inspection tasks,
3 but the analysis and the monitoring the trends, the process
4 audits, that kind of thing, and create a better capacity in
5 the Agency to deal with those things that are part of our
6 future.

7 It's sort of a two-fer. I think it will help us
8 in both respects. I know the industry is pinned on us a lot
9 for, you know, better training, upgrading the skills of our
10 employees. And we think adding some number of consumer
11 safety officers to the mix with what that means is part of
12 the process that we need to follow through on.

13 We have an obligation to report to Congress I
14 think it is by February 15th, this coming February 15th, a
15 report that lays out in more detail to Congress our plans
16 with regard to consumer safety officers and the role that we
17 expect them to play.

18 And in particular what Congress has asked us to do
19 is to do an analysis that shows them the least cost approach
20 to adding consumer safety officers to our mix of employees
21 or our workforce. And I think that is a reasonable question
22 for Congress to ask is, you know, "We can see that this will
23 have budget impact. We want to know" -- "see an analysis
24 that shows us what the least cost approach is for achieving
25 what you are after."

1 So we will be doing that report and then having
2 discussions with Congress with the hope that we can move
3 forward as planned to add some number of consumer safety
4 officers. And we don't know the right number. It might be
5 1,000 or 2,000 out of a total of about 7,500, something on
6 that order, perhaps a few more. Yes. Rosemary?

7 MS. MUCKLOW: When we became aware of this acute
8 problem at the Agency several months ago, I think it was in
9 discussion with Dr. Mina, we even as an organization and
10 some other organizations have also now done this, put ads in
11 our publications to try to get people to come work for you.
12 We hope that that has been helpful. We don't have any
13 feedback and we certainly don't get a commission for this
14 activity.

15 The thing that I would be very interested in
16 knowing is that I know that when you are hiring for
17 slaughter plants, you hire at the GS-5 level I believe it
18 is. And within a very specific time frame, those employees
19 move to the GS-7 level. If you are putting people into
20 processing operations, depending on the complexity, they are
21 GS-8s or 9s. And I never remember what the veterinarian in
22 a slaughter plant is. And you have several grades of
23 veterinarians.

24 I think it would be informative if you could from
25 time-to-time tell us what your vacancy rate for each of

1 those classifications is. I think if that were -- I don't
2 think it is a big secret that you are looking for X number
3 of people who are veterinarians, you are looking for X
4 number of people who are line inspectors or processing
5 inspectors. You may want to group them and not just list
6 them as 5s, 6es, 7s, whatever it might be.

7 I have also been told in the discussions that I
8 have had that in an agency as large as FSIS, with that size
9 of workforce, you are always going to have vacancies. I
10 mean, it is part of the game. And so having vacancies is
11 nothing new. It is the size of the vacancy problem that you
12 currently have that you are trying to resolve.

13 It is a serious situation. And I know by rumor
14 only that, you know, there was some poor old supervisor. By
15 the time he had filled in all the line spaces he had to and
16 done the veterinarian's work and so on, finally he's not
17 going to come to work himself. I mean, you can't work
18 people at both ends of the clock and expect to have a
19 workforce left.

20 We want as an industry to do whatever we can. And
21 the constituency that we have may be one of your best
22 constituencies for hiring. And so we will be happy if you
23 want to send us ads. I have no problem putting them in our
24 newsletter. I know there are people out here who have done
25 likewise and probably feel the same as I do.

1 Let us hear from you. We can be a good resource
2 for people. We are not wild about that revolving door. But
3 it is important and I think we can probably be helpful. And
4 I don't know, maybe Gary Weber can help. We've got some
5 cowboys out there. They would probably rather put a gallon
6 and a half on them. So, you know, try it.

7 CHAIRMAN BILLY: Thank you, Rosemary. In fact,
8 you are going to get your wish because another report we are
9 going to start issuing quarterly to Congress is a report on
10 our vacancy situation.

11 MS. MUCKLOW: Good.

12 CHAIRMAN BILLY: So you will get a lot of details.

13 MS. RICHARDSON: When does that start?

14 CHAIRMAN BILLY: January. Yes, Donna? This will
15 be the last one and then we are going to move on.

16 MS. RICHARDSON: That will be our first quarter.

17 CHAIRMAN BILLY: First quarter.

18 MS. RICHARDSON: To follow up on Rosemary's
19 statement, having worked with Senator Shoemer on the issue
20 of what the hospital industry can do about the nursing
21 shortage, that if, indeed, this is an urgent issue, not just
22 for the Agency and the industry and the consumers, of
23 looking at a partnership very similar to one that was
24 developed with the hospital industry when we had the nursing
25 shortage.

1 And that was an upward mobility program where the
2 hospitals encouraged and subsidized their employees, their
3 lesser skilled employees, to go to nursing school. And that
4 increased the numbers of nurses. Up until the last year,
5 the nursing shortage had decreased.

6 And so I would encourage the industry to look at
7 how it might offer subsidized tuition packages for their
8 lesser skilled workers, encouraging them to go into these
9 fields. And that way you get people who know the industry
10 from the ground up. And you can assist with ensuring that
11 you have the skilled people that you have been pressing the
12 Agency about.

13 CHAIRMAN BILLY: Okay. Thank you very much. It
14 is now almost 3:30. We have one more important topic. And
15 so what I would like, with the indulgence of the committee,
16 to ask you to do is I would like to take about a ten-minute
17 break, so even faster than the earlier shorter break. And
18 feel free to bring back your coffee or whatever and have it
19 at the table. And then we will carry on. Thank you.

20 (Whereupon, a brief recess was taken.)

21 CHAIRMAN BILLY: The next item is an important
22 area. It is sort of an alert or a heads-up that we want to
23 provide to the committee and explain it. And for that
24 purpose, we have produced a white paper. And here with us
25 are Phil Derfler and Dan Englejohn to present the essence of

1 this paper and inform you about what we are concerned about
2 and what our thinking is. So, Phil.

3 MR. DERFLER: Hello, and I'm happy to be here.
4 Not really. But what I wanted to do was present a little
5 bit of an introduction to the white paper that we have
6 prepared. Even though we started -- we issued a policy on
7 E. coli 0157 in January, in a lot of ways, this white paper
8 marks the start of a process more than anything else.

9 The white paper, you were just handed it, it
10 starts with a background discussion as to how we got to
11 where we are now with respect to E. coli 0157. And it
12 starts out by pointing to five factors that contributed
13 significantly to our current thinking about this pathogen.

14 It points out first that because E. coli 0157 was
15 an emerging pathogen in 1994 when it, you know, sort of
16 burst on the scene and subsequently, we had only limited
17 data available with which to work and to formulate our
18 policy.

19 The second factor that was very significant was
20 this pathogen proved very difficult to find. And the low
21 rate that it was discovered raised the question as to
22 whether this recovery rate was attributable to the fact that
23 it was a rare pathogen or whether the methodology used to
24 test for it was not sensitive enough to find the pathogen.

25 As a result of the fact that it was not found very

1 much, the Agency has never taken the position that E. coli
2 0157 was a hazard reasonably likely to occur in the ground
3 beef or meat operation. The third factor that was
4 significant was the fact that we couldn't find it meant that
5 we could not repose a lot of confidence in a negative
6 finding when we did testing.

7 And so, for example, when we looked for it and did
8 find it, that that finding was more significant than it
9 might otherwise be. So, for example, in the directive that
10 we have which you received a copy of it now, once there is a
11 positive finding, the Agency will then test for the pathogen
12 in the plant for 15 consecutive days and will not continue
13 this sort of daily testing until there are 15 consecutive
14 negatives.

15 The second thing is our directive says that plants
16 -- we will not take a sample of a plant does its own
17 testing. However, once a positive is found, that is not the
18 case for at least six months, until there is six months of
19 again negatives.

20 Another factor that derives from this, the lack of
21 confidence in the negative finding, is the fact that we
22 started testing at retail locations. We wanted to make sure
23 that we take every opportunity we could to try to find the
24 pathogen. And testing as we got closer to the consumer
25 provided some additional confidence although not as much as

1 we perhaps like.

2 The fourth factor is the fact that most of the
3 outbreaks that occurred that were attributed -- you know,
4 related to this pathogen were attributable to ground beef.
5 A risk assessment was done. And they were very closely
6 associated. The outbreaks were very closely associated with
7 ground beef.

8 And what we found is Americans were not used to
9 thoroughly cooking their ground beef patties. So that led
10 to the Agency's policy of that if we found it in ground
11 beef, if we found E. coli 0157 in ground beef, that product
12 would deemed to be adulterated, the only pathogen that -- in
13 raw product that we had made that -- taken that position.

14 And finally, the only methodology that we knew
15 that was effective in getting rid of the pathogen was
16 cooking. And so that was the centerpiece of the guidance
17 that we gave and, for example, the guidance material that we
18 published in January on how to deal with 0157.

19 But now we can see -- or it appears to us that
20 there are several significant developments that are in the
21 offing. And this has led us to focus on this matter and
22 bring it to you today because it is likely as a result of
23 these things that we can see coming together, it is likely
24 that we will be back with you in the future about this
25 matter as these developments unfold.

1 First of all, new information is emerging to
2 suggest that E. coli 0157 is not as rare as it was once
3 thought to be. In September of 1999, FSIS began using new
4 methodology to test the samples of E. coli 0157 that we
5 take. And as a result, we have gotten 21 positives over the
6 last month or so. I mean, these are a little bit older data
7 because I think we've actually gotten two or three more
8 positives since we wrote this.

9 But the new -- the finding of the pathogen with
10 the new methodology suggested to us that it may well not
11 have been the rarity of the pathogen, but the sensitivity of
12 the method that was the basis for the findings that we were
13 making.

14 Further evidence that E. coli 0157 may occur more
15 frequently is the recent foodborne illness data that was put
16 out by CDC that was alluded to this afternoon. That showed
17 that there were a lot more illnesses related to E. coli
18 0157. They were less severe than perhaps had previously
19 been thought. But there were a lot more illnesses
20 associated with this pathogen. While not all of them were
21 attributable to beef, I mean, it does suggest that the
22 pathogen occurs more frequently than we had thought.

23 In addition to these data, the American Meat
24 Institute currently has a study ongoing at slaughter. There
25 are 12 plants involved in which they are sampling carcasses

1 with the hide on, after hide pulling and then after
2 interventions -- after their pathogen reduction
3 interventions. We think that this study will provide us
4 with significant new information about the rate of
5 occurrence of this pathogen.

6 Finally, another factor that has led us to -- you
7 know, provided evidence than was previously thought is some
8 new research that was announced by ARS, work that was done
9 at the Clay Center in Nebraska where they went out and
10 looked at feed lots. And they found evidence that it was --
11 that the pathogen was present in most feed lots and may well
12 be present in almost 50 percent of the animals that were
13 presented for slaughter.

14 The second major development that we can foresee
15 forthcoming is the completion of FSIS's risk assessment on
16 E. coli 0157 on ground beef and on some trimmings. We hope
17 that this study will help us to, you know, better -- make
18 better decisions as we move forward and sort through our
19 regulatory options.

20 We expect the study with all peer review and
21 everything like that to be done in the spring of 2000,
22 although next month it is our understanding that a
23 presentation on the risk assessment will be presented at the
24 National Advisory Committee on Microbiological Criteria in
25 Foods, thank you. And so we look forward to hearing what is

1 presented then.

2 The third major factor -- or third new information
3 that we have that is likely to have a significant effect on
4 our policy is information that we have been presented on
5 blade tenderized roast and steaks based on work that has
6 been done at Kansas State University.

7 As you will recall, in January, we announced our
8 policy that E. coli 0157 was an adulterant not only in
9 ground beef, but in non-intact meat products. This new data
10 was started I believe as a result of that policy. And now
11 there is a whole lot more information that they have
12 developed. And we need to evaluate that as part of our
13 policy development process.

14 Fourth, we can now see that there may well be
15 interventions other than cooking that will be available to
16 deal with this problem. We have a rule to authorize the use
17 of irradiation in meat products, as well as poultry
18 products. And we are hopeful of getting that final rule
19 through the process soon. It deals with questions about
20 labeling, about the use of the process and its effect on E.
21 coli 0157. So this is a hopeful development.

22 There are several other considerations that are
23 also likely to come to bear. As more and more plants come
24 on line with HACCP, the question becomes more and more
25 significant given what appears may be the increased

1 prevalence of this -- not increased, but the fact that this
2 is not a rare pathogen. It is squarely the question as to
3 whether or not this pathogen is a hazard reasonably likely
4 to occur in plants.

5 And the other area that we hope there will be
6 develops is in the production level where research is being
7 done and possible interventions on the farm to try and
8 reduce the pathogen -- the occurrence of the pathogen.

9 Given these developments and the fact that we see
10 ourselves launching a process, we have developed a set of
11 questions and areas for consideration that we see ourselves
12 looking at as we move forward. And Dr. Englejohn will
13 briefly describe those.

14 DR. ENGLEJOHN: Thank you. In the paper, we've
15 identified six different areas that we think are highly
16 relevant as to the information that Phil just presented.
17 The first is that if, in fact, we find E. coli 0157:H7 with
18 some regularity on carcasses and the hides of those
19 carcasses, a decision about when to determine it is
20 reasonably likely to occur is one which we feel we need to
21 grapple with.

22 The information that we have presented, although
23 not final information -- it certainly is preliminary
24 information about feed lot cattle. And so we focused the
25 question on if there is a difference between feed lot cattle

1 and other cattle, does that change the decision-making tool.

2 So that is the first area of consideration.

3 The second would be the Agency's testing program
4 that we have in place. Most of you who participated in the
5 public meetings and the process that we have had in place
6 this past year related to E. coli 0157:H7 know that most of
7 the concerns have related to the testing program that FSIS
8 has in place.

9 We have raised some areas here that now we believe
10 are open for consideration and certainly have identified
11 them in order to elicit some questions in your minds and
12 concerns that you may have. And those relate to the
13 proportion of samples that we take in plants versus at
14 retail.

15 The Agency has modified that over a period of time
16 as to what proportion we do retail versus in-plant. But we
17 also think that this is something that we need to consider
18 more in a forum involving public input. The second would be
19 the issue of 15 consecutive samples after a positive is
20 found by FSIS.

21 This is an issue which the Agency initiated in the
22 directive. It has been under question and we certainly
23 would like to raise it now in the advent that we have
24 0157:H7 being addressed in a HACCP environment, as well as
25 if interventions in place, what is the relevance of having

1 the consecutive sampling scheme as we have it.

2 Then thirdly would be if, in fact, we find a
3 positive sample, we presently have a six-month trigger. And
4 the issue becomes one of what is the relevance of that six-
5 month trigger, should there be one, should it be a shorter
6 period of time, should it be a longer period of time. And
7 then that follows into exactly what is happening within the
8 plants in terms of the programs that they have in place, as
9 well.

10 We also have a targeted program of how we select
11 plants. The issue is how we better define that particular
12 targeting scheme. FSIS is also highly interested in the
13 value of sampling carcasses as opposed to just ground beef
14 at this time.

15 The third issue goes to the plant's generic E.
16 coli and the Agency's Salmonella pathogen reduction results
17 in that we have not factored them into the directive that we
18 have for a sampling program, as well as all pathogens. We
19 believe that there is some value in investigating and using
20 that data as indicators. And so we are opening that up for
21 consideration.

22 The fourth issue relates to what effect the
23 plant's own testing or if it has a HACCP program, their
24 verification program, as to whether or not targeted testing
25 in those plants is of particular relevance.

1 The fifth issue relates to the non-intact product
2 issue. The information provided by Kansas State researchers
3 was a part of a thesis that was developed. It is available
4 in the FSIS document room for your viewing.

5 But it deals with the issue of blade tenderized
6 steaks, the level of contamination that is translocated from
7 the surface into the interior, and then the cooking
8 requirements that are necessary for making that product
9 safe. That paper deals with more than just E. coli 0157.
10 It deals with other pathogens including I believe Listeria
11 and Salmonella.

12 We also have to deal with the issue of cross-
13 contamination of product, non-intact product such as a roast
14 that is blade tenderized and cross-contamination of other
15 products within the facility or within a consumer's home, as
16 well as temperature abuse of that product and the potential
17 for grow-out or larger numbers of that organism being on
18 that product than would be expected, and then handled
19 appropriately by the cooking directions that may be
20 contained on that product.

21 And then finally, the Agency is interested in the
22 voluntary programs that establishments may have with regards
23 to the producers of products that comes into their
24 facilities, as well as other activities that plants may have
25 in place to target in terms of a strategy for how they deal

1 with 0157:H7.

2 So we believe that these findings that Phil has
3 presented as well as the considerations that we have
4 identified as specific issues that we need to grapple with
5 open up an area in which we certainly are seeking
6 information from the committee as well as the public.

7 MR. DERFLER: I think we are looking forward to
8 publishing a Federal Register notice in the next month or so
9 that makes a lot of this information available to the public
10 and then following that up with another public meeting
11 either in the middle of January or early February. So that
12 is where we stand right now.

13 CHAIRMAN BILLY: Okay. So this is, as we
14 indicated, if you will, a heads-up alerting the committee.
15 Obviously, if you have any thoughts now, they are welcome.
16 But we are commencing with a public process that will enable
17 us to make decisions about changes in our current policies
18 and procedures as it relates to E. coli 0157:H7. Any
19 questions or comments? Carol?

20 MS. TUCKER FOREMAN: Mine is really rather minor
21 in the interest of history. E. coli 0157:H7 began emerging
22 at least as early as 1986. And there were deaths from it in
23 1986 -- '82?

24 MS. SMITH DeWAAL: '82 was the first outbreak
25 linked to a fast food chain.

1 MS. TUCKER FOREMAN: I think it would be useful to
2 have the background reflect that because USDA did not
3 recognize it at an earlier time. Because there was policy
4 in effect at the Department that caused the Department to
5 consciously ignore the emerging pathogen here. So I don't
6 want history to be rewritten.

7 CHAIRMAN BILLY: Okay. Caroline? Collette?

8 MS. SCHULTZ KASTER: In your areas for
9 consideration under point number 1 where you are talking
10 about making a potential recommendation on feed lot cattle,
11 whether or not the pathogen is more likely or reasonably
12 likely to occur on that, I think I would encourage you --
13 maybe that is one approach.

14 But equally or more importantly, to try to
15 identify why that might be occurring in those feed lot
16 cattle, to encourage that from a research standpoint more so
17 than just making a delineation of the population because we
18 may not know -- again, there may be harborage in the non-
19 feed lot cattle, as well. And we may be making distinctions
20 that we don't want to make.

21 CHAIRMAN BILLY: Okay. Caroline?

22 MS. SMITH DeWAAL: Phil, when will the data from
23 the AMI -- when and how will the data from the AMI study be
24 transmitted to the Agency and how will that be made
25 available to the public?

1 MR. DERFLER: It is our understanding that the
2 work is complete and that they are looking at putting the
3 data together now. It is our expectation that when they are
4 done with that, they will provide the data to the Agency and
5 we will make it publicly available.

6 MS. SMITH DeWAAL: Will that be available on your
7 constituent alert, the availability of that study, or in
8 some other way where you can --

9 MR. DERFLER: Yes, ma'am.

10 MS. SMITH DeWAAL: Okay.

11 MR. DERFLER: And when we get it, we will let you
12 know.

13 MS. SMITH DeWAAL: I also am -- I am troubled with
14 the issues of the non-intact products. They are -- I think
15 the data that Kansas State brought into that meeting was the
16 first time any of us were really aware that 0157:H7 could be
17 transmitted to the interior of the meat products. And it
18 has actually changed our advice to consumers with respect to
19 those non-intact meat products.

20 Luckily, most of them are going -- well, from what
21 I have been told by the industry is that most of those are
22 going to restaurants and aren't -- they're not being -- this
23 is tenderizing which might involve needles or other
24 mechanical devices. And a lot of them are going to the big
25 steak chains and the big restaurants.

1 I hope that is true in that the stuff that people
2 are buying in local supermarkets is fully intact. But it
3 would help us if we knew the Agency was double-checking that
4 information. I mean, we need to get the best advice out to
5 consumers. And if the advice needs to be that they need to
6 change their cooking practices for roast or steaks, we need
7 to know that as soon as possible.

8 UNIDENTIFIED VOICE: Nobody orders rare at
9 Outback?

10 MS. SMITH DeWAAL: Well, they -- that's yes. That
11 is a good -- that is a very good point. And it's -- and,
12 you know, you are hoping that in the restaurant chains, they
13 are using thermometers. But, no, it is a big problem and
14 we've really got to figure out what the right advice to
15 consumers is.

16 MR. DERFLER: But I think that it is important
17 that we say that. I mean, they have been in subsequent to
18 the public meeting with additional data. And they are
19 suggesting that cooking temperatures than the 160 would be
20 adequate to kill the pathogen. So, I mean, these are data
21 that we are looking at and we are evaluating. But I don't -
22 - you know, I think it is important that record be current.

23 MS. SMITH DeWAAL: They have been in to see me
24 subsequent to that, as well, I think probably the same week
25 they came to see you. So -- and their -- the Kansas State

1 reading of the data is very, you know, "This isn't a big
2 concern. Even 140 would kill what's there." But, I mean,
3 we are looking at something with a very low infectious dose.

4 We have had outbreaks linked to roast beef. I
5 mean, our data set on outbreak shows at least one outbreak
6 linked to roast beef in the early '90s. So, you know, and I
7 am just concerned what I should be telling my concerns about
8 how to cook these products. So as soon as the Agency knows
9 based on that data, I hope you will inform us.

10 CHAIRMAN BILLY: Yes. Nancy?

11 MS. DONLEY: I've got several comments. Just in
12 response to what Caroline said, we had over 300 people fall
13 sick in Illinois this year, this summer from infected meat.

14 And these were whole-cut meat, chunks of meat. And we had
15 over 300 illnesses.

16 This was at a party that was held. It happened to
17 be held in a cow pasteur. And they had large cuts of meat
18 on spits that were roasted. The illnesses were definitely
19 traced to the beef. That is something that needs to be
20 considered in all of this.

21 I am going to come right out and say it. I am
22 really disappointed with what I see here. I was really
23 excited to see on the agenda that there was going to be an
24 E. coli 0157 action plan.

25 And frankly, I thought this is great. We can

1 really go now full speed ahead and do something about this,
2 that we are going to expand our focus on this issue. We are
3 going to start going backwards and taking a look at it at
4 the animal level or manure level, and that it was really
5 going to be a take-action plan.

6 I think this is an inaction plan. The Agency had
7 put out over this past year a new definition of the term,
8 "adulterated", and they never did anything about it. Under
9 pressure from industry, an industry coalition has conducted
10 their own research which, I'm sorry, I think before anything
11 can be drawn from that, it has to be peer-reviewed. The
12 protocol has to be looked at.

13 And in the meantime, it has stalled what I think
14 could have been very good consumer protections from going
15 forward as far as the terms of adulteration. These areas
16 for consideration are -- many of them are taking -- are
17 saying, "Shall we un-do some of the things that we currently
18 have going for us?"

19 I don't see anything that is saying, "Let's go the
20 other direction instead, requiring plants" -- that it's now
21 going to -- are we calling this a reasonably likely to occur
22 hazard and should the Agency be looking -- are we going to
23 start making it mandatory testing for beef-producing
24 companies to be testing for 0157.

25 I don't see this as a plan. I see these as

1 questions being raised. And they are questions that I find
2 very, very troubling and very concerning.

3 CHAIRMAN BILLY: Okay. Are there other comments
4 or suggestions? Okay. To sum up then, again, this is a
5 heads-up that the Agency is starting a new policy process
6 that will re-examine its current policies on E. coli.

7 There is clearly new information to indicate that
8 the organism is much more prevalent than our current
9 policies were based on. And we will refine this draft
10 paper.

11 We will be publishing it in the Federal Register
12 in about a month or so and then following that with a public
13 meeting as a step-wise process to arrive at a set of
14 decisions regarding whether this is an organism reasonably
15 likely to occur and the impact that has, other regulatory
16 actions that we should consider.

17 And also, I think some of the other factors that
18 have been raised regarding consumer information and efforts
19 that should come forth in terms of the animal production end
20 of this process, as well. So I would like to move -- okay,
21 Jim, and then we will move on.

22 MR. DENTON: I have a quick question for you, Tom.
23 The statement in here that new information is emerging that
24 suggests that 0157 is not as rare as thought indicates that
25 this new information comes from several sources. Do you

1 happen to have those references or --

2 CHAIRMAN BILLY: Yes.

3 MR. DENTON: -- citations that we can review?

4 CHAIRMAN BILLY: Yes.

5 MR. DENTON: Okay.

6 CHAIRMAN BILLY: Why don't you get with one of
7 these folks when we are finished here and they will provide
8 it. Okay. It's now a little after 4:00. And on the agenda
9 from 4:00 to 4:30, we were scheduled to look at the
10 remaining issues and the plans for the next meeting.

11 I thought of the products of the committees. We
12 should look at in particular the regulatory reform revision.

13 There is some modified language here. And we kind of left
14 that item a little unfinished. I thought perhaps Dan could
15 run through the changes that were made and then get a sense
16 from the committee in terms of the acceptability of what's
17 here. So Dan?

18 MR. LaFONTAINE: Yes. Let me kind of summarize
19 since it has been a few hours since we talked about this.
20 On the regulatory reform paper, two major changes. The
21 lead-in -- a new lead-in sentence which says, you can read
22 it, "The committee supports USDA/FSIS continuing the current
23 effort of regulatory reform using the following approach."
24 And then the A, B, C, D and E are the same as the previous
25 paper.

1 And then the add-on as authored by Caroline with
2 my help is, "F) Risks assessments should be targeted to
3 encourage the most rapid response to public health matters."

4 So that was the final add-on. Comments and questions from
5 the remaining committee members? Do we have a quorum?

6 CHAIRMAN BILLY: Sure.

7 MR. LaFONTAINE: All right. Hearing no objection,
8 so moved.

9 CHAIRMAN BILLY: I think this looks fine. Okay.

10 MR. LaFONTAINE: I don't intend to go through any
11 of the other papers, although we have each received the
12 modifications based on earlier discussions.

13 CHAIRMAN BILLY: All right.

14 MR. LaFONTAINE: Unless anyone feels a burning
15 need to go back and look at one, I would rather move on.
16 There were a couple of other questions that were raised
17 while we have Phil and Dan here. One was -- and I guess
18 Rosemary has left. So maybe what we ought to do is just
19 call Rosemary unless there is a larger interest.

20 She wanted to know about the status of our work in
21 the area of retail exemptions. So unless someone else on
22 the committee wants to hear a brief response to that, we can
23 just call Rosemary and let her know.

24 DR. JAN: I would like to hear a brief response.

25 CHAIRMAN BILLY: Would you? Okay.

1 MR. DERFLER: I mean, we recently published a
2 notice in the Federal Register about our reaction to the HBH
3 case.

4 DR. JAN: I can't hear you.

5 MR. DERFLER: I'm sorry. We recently published a
6 notice in the Federal Register announcing the HBH case and
7 how it will affect our policies. We are looking into
8 developing a Federal Register proposal on -- what?

9 MR. MAMMINGO: The case?

10 MR. DERFLER: I'm sorry, the Honey-baked Ham case.

11 MR. MAMMINGO: Excuse me?

12 MR. DERFLER: The Honey-baked Ham. I'm sorry.
13 You live here -- whatever. We are looking at the
14 possibility of doing a handling regulation based on the Meat
15 Inspection Act and the Poultry Inspection Act about how
16 product is handled after it leaves the establishment along
17 the lines of the paper on exemptions that was presented at
18 the last Advisory Committee meeting, and then looking at the
19 other issues related to exemptions, moving off of -- or
20 growing out of the effect of that, that handling proposal.

21 CHAIRMAN BILLY: So we've issued a notice in the
22 interim as a result of the court decision. In addition, we
23 are looking at developing a new regulatory proposal along
24 the lines that Phil indicated.

25 MR. LaFONTAINE: Let me --

1 CHAIRMAN BILLY: Okay, Dan?

2 MR. LaFONTAINE: -- ask one or just a quick
3 follow-on question. Tell me again what your strategy is.
4 You are going to do what next?

5 MR. DERFLER: Yes, develop a performance standard
6 for the handling of product outside of the inspected
7 establishment which we think would include transportation,
8 distribution and retail. And then depending on how that --
9 you know, as we work through that, look at some of the other
10 issues related to the retail exemption in particular. So
11 that is the main focus of what we are working on.

12 MR. LaFONTAINE: I guess I will defer comment or
13 judgement until I see what you put on the table. But I have
14 to kind of pick up Rosemary's sword here and carry it for a
15 moment. The whole retail exemption issue is a big quagmire
16 as you know. And it needs, among many other things, some
17 urgent attention. I will leave it at that.

18 MR. DERFLER: No, and this is one of the dockets
19 that -- I mean, you heard before about the fact that as our
20 resources are shipped and most of it ships out of my office.
21 But we are doing the best we can.

22 CHAIRMAN BILLY: The other item, very briefly, is
23 a request that we just provide an update on the pork sausage
24 performance standards.

25 DR. ENGLEJOHN: This would be the Salmonella

1 performance standards? Is that the question?

2 MR. LaFONTAINE: Correct. Correct, I was the one
3 that asked that.

4 DR. ENGLEJOHN: The bra sausage.

5 MR. LaFONTAINE: Bra sausage, correct.

6 DR. ENGLEJOHN: Correct. We have developed the
7 rule and it is in the process -- it will be expected to be -
8 - it's going to be undergoing our legal review within a
9 matter of days. I think it is actually ready to go to our
10 General Counsel for a briefing.

11 I will need to note that it was designated as a
12 significant rule. And as I explained yesterday, that does
13 have some ramifications for how it gets through the process.

14 MR. LaFONTAINE: Tell me a little more. I've been
15 hearing it's in the mail, on the way for about two years.
16 And I am not trying to be negative about this. Going under
17 legal review, etcetera, what does that mean? When can we
18 expect to see it, best case, worst case, come out of the
19 shoe as a final rule?

20 CHAIRMAN BILLY: Even I'm interested in this
21 answer.

22 MR. LaFONTAINE: I'm sorry? Say it again?

23 CHAIRMAN BILLY: I said even I am interested in
24 this answer. You know, remember the Johnny Carson thing
25 with the --

1 (Laughter.)

2 DR. ENGLEJOHN: I see it in six months, the
3 proposal in six months. As a proposed rule. And then there
4 will be a, what, 60-day comment period, an opportunity to
5 review the comments. We will have to draft the final rule
6 and then -- I mean, you know, depending on the level of
7 comments and the amount of controversy that the proposal
8 engenders, the better we do it the first time out, the
9 quicker there will be a final ruling.

10 MR. LaFONTAINE: I'm going to calculate my six
11 months.

12 CHAIRMAN BILLY: Okay. Caroline?

13 MS. SMITH DeWAAL: This is very frustrating. I am
14 about to ask about where the performance standard is for
15 whole turkeys. But if what I am hearing is that it is going
16 to take, what, how many years did you say until we got a
17 rule? Why aren't you doing -- why aren't you doing this all
18 together? Do you have one for turkeys? Where is it?

19 DR. ENGLEJOHN: It is included in that document.

20 MS. SMITH DeWAAL: Okay. So you've got one
21 document coming with all of the performance standards that
22 we don't have in place right now.

23 DR. ENGLEJOHN: For Salmonella, yes.

24 MS. SMITH DeWAAL: For Salmonella.

25 DR. ENGLEJOHN: Yes.

1 MS. SMITH DeWAAL: Okay.

2 DR. ENGLEJOHN: You can expect a final rule on
3 minor species soon, much sooner than that, much sooner than
4 six months, which would deal with the generic E. coli.

5 MS. SMITH DeWAAL: So we will have E. coli
6 performance standards and -- I'm not blowing your ear up, am
7 I? Okay. We will have E. coli standards in place --

8 DR. ENGLEJOHN: For the minor species which --

9 MS. SMITH DeWAAL: Which includes turkeys?

10 DR. ENGLEJOHN: It includes geese, guineas, ducks,
11 sheep -- I can't think what else.

12 UNIDENTIFIED VOICE: Goats.

13 DR. ENGLEJOHN: Goats.

14 MS. SMITH DeWAAL: Okay. And then the Salmonella
15 standards will all be in place, a final rule, by when?

16 DR. ENGLEJOHN: Well, again, it's a proposal that
17 you can expect in six months. And I would say another six
18 months after that, you could expect a final, a year from
19 now.

20 CHAIRMAN BILLY: I don't want to --

21 MS. SMITH DeWAAL: So by next Thanksgiving we
22 might have a performance standard for turkeys?

23 CHAIRMAN BILLY: I think that's as reasonable a
24 target as any. Keep in mind, what they said is the Agency
25 has finished its work on the proposed rule. So now it

1 starts through the review process.

2 And at each stage, then there is an interaction
3 that occurs. And it is open-ended. It's not like we set
4 deadlines on the legal review, departmental policy reviews,
5 the OMD review and so forth. So --

6 MS. SMITH DeWAAL: Perhaps you could give Dan and
7 I a list of people to call weekly. Just an idea.

8 CHAIRMAN BILLY: All right. I am going to move on
9 unless -- go ahead, Lee.

10 DR. JAN: Regarding the E. coli performance
11 standards for minor species, is that going to be a specific
12 big M and little m sponging or is that going to be an SPC
13 process like we have in a major species?

14 DR. ENGLEJOHN: I'm sorry. I don't recall.

15 DR. JAN: But I can tell you, at least now, that
16 the SPC in the major species does not give the plants nor
17 the regulators any information that's useful. I want to
18 know what to do if you exceed big M or little m. There is
19 no big M, little m.

20 And the instruction we are getting from the tech.
21 center now is that a plant cannot exceed if they don't have
22 a -- have anything to exceed, they can just take their
23 samples and they are done. And so we really need to have
24 some kind of guidance. And we need a performance standard
25 that we can use.

1 CHAIRMAN BILLY: Well, we can put a note together
2 and get it out to all of you in terms of an answer to that
3 question. Okay. All right. I'm going to move on to the
4 plans for the next meeting.

5 As indicated here in the agenda, our current plan
6 is to hold a meeting in April. I've got a list of five
7 items that I believe are the ones that are an out-growth of
8 our discussions the last two days. And I will run through
9 those. And then we can get any further input from the
10 committee.

11 First is the models project, continuing to provide
12 the committee with an update on that. As we indicated, we
13 will have a lot of additional information by that time. And
14 I think it is important to continue to get input from this
15 committee as we move forward with that project.

16 There also -- in the intervening time, there will
17 be a public meeting, as well. So -- but I think it is
18 important to get further input from the committee.

19 A second item is Campylobacter. And we have taken
20 action to further communicate with the micro. committee.
21 And they will be meeting and we will have their input. I
22 think it's important. And given the timing of the ongoing
23 baseline studies and so forth, I think we will have a lot of
24 information to share with the committee and have a -- we
25 could have a very meaningful discussion at that time on

1 that.

2 Another item is Listeria. As I said yesterday, it
3 is the intention of the Agency to produce another white
4 paper that will lay the ground work for a public process to
5 re-examine the current course we are on in terms of our plan
6 that we put out some months ago which included both short-
7 term, intermediate-term and long-term actions that we plan
8 to take.

9 And this white paper will take into account the
10 new data and information and experiences we have had in the
11 intervening time. And, again, our plan is to produce such a
12 white paper and then to use that, publish that, and then
13 schedule a public meeting for sometime probably shortly
14 after the meeting we are going to have on E. coli.

15 The next item I have is the -- continuing to work
16 on the non-amenable species. We talked that through. Dan
17 and his team will be continuing to work in that area. We
18 should have a refined paper at that time which we can
19 provide you in advance and get further input, and then get
20 -- also get input in terms of the additional actions that
21 we should be taking to advance that effort.

22 And then the final item I have is in the area of
23 NRS and related material. And there it is our intent to
24 produce a detailed report with extensive discussion about a
25 data set. I am thinking 1998, but we can decide what that

1 is.

2 But it will be a significant set of data. Break
3 that down, do some analysis of what the numbers mean and
4 provide as clear an understanding as we can for all of you
5 in terms of those data and the significance related to
6 enforcement actions, recalls, retained product and those
7 kinds of things.

8 So those are the five items that I believe came
9 out of the discussions we have had the last three days. But
10 I would like to open it up for any other ideas you have had
11 or anything I have missed. Dan?

12 MR. LaFONTAINE: On that last subject, the NRs
13 which is a complex issue, what I think would be useful for
14 all of us, myself included even though I am involved in
15 that, is maybe go through a scenario of a plant with
16 significant problems and how you methodically took
17 regulatory action, leading up maybe to suspension and
18 abeyance.

19 And then also take a second example where maybe
20 the numbers are big, but it is not as significant as the
21 first case, to show your decision-making process.

22 CHAIRMAN BILLY: That's a good idea.

23 MR. LaFONTAINE: Real -- with data that is, you
24 know, not public who the plant is. It could even be a make-
25 believe plant. But it will be better if it would be some

1 real scenarios.

2 CHAIRMAN BILLY: A real situation, yes.

3 MR. LaFONTAINE: I think that's really what
4 everybody is looking is a gut check on how you are making
5 your decisions and go from there.

6 CHAIRMAN BILLY: Okay. That's a great idea. Any
7 other items? Yes, Collette?

8 MS. SCHULTZ KASTER: Can I just encourage that we
9 look at the most current data possible or include that -- if
10 '98 is important, then let's look at '99, too. But I feel
11 kind of funny looking at a block of '98. I guess I don't
12 understand why that is the magic time period.

13 CHAIRMAN BILLY: Well, you know, I understand
14 and --

15 MS. SCHULTZ KASTER: But by the time we meet
16 again, we should have a nice block from 1999 that can be
17 added to it.

18 CHAIRMAN BILLY: That might be possible. And in
19 fact, you know, it is a transition period. So even in '98,
20 you've got the transition for the large plants; '99, the
21 transition for the small plants. So you are going to get
22 some aberration based on what the process is.

23 But if we explain that as part of the report and
24 particularly in some of the trend data and can show overall
25 trends and what the experience was with the small plants and

1 also maybe break it out for the large plants or something
2 where they are well into this with the '99 data, maybe that
3 makes more sense. So -- but we will look at that and try to
4 make it as meaningful as possible.

5 MS. SCHULTZ KASTER: One other thing real quick.

6 CHAIRMAN BILLY: Sure.

7 MS. SCHULTZ KASTER: If you could also touch again
8 on the inspector shortage and if you do have the vacancy
9 report, if we could take a look at the vacancy report and
10 get an update from that group on the success that you are
11 having with the things that you are trying to do.

12 CHAIRMAN BILLY: Okay.

13 MS. SCHULTZ KASTER: Thanks.

14 CHAIRMAN BILLY: So let's add that item and we can
15 provide the reports and then have appropriate discussion.
16 Caroline?

17 MS. SMITH DeWAAL: In Nancy's absence, I guess I
18 have to ask for the report on the E. coli action plan or
19 inaction plan, depending on how you want to look at it. But
20 can we get an update on what and where the Agency is going
21 with that?

22 Because I think part of the frustration is that we
23 went through a public meeting six months ago on this exact
24 issue. And we are concerned about where -- why we haven't
25 seen final directives out on E. coli in other than community

1 products and things like that. So if we could get another
2 update on E. coli.

3 CHAIRMAN BILLY: Okay. Other -- yes?

4 MR. ABADIR: What about this presentation on this
5 where the focus on the others?

6 CHAIRMAN BILLY: Okay. We can include that if you
7 want. That's fine.

8 MR. LaFONTAINE: What was the topic?

9 CHAIRMAN BILLY: The in-depth audits. You know,
10 the system that we are putting in place and the guidance.
11 Maybe we can make a judgement about that because if -- you
12 know, depending on where we are at, we could provide
13 information for you in advance and then make a judgement
14 about if we are at a stage where further discussion at the
15 meeting is appropriate or not. We are open to it. So, yes,
16 okay. Anyone else? Okay.

17 All right. We have four people that have asked
18 for opportunity to provide comment from the public. The
19 first is Del Hensel. Del, if you would come forward and --
20 yes, please.

21 (Away from microphone.)

22 MR. HENSEL: Yes, I am Del Hensel from Denver. I
23 am the President of the National Bison Association. That's
24 a group of 2,400 producers. Most of our producers are small
25 farmers trying to make a living on a farm.

1 My prior time I was here, I spoke to the issues.
2 So I won't repeat what we said. I do this on my own
3 voluntary time. And I don't have a lot of money. So I come
4 here on a voluntary basis because we are so set on giving
5 our product out and not having a problem with what we've
6 produced.

7 I would just like to clarify a couple of questions
8 that were brought up today. One was that in regard to how
9 much product would there be on the market that is not being
10 inspected. And that would vary from area to area. I come
11 from Colorado. In Colorado, you can eat unamenable species.
12 You can kill them.

13 And I know of occasions that there are several
14 restaurants that buy meat that comes from that food source
15 such as that. Other states allow that, also, and some
16 don't. So it varies.

17 I would guess that probably less than five percent
18 of the product is not inspected. And inspection is directly
19 attributable to the cost. And in some cases, a plant would
20 -- because -- I know we have rules in the USDA that probably
21 shouldn't be done.

22 But in some plants, an animal could cost \$100.00
23 and that's just for one animal to be inspected because of
24 the fees. And that's an hourly fee and it depends on how
25 much the inspector decides to charge against that animal.

1 So that discourages inspection because that runs
2 up the cost that people don't want to pay if they don't have
3 to. And if they can just follow it into someplace and sell
4 it, then that's easier to do.

5 Another question that Nancy brought up, I wish she
6 was here right now to hear the answer to this. But she was
7 concerned about the fact that the marketability of the
8 product. I want to bring an example of how this works.

9 For example, South Dakota. In South Dakota, a lot
10 of bison is produced. If you look on your chart there for
11 states, they are one of the top states in production. But
12 they are one of the lowest states in consumption.

13 So there are a lot of small operators, not only
14 farmers, with small processing plants that depend on the
15 bison industry to inspect the product, the state to inspect
16 the product and send the product to either the east coast or
17 the west coast.

18 So let's say we went with the mandatory
19 inspection, we have all these people that now are abiding by
20 the rules and doing state inspection which they feel is
21 adequate, which it probably is. But the minute you put the
22 mandatory federal inspection, they can no longer ship that
23 product to the east coast or the west coast.

24 So not only are you putting the bison producer out
25 of business, you are putting a lot of small plants out of

1 business that depend on that and the economies in small
2 cities.

3 Now, if that were to happen, if we were going to
4 try to get legislation which just has to go through the
5 Senate bill, the minute we try to get this done, we would
6 have half of our membership up in arms and saying, "We are
7 going to fight this to the hilt", and then the legislation
8 would not go through. So we would be defeating the purpose
9 here.

10 So the economics and the safety go together no
11 matter how you look at it. They work together. You can't
12 have one without the other because I -- we could not come up
13 here and push for this inspection if I knew that I was
14 putting my neighbor out of business. So that is the two
15 things I wanted to bring up. So are there any questions I
16 can answer?

17 CHAIRMAN BILLY: There's one. Lee?

18 DR. JAN: Thank you. Let me just make a comment.

19 I would just urge you and your association to work with FDA
20 or at least to get their input on the nitrite issue. I know
21 that is an issue that comes a lot of the time. I saw that
22 in your letter, that you talked about the nitrite issue.
23 And I think you need to be clear, have a clear understanding
24 that it is the FDA issue on the nitrites.

25 And I am just concerned that the industry may be

1 under the assumption that by going to mandatory inspection
2 under USDA, that nitrite issue is going to go away. I am
3 one that don't believe it will. I hope it does, but I don't
4 believe it will.

5 And I just urge you before you put all your money
6 and all your effort behind this that you know clearly what
7 that issue may do to the industry. And that is just a word
8 of caution.

9 MR. HENSEL: Yes, sir. And I understand that
10 issue very well. And I have heard opinions both ways that,
11 yes, it will go and other ones that it won't. There is a
12 very important study that should have been completed that
13 FDA has sponsored on nitrites.

14 And you know the drafts that generally regard it
15 as safe. And this may be passed on to other -- if this
16 study comes out that -- it's a very important study. Is
17 anybody here familiar with that study that was just to be
18 completed this summer?

19 CHAIRMAN BILLY: I've read a summary of it, yes.

20 MR. HENSEL: And did it come out -- and you maybe
21 know how it came out.

22 CHAIRMAN BILLY: Well, it implied -- the results
23 imply that nitrite itself may be less harmful to people than
24 what some had originally thought. I guess that is a brief
25 summary of it.

1 MR. HENSEL: That's kind of what I understood.
2 And I thought if that was the case, then perhaps we could
3 get the -- because it -- it's absolutely more dangerous to
4 have meat untreated.

5 A good friend of mine almost died from buffalo
6 jerky that was untreated in South Dakota. And by the time
7 they got him to the hospital, they couldn't believe how sick
8 and how painful this was. But it is so important to get
9 nitrites in pure muscle jerky. And so is there anything
10 else that --

11 CHAIRMAN BILLY: Caroline?

12 MS. SMITH DeWAAL: I just -- the effort to get the
13 interstate shipment bill through Congress would be greatly
14 benefitted by the bison industry and the emu people and the
15 pigeon people. So all the effort -- I appreciate all the
16 effort you guys are making to come here and talk to us. But
17 we are going -- once that bill gets introduced, that would
18 help solve part of this problem.

19 And once that bill gets introduced, I hope you
20 will make the same effort to come to Washington and go to
21 the Hill and tell them why we need that bill passed, as
22 well.

23 MR. HENSEL: Yes, we plan to do that. Thank you.

24 MS. SMITH DeWAAL: Thank you.

25 CHAIRMAN BILLY: Thanks a lot. The next person is

1 Felicia Nester. Then we will move on. Bernie Shire.

2 (Audio missing due to technical malfunction.)

3 MR. SHIRE: I don't know what happened to these,
4 but apparently they are still around. And at that time, the
5 Agency used those studies to base a lot of what it was doing
6 on inspection. We think that maybe either the studies need
7 to be dug out or maybe the Agency needs to do another study
8 to come up with this kind of information.

9 The problem today is with the changing food
10 distribution, with retail changing, with the processing
11 changing. There are a lot of people that want to get out of
12 inspection. And we don't support that.

13 We think and most of our members think that people
14 should be under inspection. And maybe this is something
15 that the Agency can look at doing to refine their efforts in
16 inspection and to bring that together so they will have a
17 more -- a uniform approach in what they do.

18 CHAIRMAN BILLY: Thank you. Any questions or
19 comments?

20 MS. SMITH DeWAAL: Bernie, are you supporting the
21 effort to get a single food safety agency and a risk-based
22 inspection system?

23 MR. SHIRE: And a what?

24 MS. SMITH DeWAAL: A risk-based inspection system
25 so there is a level playing field for all products with the

1 same risks.

2 MR. SHIRE: Well, we haven't decided about the
3 Agency. But we do support it.

4 CHAIRMAN BILLY: The final request is Marty
5 Holmes.

6 MR. HOLMES: I am Marty Holmes, North American
7 Meat Processors. And I have got just a few quick things.
8 One is just -- and to clarify a few things on the Kansas
9 State study that was referred to earlier by Dr. Englejohn.
10 And it was kind of discussed a little bit.

11 What that data showed was that even cooked at rare
12 temperatures of 130 degrees and putting in a sterile ice
13 water bath, that there was no difference between the risk
14 associated with intact and non-intact steaks. So just --
15 and that was inoculated to five logs on the surface. It was
16 actually mechanically generated.

17 In answer to Nancy and Caroline, they both brought
18 up two situations of outbreaks with intact -- non-intact
19 product. They were outbreaks that occurred on 0157:H7. At
20 least my understanding, Nancy, on the cow pasteur, the meat
21 that was consumed in that cow pasteur, that was not
22 inspected meat. That was actually custom killed on the
23 farm. So I think that is something worth considering at
24 least.

25 And then, Caroline, my understanding on the cooked

1 roast beef standard, that the only report that the CDC has
2 in their data is that that was actually a cross-
3 contamination issue, not because it was mechanically
4 tenderized or injected in any way. So you may want to check
5 that out. That's just my understanding on both those
6 situations.

7 The last thing I want to bring up is something
8 that Dr. Jan -- or Lee Jan brought up that Mark Mina earlier
9 had talked about, having any problem with the state trying
10 to find more inspectors for the federal government. That's
11 find. If you want to spend your commissions, that's fine.

12 My concern is that if the state -- if a state was
13 encouraged to take over more federal plants, then they
14 basically are forced into a TA situation without their
15 consideration. You know, I know that that was kind of said
16 in jest and tongue-in-cheek.

17 But at the same time, it would be a serious matter
18 if a federal plant all of a sudden because of an inspector
19 shortage on a federal level was basically turned into a TA
20 plant overnight without any of their considerations brought
21 to the forefront. Thank you.

22 CHAIRMAN BILLY: Thanks. Any questions or
23 comments? Anyone? No? I see that Felicia has just
24 returned. So, Felicia, you have the floor.

25 MS. NESTER: That was a place-holder. And believe

1 it or not, I've got nothing to say.

2 CHAIRMAN BILLY: Okay. Thank you. All right. I
3 would like to thank the committee for your fine work and
4 your diligence and really hard work including last night,
5 and thank the public as well for your participation in this
6 important meeting. Thank you all very much. Have a safe
7 trip home.

8 (Whereupon, at 4:43 p.m., the hearing in the
9 above-entitled matter was adjourned.)

10 //

11 //

12 //

13 //

14 //

15 //

16 //

17 //

18 //

19 //

20 //

21 //

22 //

23 //

24 //

25 //

CERTIFICATE OF REPORTER, TRANSCRIBER AND PROOFREADER

National Advisory Committee on Meat and Poultry
Name of Hearing or Event

N/A
Docket No.

Arlington, Virginia
Place of Hearing

November 4, 1999
Date of Hearing

We, the undersigned, do hereby certify that the foregoing pages, numbers 261 through 486, inclusive, constitute the true, accurate and complete transcript prepared from the tapes and notes prepared and reported by Gabriel Thomas, who was in attendance at the above identified hearing, in accordance with the applicable provisions of the current USDA contract, and have verified the accuracy of the transcript (1) by preparing the typewritten transcript from the reporting or recording accomplished at the hearing and (2) by comparing the final proofed typewritten transcript against the recording tapes and/or notes accomplished at the hearing.

11-17-99
Date

Bonnie Niemann
Name and Signature of Transcriber
Heritage Reporting Corporation

11-26-99
Date

Lorenzo Jones
Name and Signature of Proofreader
Heritage Reporting Corporation

11-4-99
Date

Gabriel Thomas
Name and Signature of Reporter
Heritage Reporting Corporation

Heritage Reporting Corporation
(202) 628-4888