

**THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES**  
**AGENCY FOR TOXIC SUBSTANCES AND DISEASE REGISTRY**

convenes the

TWELFTH MEETING

**CAMP LEJEUNE COMMUNITY ASSISTANCE**

**PANEL (CAP) MEETING**

APRIL 28, 2009

The verbatim transcript of the  
Meeting of the Camp Lejeune Community Assistance  
Panel held at the ATSDR, Chamblee Building 106,  
Conference Room A, Atlanta, Georgia, on Apr. 28,  
2009.

**STEVEN RAY GREEN AND ASSOCIATES**  
**NATIONALLY CERTIFIED COURT REPORTING**  
404/733-6070

C O N T E N T S

Apr. 28, 2009

WELCOME, INTRODUCTIONS AND ANNOUNCEMENTS CHRISTOPHER STALLARD	5
RECAP OF LAST MEETING PERRI RUCKART	18
VA LETTER TOM TOWNSEND	24
1997 PHA TABLE 3 DISCUSSION TOM SINKS AND BILL CIBULAS	31
NCEH/ATSDR NATIONAL CONVERSATION ON PUBLIC HEALTH AND CHEMICAL EXPOSURES JULIE FISHMAN AND BEN GERHARDSTEIN	59
WATER MODELING UPDATE AND DISCUSSION ABOUT EXPERT PANEL MEETING MORRIS MASLIA	96
CONTINUE WATER MODELING DISCUSSION MORRIS MASLIA	126
UPDATES ON HEALTH SURVEY AND MORTALITY STUDY	156
• APPROVALS RECEIVED	
• CONTRACTOR SELECTION PROCESS	
• PRESENTATION AT CDC'S DIVISION OF CANCER	
• PREVENTION AND CONTROL MEETING	
• NRC REPORT	
VA EFFORT CHRISTOPHER STALLARD	165
WRAP-UP CHRISTOPHER STALLARD	181
COURT REPORTER'S CERTIFICATE	185

**TRANSCRIPT LEGEND**

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "\*" denotes a spelling based on phonetics, without reference available.

-- "^" represents inaudible or unintelligible speech or speaker failure, usually failure to use a microphone or multiple speakers speaking simultaneously; also telephonic failure.

**P A R T I C I P A N T S**

(alphabetically)

BOVE, FRANK, ATSDR  
BRIDGES, SANDRA, CAP, CLNC  
BYRON, JEFF, COMMUNITY MEMBER  
CIBULAS, WILLIAM, ATSDR  
CLAPP, RICHARD, SCD, MPH, PROFESSOR  
ENSMINGER, JERRY, COMMUNITY MEMBER  
FISHMAN, JULIE, NCEH/ATSDR  
GERHARDSTEIN, BEN, NCEH/ATSDR  
MCCALL, DENITA, COMMUNITY MEMBER (not present)  
MENARD, ALLEN, COMMUNITY MEMBER  
PARTAIN, MIKE, COMMUNITY MEMBER  
RUCKART, PERRI, ATSDR  
SIMMONS, MARY ANN, NAVY AND MARINE CORPS PUBLIC HEALTH  
CENTER  
SINKS, TOM, ATSDR  
TOWNSEND, TOM (via telephone)  
WILLIAMS, SCOTT, USMC



1 community and health, it can be a very tenuous  
2 situation in expectations and a lot of  
3 emotion-charged topics. So what we have is  
4 operating guidelines to keep us sort of  
5 focused and on task and moving forward to  
6 advance the notion of the purpose of the CAP  
7 to determine the feasibility of future  
8 scientific studies.

9 So one speaker at a time, and I'm  
10 speaking to the CAP here, because you'll see  
11 in a moment that the audience doesn't get a  
12 voice unless they're invited to speak, zero  
13 personal attacks, offer solutions where  
14 appropriate, have respect for the speaker,  
15 meaning not speaking over the speaker.

16 Speak into the microphones. You have  
17 to push the red button two times. So if any  
18 of you are a Luddite like myself and  
19 technically challenged, just practice that and  
20 push it twice when the red light comes on,  
21 you're speaking. Please put your cell phones  
22 on silent/stun so that we don't distract the  
23 discussion and the dialogue.

24 And for the audience that is here, I  
25 see some new faces, welcome to sunny Atlanta.

1 We're glad that you can join us for this open  
2 meeting. This is a federal facility. We have  
3 a responsibility to allow people to come who  
4 wish to come from the community and who have  
5 an interest in being here. But you're here to  
6 listen. You're here to be informed. You may  
7 speak if invited to speak.

8 And if you are invited to speak,  
9 because we know that the Panel knows that  
10 there are people in the audience that  
11 represent certain agencies that might have  
12 something to say or contribute to a  
13 particularly relevant question that the CAP  
14 has. So you may be invited to respond.

15 Now, there's a video team here. I  
16 think you've all seen them. They're on us,  
17 that's because, as I said, this is an open  
18 meeting, and in the interest of transparency  
19 they have been invited. They have been here  
20 before, and they are continuing to make, I  
21 understand, a documentary.

22 So with that what I'd like to do for  
23 the benefit of the court reporter -- this is  
24 all, all of our meetings are court reported.  
25 I guess is that the right word, court

1 reported? Documented. And also they are  
2 video streamed, so all of our meetings since  
3 February of '06 are archived and have been  
4 videotaped and streamed. There are people  
5 watching us now.

6 So for the benefit of those in the  
7 room I'd like to go around and I'll start with  
8 introductions. My name is Christopher  
9 Stallard. I am a CDC employee. I work for  
10 the Coordinating Office for Global Health, and  
11 I've been with this CAP since the inception,  
12 the beginning of the scientific expert panel.

13 **DR. CLAPP:** My name's Dick Clapp. I'm an  
14 epidemiologist at Boston University School of  
15 Public Health.

16 **MR. MENARD:** My name is Allen Menard, and  
17 I'm a cancer survivor.

18 **MR. PARTAIN:** I am Mike Partain and a member  
19 of the CAP.

20 **MS. BRIDGES:** And I'm Sandra Bridges, and  
21 I'm a member of the CAP.

22 **DR. BOVE:** Frank Bove, a staff person at  
23 ATSDR, epidemiologist.

24 **MS. RUCKART:** Perri Ruckart, ATSDR.

25 **MR. ENSMINGER:** Jerry Ensminger, Camp

1 Lejeune CAP.

2 **MR. BYRON:** Jeff Byron, Camp Lejeune CAP,  
3 concerned father and grandfather.

4 **MS. SIMMONS:** Mary Ann Simmons, Navy-Marine  
5 Corps Public Health Center.

6 **MR. STALLARD:** Welcome everyone. I'd like  
7 to take note that this empty seat here is CAP  
8 member Denita McCall who is not with us. She  
9 is quite ill as I understand.

10 And, Tom, would you introduce  
11 yourself, please, on the phone?

12 **MR. TOWNSEND (by Telephone):** Tom Townsend,  
13 member of the CAP.

14 **MR. STALLARD:** Welcome.

15 And I'd like to make it known that  
16 Allen Menard is a new member who has been  
17 invited to join the CAP.

18 You can see that we have an agenda  
19 before us that we've shared with everyone so  
20 that shouldn't be news to anyone. We're  
21 running a little bit behind schedule, but  
22 that's all right. What I'd like to do before  
23 I turn it over, I wanted to remind you that  
24 part of our process is to ask you what is it  
25 you want to achieve in this particular

1 meeting. And some of it's on the agenda and  
2 some of it may not. We want to establish your  
3 expectations so that we know how to move  
4 forward after the conclusion of today's  
5 meeting or if we're addressing what your needs  
6 are.

7 So the last meeting we had in  
8 December, the achieves that you expressed were  
9 to take down the public health assessment.  
10 And the 1997 PHA vanished, and a new PHA to  
11 reflect the truth and clarity on the Marine  
12 Corps dispute to the water modeling. And I  
13 think that, I think you're all aware that this  
14 week Morris is meeting with his panel of water  
15 modeling as well. And I think that you'll  
16 find out that since the last meeting we're  
17 going to hear about areas that have occurred  
18 to address these issues.

19 With that in mind is there anything,  
20 what is it that you'd like to achieve today?  
21 Panel members, what are your achieves?

22 **MR. ENSMINGER:** Today?

23 **MR. STALLARD:** Yes.

24 **MR. ENSMINGER:** I want to find out why  
25 benzene, which was in, at actionable levels,

1 in the water at Camp Lejeune, was not  
2 reflected in the Public Health Assessment.  
3 And I'd also like an answer from the Marine  
4 Corps and the Department of the Navy as to why  
5 those levels -- these documents were there.  
6 They knew these levels were there. Granted,  
7 ATSDR was at fault, some fault, for not  
8 including this in the Public Health  
9 Assessment. But why didn't you let them know  
10 it? They're your documents.

11 **MR. STALLARD:** Thank you, Jerry.

12 **MR. BYRON:** This is Jeff Byron. I'd like to  
13 see the VA participation in these meetings. I  
14 spoke to them concerning that we had -- not  
15 nominated, but made a motion to bring in a VA  
16 representative, and then to be honest, I  
17 suspect I forgot to assign responsibility to  
18 do that.

19 So I called ATSDR to let them know  
20 that I don't really have any official capacity  
21 to do this, you know, contact the VA. They  
22 basically told me they didn't have any  
23 contacts there either so they left it to me.  
24 I don't think that was right. I think ATSDR,  
25 Dr. Sinks, Dr. Frumkin, you guys need to send

1 a letter to them and get them involved.

2 Because now we're to the point where  
3 veterans are in areas of the country getting  
4 some help and other -- from what I understand,  
5 other veterans are being denied that help. So  
6 there needs to be a policy set forth that the  
7 VA can follow to allow that to occur so that  
8 all of the veterans are at least reviewed in  
9 the same manner. So that's one goal for me.

10 **MR. STALLARD:** Anyone else?

11 (no response)

12 **MR. STALLARD:** Okay, Tom has indicated that  
13 he may be able to respond to one of those.

14 So if you'd like to, come up to the  
15 table, whatever.

16 **DR. SINKS:** I'll do it here. I just want to  
17 make a suggestion.

18 Jeff, I think that's a very  
19 interesting idea, and in retrospect it's a  
20 shame we didn't come up with that at the last  
21 CAP meeting because, as you know, one of the  
22 requests from the CAP at the last meeting was  
23 this concern about how the VA was handling  
24 claims. And I volunteered at that time to  
25 send a letter to the VA, which I think you've

1 all seen, and I hope it was what you were  
2 looking for in terms of letter.

3 It would have been great to have  
4 written the letter in the style that I could  
5 have put that request in, so that's  
6 retrospect. If you want to take up the issue  
7 of the VA, let me ask you this. Let's not do  
8 it piecemeal. Let's do it as what are the  
9 issues you want us to think about with the VA  
10 so that I can, instead of doing this one CAP  
11 meeting after another CAP meeting.

12 If there's more than the one issue,  
13 Jeff, let's put them all on the table and I'd  
14 appreciate it if the CAP would have a more  
15 robust discussion about what interaction  
16 they'd like to see between us and the VA and  
17 provide some decisions to me so that I can  
18 think them over and do them more holistically  
19 rather than one at a time.

20 **MR. TOWNSEND (by Telephone):** Chris?

21 **MR. STALLARD:** Yes, Tom.

22 **MR. TOWNSEND (by Telephone):** I did make a  
23 written request to the Rear Admiral, Rear  
24 Admiral Dunne at the -- Under Secretary for  
25 Benefits at the VA. I said it'd be sort of

1 nice if the VA was involved in this.

2 **MR. STALLARD:** Did you get a response?

3 **MR. TOWNSEND (by Telephone):** Are you  
4 kidding?

5 **MR. STALLARD:** Had to ask, sorry.

6 **MR. BYRON:** This is Jeff Bryon again. I  
7 spoke to Dr. Mark A. Brown. He's with  
8 Environmental Service Agents. And I mentioned  
9 the fact that we wanted him to be on the CAP,  
10 and they spoke like they didn't really know  
11 what more they could do for, what they were  
12 specifying is that, you know, as long as that  
13 ATSDR's protocol to the VA for obtaining  
14 documents and so forth through Han Kang. You  
15 know, you guys all know him I'm sure. Right?

16 Frank, you know Han?

17 **DR. BOVE:** (inaudible response)

18 **MR. BYRON:** I know you've had some  
19 discussions. We've talked about him in the  
20 past. The protocol if it comes through is  
21 fine, but what the real problem is is how long  
22 will that take when somebody could be at the  
23 meeting, sitting here. They get the  
24 information here. They go back to start  
25 working on the database and getting the

1 information you need.

2 And the other thing that I spoke about  
3 is I asked about, well, what's the situation  
4 for children? Well, the VA explained that the  
5 only time they've ever got involved in  
6 providing any care for children was when Agent  
7 Orange, I guess, exposure had caused some  
8 veterans' children to succumb to spina bifida.  
9 I think very few were helped. And in this  
10 instance they relayed to me that the only way  
11 that that would occur is if Congress mandated  
12 that. So I don't know how we can proceed with  
13 that.

14 But I think there's been enough  
15 evidence as far as Tarawa Terrace is concerned  
16 to at least send a letter to Congress to say  
17 that these children were affected by the toxic  
18 water. Senator Dole stated that so I don't  
19 understand why the Armed Services Committee  
20 hasn't gotten involved with the VA and  
21 provided veterans' care and possibly looking  
22 at the children.

23 Because when you get right down to it,  
24 the most vulnerable group is the children, and  
25 they're getting absolutely no help. You know,

1 veterans deserve it, too. Don't get me wrong.  
2 But they're the parents of these children.  
3 How can you help one group and deny another,  
4 especially the most susceptible, the children?  
5 That's all I have.

6 **MR. STALLARD:** Thank you. Do you have  
7 anything else under the achieves, Jeff, you  
8 mentioned?

9 **MR. BYRON:** Yes, I did. One more is we've  
10 spoken several times about this letter for the  
11 health survey to the veterans, and I still see  
12 this General Payne on there. He means nothing  
13 to me, nothing, as a veteran. He's just  
14 another name. But if it says Commandant in  
15 front of there, which I know, Assistant Deputy  
16 Commandant, that's -- I want the Commandant or  
17 the President. That's whose name should be on  
18 that letter because you're asking for 85  
19 percent participation in this study. You  
20 can't hardly get 85 percent of the people to  
21 show up for work half the time. There's just  
22 sickness and illnesses and problems in the  
23 family. So they're going to read this letter  
24 and, oh, General Payne. Okay, well, I'll get  
25 around to it, and then they forget about it.

1 My opinion is I don't know why you won't  
2 relent on this. What is so tough about  
3 getting a signature of the Commandant on this  
4 letter?

5 **MR. STALLARD:** We're going to talk --

6 **MR. BYRON:** Okay, that's good; that's good.  
7 Because that's a concern to me that that's not  
8 being handled properly.

9 **MS. RUCKART:** We can talk about that.

10 Denita, are you on the line? I heard  
11 someone just join. Did someone call in?

12 **CAPTIONER:** Hi, this is your captioner on  
13 the telephone line. I can hardly understand  
14 the last minute or two. It's very choppy.

15 **MS. RUCKART:** Okay, thank you. We'll try to  
16 speak loudly and clearly. Thank you.

17 **MR. STALLARD:** Thank you for calling in.  
18 Please don't hesitate to do that if you need  
19 to.

20 Okay, so what I have heard at least on  
21 this issue is that we would like -- Tom, Dr.  
22 Sinks has suggested that --

23 **MR. TOWNSEND (by Telephone):** Chris?

24 **MR. STALLARD:** Yes. No, not you, Tom, Dr.  
25 Sinks --

1                   -- has suggested that as a CAP we sort  
2 of identify the universe of what is it that,  
3 you know, address the various needs that the  
4 VA could address. And so I think if we have  
5 time today -- we'll make time today to do  
6 that.

7                   We've already spoken about a  
8 congressional mandate that the children being  
9 covered based on what we know already in  
10 Tarawa Terrace. So be thinking today what is  
11 it as a CAP that you want ATSDR to pursue with  
12 the VA.

13                   So with that we're moving on, and  
14 still on the same subject. We're going to  
15 recap the last meeting then, and I'll turn it  
16 over to Perri.

17                   RECAP OF LAST MEETING

18                   **MS. RUCKART:** I'd just like to start off our  
19 current meeting by talking about what happened  
20 at our previous meeting, so just some action  
21 items from the December 18<sup>th</sup> CAP meeting. As  
22 Jeff mentioned, there was a motion. There was  
23 discussion at that previous meeting that CAP  
24 members would like to get a VA rep.

25                   And as Jeff just said, we had

1           discussed with you that you could identify  
2           somebody. Tom just said that CAP can give  
3           some more feedback, and we can discuss that.  
4           We want to wait and see if there's any  
5           discussion about that in the NRC report that's  
6           going to come back, come out on May 6<sup>th</sup>.

7                     And Frank and I had discussed this  
8           issue, and we were thinking that it would be  
9           best to have a discussion on the agenda for a  
10          VA rep to come and be present for a lengthy  
11          discussion but not necessarily be part of the  
12          CAP. Because the way we see it the CAP's  
13          purpose is to talk about future studies, and  
14          we don't see that the VA has so much of an  
15          input there. But we're certainly open to  
16          having an agenda item on a future meeting  
17          where a VA rep comes and you can discuss with  
18          them your issues.

19                    **DR. BOVE:** Just to reiterate that we are  
20          dealing with the VA on the health study, the  
21          health survey, and even the mortality study  
22          we'll probably be working with the VA as well.  
23          That's a separate situation with different  
24          people than would be relevant to this  
25          discussion of benefits.

1           **MR. BYRON:** This is Jeff. I'm open-minded  
2 to the VA representative being here not as  
3 part of the CAP but I believe they need to be  
4 here. They need to see what's going on. They  
5 need to see how DOD has handled the paperwork  
6 as far as Freedom of Information Act where  
7 they've denied us documents and where we keep  
8 finding something else every time we walk in  
9 here. Now it's benzene.

10                   Do you remember me reading that, what,  
11 aplastic anemia out of a medical dictionary?  
12 It comes up 40 to 70 percent of all cases of  
13 aplastic anemia are caused by benzene. Now  
14 here we are. The next one will be vinyl  
15 chloride I'm sure.

16           **MR. ENSMINGER:** It's already there.

17           **MR. BYRON:** Well, it's mentioned. It'll be  
18 the next hidden agenda for us to discover  
19 because they're not going to hand it over.

20           **MS. RUCKART:** Well, as Frank mentioned, we  
21 have some contacts at the VA for our future  
22 studies though we can try to identify someone  
23 who, and you as well, we can all work together  
24 to identify somebody who can come to a  
25 meeting, make that an agenda item, and have a

1 full discussion.

2 **MR. BYRON:** Dr. Mark A. Brown or his  
3 representative. Thank you.

4 **MS. RUCKART:** Okay.

5 **MR. TOWNSEND (by Telephone):** I have a  
6 comment about Mark A. Brown when I get into  
7 it.

8 **MS. RUCKART:** Okay, yes, Tom, you know  
9 you'll have a few minutes after this  
10 discussion.

11 Also at the last meeting as you know  
12 it was discussed that ATSDR would send a  
13 letter to the VA about the appropriate use of  
14 the 1997 Public Health Assessment, and that we  
15 would share that letter with you. That letter  
16 was sent on March 25<sup>th</sup>, and it was shared with  
17 the CAP. We e-mailed it to you. I have  
18 copies here if anyone at the table would like  
19 that I can pass that out now.

20 And then we also had a lively  
21 discussion at the last meeting about revising  
22 the 1997 PHA as it relates to the health  
23 effects expected in adults. And we initially  
24 discussed that we could post some statements  
25 discussing the uncertainty and share that with

1           you. And we did that. That was shared with  
2           the CAP on April 6<sup>th</sup>, but Dr. Sinks and Dr.  
3           Cibulas will be discussing the PHA a little  
4           bit later so I'm going to leave it to them to  
5           say a little bit more about the PHA.

6                     Also discussed at the last meeting was  
7           for the USMC to provide a link for the BAH  
8           Search Index Document Titles on the searchable  
9           document library website by the next CAP  
10          meeting. Would you like to say anything about  
11          that?

12                    **MR. PARTAIN:** This is Mike Partain. Scott  
13          gave me a -- not a link, but he gave me a disk  
14          with an index to the Booz-Allen-Hamilton  
15          library.

16                    **MS. RUCKART:** And then we said that we would  
17          share the meetings from our December 9<sup>th</sup>  
18          quarterly meeting between ATSDR and DOD, and  
19          those were posted on our Camp Lejeune website  
20          on April 8<sup>th</sup>.

21                    There was also a request to put links  
22          on our ATSDR website for the two community  
23          websites, The Few, the Proud and the Forgotten  
24          and The Stand, and we posted that on December  
25          19<sup>th</sup>.

1                   There was also a request at the last  
2 meeting for Mary Ann to e-mail Kim Parker  
3 Brown's contact info to Tom Townsend.

4                   **MS. SIMMONS:** I did.

5                   **MS. RUCKART:** Okay, good.

6                   There was also a request to send Tom  
7 Townsend and Mike Partain the information that  
8 Scott Williams presented on the stakeholder  
9 analysis. And Scott had said that the USMC  
10 would put a link with this information on  
11 their website.

12                   There was also a request that Scott  
13 would find out how many people identified from  
14 the DMDC database have registered with the  
15 USMC. This was thought to maybe give us a  
16 rough idea of how many people might respond to  
17 the survey.

18                   **MR. WILLIAMS:** I'll answer Jerry's question  
19 on the break.

20                   **MS. RUCKART:** Okay.

21                   And then at our last meeting we  
22 discussed when to hold our next meeting, which  
23 is today, and you guys wanted it held in  
24 conjunction with the water modeling meeting,  
25 and that's what's happening. The water

1 modeling meeting will be tomorrow and  
2 Thursday.

3 **MR. STALLARD:** Thank you very much for the  
4 recap and update of progress made since the  
5 last meeting.

6 **VA LETTER**

7 Tom, you're on the agenda to speak  
8 briefly about the VA letter that you mentioned  
9 earlier. Would you like to share with us what  
10 it is you have to say on that?

11 **MR. TOWNSEND (by Telephone):** You ready to  
12 go?

13 **MR. STALLARD:** We're ready.

14 **MR. TOWNSEND (by Telephone):** I wasn't going  
15 to get into Mark Brown initially, but I have  
16 been talking to him for the last five or six  
17 years, more particularly I sent him an e-mail  
18 on the 13<sup>th</sup> of this month and asked him, I  
19 asked him about the, what was going on, and I  
20 sent a copy of this to Jerry Ensminger, to  
21 Mike Partain, both. I said I had hoped that  
22 I'd hear from him, and well anyway, I'll get  
23 back, but I don't know that he's the, I don't  
24 know that he really cares about it, but I  
25 guess he's the point of contact.

1                   But let me get into what I wanted to  
2 say about the VA. I am a disabled American.  
3 I am a disabled veteran, have an 80/50  
4 disability. I've lost my wife and my son to  
5 VOC. I, myself, am involved. I went to the  
6 Veterans. I have a neurology diagnosis of  
7 peripheral neuropathy in my feet and my hands  
8 and legs and all the other places.

9                   I went for an exam from the VA a year  
10 ago based on what I had, and the VA in their  
11 eminent cleverness sent up a directive to the  
12 Spokane Veterans Administration at medical  
13 care that said request for exam and medical  
14 opinion. The veteran has made a claim for  
15 neuropathy due to chemical exposure as well.  
16 You are not to consider that claim at this  
17 time because we have not yet confirmed his  
18 exposure. This exam is to exclusively  
19 determine if he has a service-related  
20 radiculopathy.

21                   I went up there. I asked for a  
22 neurologist that has experience in deal with  
23 VOC exposures. I got an ARNP, a nice old lady  
24 that was about two years younger than I am,  
25 who tapped my knee and my elbow and elsewhere

1 with a rubber mallet and said that's all,  
2 sonny, get out of here. I said what the hell  
3 is going on. I came up for a neurological  
4 exam and it turns out that they were shying  
5 away.

6 While I berated her for not knowing  
7 what the hell was going on, I do find out that  
8 she could not make any connection between my  
9 existing -- I happened to get blown up by an  
10 IED in Vietnam in '67. They didn't call them  
11 IEDs. They called them 2-1-5-5 shells that  
12 were made by the United States. I happened to  
13 be sitting in a five-ton truck and got a lot  
14 of sand pounded up you know where so I limp.

15 But the VA is constantly ducking this  
16 thing. I have, I'm up to the stage of the  
17 Board of Veterans Appeals for God's sakes.  
18 All I want is an honest exam by a neurologist  
19 to confirm what the hell is going on, and I'd  
20 like to have a disability compensation for  
21 this.

22 Now, the VA sent out a warning order  
23 basically on VA Healthcare Bulletin Fact Sheet  
24 16-9 of December of '08. It says that  
25 perchlor and tetrachlor were found and so was

1 trichlor, but it is not clear at this time  
2 that any of the military service members or  
3 their families were exposed. What the hell do  
4 they mean it's not clear? God, ATSDR finished  
5 the Tarawa Terrace thing months ago.

6 Well, it's just another federal agency  
7 trying to duck and dive out of their bloody  
8 responsibilities. And I have been in the VA  
9 system since 1975. I've been there for 35  
10 years, and you have to fight those SOBs every  
11 foot of the way. I contacted -- after Dr.  
12 Sinks -- contacted Admiral Dunne who is the  
13 Under Secretary for Health Benefits at the  
14 Department of Veterans Administration.

15 I tagged onto that, and I wrote  
16 Admiral Dunne and pointed out what the hell  
17 was going on, and I couldn't seem to rise out  
18 of the morass of the VA bureaucracy. I have a  
19 telephone number. I tried to call him, and  
20 he's surrounded by a coterie of armed guard  
21 women; ladies that won't give you the time of  
22 day. I have not heard from the Admiral.

23 I'm going to keep battering my way  
24 into the Veterans Administration until  
25 somebody up there wakes up. There are people

1                    amongst, there are -- this is the only way  
2                    that a veteran can get any help.  If there's a  
3                    Veterans Administration, we are forbidden to  
4                    make a court case against the federal  
5                    government because of the Feres Doctrine.

6                    I have two claims that have been at  
7                    the Judge Advocate General's Office for the  
8                    last ten years waiting for something to  
9                    happen.  I happen to be still alive, and I'd  
10                   like to have some help in battling the  
11                   deficiencies of the disabilities that I have  
12                   currently.

13                   I am agitated, well, I've always been  
14                   an agitated Camp Lejeune survivor, but I'm  
15                   more agitated with the VA, and I asked for a  
16                   VA representative here as well to the Admiral.  
17                   But I don't think there's a VA rep in the  
18                   crowd today.  So that was my presentation and  
19                   it looks sort of bleak.  And I'm very  
20                   irritated.

21                   And I think that I understand from  
22                   Mike that a veteran in a different region  
23                   might be getting a disability when I can't.  
24                   That raises my ire and we have a different  
25                   levels of perception.

1           **MR. STALLARD:** Thank you, Tom. We hear the  
2           frustration of waging a one-man battle. And I  
3           think that it's become abundantly clear in the  
4           dialogue even earlier this morning the need to  
5           engage the VA as an agency in response to what  
6           the scientists are already showing and having  
7           a uniform response to all veterans who may  
8           have been exposed as we know. So thank you  
9           for presenting your perspective and stay well.

10          **MR. ENSMINGER:** Dr. Sinks, what's the  
11          possibility of getting a letter from ATSDR, an  
12          official letter, to the VA asking them to  
13          possibly appoint a representative to come to  
14          these meetings?

15          **DR. SINKS:** Let me put a proposal on the  
16          table for you to consider. First of all, it's  
17          not a problem to send a letter. If the CAP  
18          wants us to send a letter I have no problem  
19          with sending a letter, but let me put a  
20          proposal on the table.

21                    I feel that one of the most important  
22                    things that's going to happen to the natural  
23                    history of Camp Lejeune is going to happen in  
24                    the next ten days, and that's going to be the  
25                    release of the National Research Council's and

1 the National Academy of Science's report. I  
2 don't know if that will contain any  
3 information about a VA role or compensation.  
4 It may. We haven't seen it.

5 But I would like to wait to see what's  
6 in that because that may actually provide us a  
7 little more fuel, if you will, for encouraging  
8 the VA to participate. And so before I rush  
9 off and send a letter, I'd just put a proposal  
10 out that let's see what's in that report.  
11 Let's see if there's something we can put our  
12 arms around in that report that would further  
13 encourage the VA. And if that's okay with the  
14 CAP, that's what I'll do. I'll send a letter  
15 either way, but let's see what's in the  
16 report.

17 **MR. ENSMINGER:** As far as I'm concerned the  
18 National Academy report is null and void  
19 because benzene was not included into the mix,  
20 and we know damn well it was there.

21 **MR. BYRON:** And this is Jeff also, and  
22 talking to the VA, they stressed to me to be  
23 involved they need direction from the armed  
24 services. So it's Congress. So you need a  
25 letter to go to them, too. So I'm requesting

1           that ATSDR send that letter also to the head  
2           of the Senate and the House Armed Services  
3           Committee. Thank you.

4           1997 PHA TABLE 3 DISCUSSION

5           **MR. STALLARD:** Okay. You can stay right  
6           there because I think we're moving into the  
7           next item on the agenda with Dr. Sinks and  
8           Bill to talk about the 1997 PHA Table 3.

9           **DR. SINKS:** I'm going to let Bill take the  
10          lead on that, and he'll discuss that. But are  
11          we done with the VA issue? So let me just  
12          make sure I understand what's the proposal  
13          that I heard two people from the CAP have made  
14          which is a recommendation for ATSDR to send a  
15          letter to the VA asking for either a  
16          representative to attend the CAP, to be here  
17          and we'll have to keep them advised of it, not  
18          to be a member of the CAP. And I think I  
19          heard, Jeff, you wanted that CC'd to Congress,  
20          and I would suggest we CC it to the Department  
21          of Defense as well.

22          **MR. BYRON:** Thank you.

23          **DR. SINKS:** And what I stated was I'm going  
24          to wait until I see what's in that National  
25          Academy report because I think it will be, it

1 may or may not be relevant.

2 **MR. TOWNSEND (by Telephone):** I have a  
3 question for Mike.

4 **MR. STALLARD:** Well, go ahead and ask it.

5 **MR. TOWNSEND (by Telephone):** What has Mark  
6 Brown offered, what has Mark Brown told you  
7 the media is doing on the behalf of the  
8 Veterans Administration?

9 **MR. BYRON:** This is Jeff. Actually,  
10 basically what I just stated, to be involved  
11 they need to, they have Congress tell them to  
12 be involved through the Senate Armed Service  
13 Committee and the House Armed Services, I  
14 assume. It's not that they're not involved.  
15 I think the ^ agreed that there wasn't a set  
16 procedure for dealing with veterans from each  
17 region.

18 So my opinion that needs to be  
19 established that, you know, that's one reason  
20 why I say there needs to be some type of VA  
21 representation here so they understand the  
22 complexities of what veterans go through, not  
23 to mention that these veterans also have  
24 exposed family members. So they don't just  
25 suffer from physical ailments, they suffer

1 from mental ailments.

2 I mean, to be honest with you I go  
3 into a severe depression every time I come  
4 into one of these meetings, and it stays for  
5 about three weeks. I'm a pretty upbeat guy,  
6 but you come to one of these things and people  
7 are telling you about their illnesses and you  
8 have your own family's illnesses to deal with,  
9 and it starts to get overwhelming.

10 But my understanding is they need  
11 congressional mandate to be more involved.  
12 I'm assuming that some of these veterans are  
13 getting help based on the December VA -- I  
14 don't know. What is it? The VA does an  
15 assessment I guess every so often on what  
16 illnesses they cover based on what  
17 circumstances, and evidently, they must be  
18 recognizing some Camp Lejeune veterans for  
19 exposure and then in other areas not.

20 So that's what Mark Brown has said to  
21 me. That's what he said to me six years ago  
22 when I went to Washington to his office.  
23 That's why I haven't really kept in a great  
24 deal of contact with him until now where I  
25 feel it's paramount that somebody be here at

1                   these meetings.

2                   We've gone too far. This, for my  
3 family, May makes ten years, and I'm really  
4 aggravated at these guys sitting in the corner  
5 because you guys are the ones making this take  
6 ten years and 11 years because you haven't  
7 come forward with the documentation. You have  
8 this document that sits here specifying what  
9 you need to know in a brochure for Camp  
10 Lejeune water study.

11                   Well, what they need to know is taking  
12 care of marines and sailors and families is  
13 our top priority. Where was it for 15 years?  
14 You didn't contact me for 15 years, and I got  
15 a statement in May of 2000, and I left the  
16 Marine Corps in 1985. And that goes on. What  
17 you need to know is basically, it's almost  
18 like a recruiting brochure.

19                   **MR. STALLARD:** Thank you, Jeff.

20                   Tom, are we ready to move on?

21                   **MR. TOWNSEND (by Telephone):** Well, I don't  
22 get what Mark Brown is doing, nothing.

23                   **MR. BYRON:** That's because he's not allowed  
24 to unless he's told to from Congress I'm  
25 assuming, but, you know, that's where we

1 start. We start with this letter --

2 **MR. TOWNSEND (by Telephone):** ^ the damn  
3 Veterans Administration is to take care of the  
4 veterans. That's been established for years.

5 **MR. STALLARD:** Okay, I think we have it on,  
6 clearly on the radar screen, and there's a new  
7 administration and General Shinseki, a  
8 decorated person in charge at the VA. You  
9 know, it seems to me that certainly the energy  
10 is around more engagement in order for them to  
11 be aware of what's happening to the veterans  
12 in this situation, right?

13 So we're going to move on now, thank  
14 you.

15 **MR. MENARD:** Can I bring up one thing?

16 **MR. STALLARD:** Yes, Allen, the new member,  
17 yes, please, let's hear your voice.

18 **MR. MENARD:** It is very important to get the  
19 word out because I did not find out until last  
20 October from the letter that I got from the  
21 IRS, otherwise I had no clue, none, none. I  
22 mean, I'm stuck way up in Wisconsin in the  
23 woods.

24 **MR. ENSMINGER:** When were you diagnosed,  
25 Allen?

1           **MR. MENARD:** I was diagnosed in 2001, had  
2 symptoms in the late '80s.

3           **MR. ENSMINGER:** What was your diagnosis?

4           **MR. MENARD:** Mycosis fungoides. That's the  
5 same as what Dr. Gros had, has, I mean.

6           **MR. ENSMINGER:** Non-Hodgkins lymphoma.

7           **MR. MENARD:** Non-Hodgkins lymphoma, correct.

8           **MR. STALLARD:** Thank you.

9           **DR. CIBULAS:** Well, good morning, everyone.  
10 My name is Bill Cibulas, and I am the Director  
11 of the Division of Health Assessment and  
12 Consultation at ATSDR. And I appreciate the  
13 opportunity to come before you this morning  
14 and address some of the concerns that you've  
15 shared with me regarding the 1997 Public  
16 Health Assessment.

17                   I should tell you that I took office  
18 in August of 2005, and I began pretty much  
19 soon after that to immediately hear some of  
20 these concerns. It began with the issue of  
21 the lost or probably better characterized as  
22 destroyed references that back the Public  
23 Health Assessment. And shortly thereafter I  
24 started hearing concerns about the Table 3 of  
25 the Public Health Assessment.

1                   And specifically, I think the first  
2 concern I heard about was the information in  
3 the 1997 document as it characterized the  
4 exposure of contaminated water to those  
5 residents and communities that were serviced  
6 by the Holcomb Boulevard water distribution  
7 system.

8                   The information that we had available  
9 to us in 1997 indicated that we believed that  
10 those individuals in those communities only  
11 received contaminated water for a period of  
12 about two weeks. I think it was actually 12  
13 days from the time period of January to  
14 February of 1985.

15                   We subsequently have learned that that  
16 is not the case. We have new information.  
17 And I've talked with a number of members of  
18 the CAP about it and with Morris. And we  
19 realize now that those residents serviced by  
20 Holcomb Boulevard water distribution may have  
21 received contaminated water for upwards of  
22 four years and maybe even intermittently  
23 beyond that. And so as I said, I began to  
24 hear about some of these issues shortly after  
25 I took office in 2005.

1 I want to start by saying that our  
2 commitment is to provide the best science that  
3 we can regarding harmful exposures to toxic  
4 chemicals. And we owe it to you and to the  
5 communities that we serve to provide top  
6 quality, accurate information.

7 Which brings me to the December  
8 meeting which was a very interesting meeting  
9 for me. It was the first CAP meeting that I  
10 had attended, and I heard the passion that  
11 many of you spoke to about the Public Health  
12 Assessment.

13 We committed at that time as Perri has  
14 gone through to two follow ups. One is the  
15 follow up with the VA and we've just been  
16 through that. And the second was a follow up  
17 that we made a commitment to which was to re-  
18 examine what I would characterize as the  
19 troublesome Table 3 in that Public Health  
20 Assessment.

21 And let me say to you that it's  
22 troubling not just to you, but it was  
23 troubling to me and to my staff also. I think  
24 sometimes in discussions like this it's better  
25 to just sort of start with the conclusion and

1           then give you the rationale behind the  
2           decision that we made. And so I'm going to do  
3           that.

4                       The decision is this, that immediately  
5           following this CAP meeting or as soon as I can  
6           thereafter, we are going to remove the 1997  
7           Public Health Assessment from our website.

8           **MR. ENSMINGER:** Yes.

9           **DR. CIBULAS:** And the reason is -- thank  
10          you, Jerry. The reason is that we can no  
11          longer stand behind the accuracy of the  
12          information in that document, specifically in  
13          the drinking water public health evaluation.  
14          We know too much now 12 years since when we  
15          did that document and recognize the fact that  
16          it's just not possible for us to stand behind  
17          that particular pathway evaluation at this  
18          time. And I want to talk a little bit more  
19          about that.

20                       So again, back to the meeting in  
21          December, we committed to look at this Table  
22          3, and there's a couple of inaccuracies in it  
23          that I want to talk about. But I want to  
24          start by talking about what I consider to be  
25          one of the more troublesome things about this

1 table. And that is that I think it's been  
2 misinterpreted, and it's been misinterpreted  
3 by not only you but others and possibly the VA  
4 as we have talked about today.

5 There are some who can look at that  
6 Table 3 sort of taken out of the context of  
7 the rest of the document and decide that what  
8 we were saying was that no way, no how would  
9 any person who drank contaminated water at  
10 Camp Lejeune be expected to suffer any adverse  
11 health effects, be they cancerous or non-  
12 cancerous. And let me be clear about this.  
13 The science is just not that good for us to  
14 make that determination, and I am convinced  
15 that that table has led to misinterpretations  
16 of that information.

17 If you go on to look in our document  
18 on page 27 I think we do a better job in  
19 describing our concerns. We talk about the  
20 epidemiologic information and the studies that  
21 have been linked to possible cancer from low-  
22 dose exposures. We point to limitations in  
23 the document, but we point to the fact that we  
24 need more studies on this issue for us to be  
25 able to either rule out or deny the concerns

1 for low-dose exposures and cancerous effects  
2 in adults.

3 Back to our follow up from the  
4 meeting, when I left that meeting in December,  
5 I immediately went back and asked my staff,  
6 including Morris Maslia, to go back and  
7 revisit Table 3 and to come back to me with  
8 recommendations on how we could fix that  
9 Table, how we could tweak it in a way to not  
10 only show the accuracy of what we know now  
11 about exposures to VOCs and potential health  
12 effects but also to deal with the issue of the  
13 misinterpretation. Is there something we can  
14 do with that table?

15 And I can tell you the staff came back  
16 to me, and I was pleased with their  
17 recommendation, when they came back to me and  
18 indicated that their recommendation was to  
19 actually redact or remove that table from the  
20 Public Health Assessment because of the fact,  
21 what we were going to do was we were going to  
22 sort of mute it out and then put language over  
23 the top of the document to indicate that we  
24 felt that this table does not accurately  
25 convey the exposures that we know about at

1           Camp Lejeune and does not accurately convey  
2           the potential health effects that could be  
3           expected to occur.

4                   And then we were going to refer any  
5           reader of that table to the ongoing water  
6           modeling dose reconstruction and epidemiologic  
7           studies. And then it would be followed by a  
8           commitment on the part of ATSDR to redo the  
9           drinking water pathway evaluation in that  
10          Public Health Assessment. I thought that that  
11          was the right thing to do, it was the  
12          responsible thing to do, and I was pleased  
13          with that recommendation.

14                   And up to about four weeks ago that's  
15          where we were. And about that time we asked  
16          Frank to share that information with members  
17          of the CAP, and that was the direction that we  
18          were going, and that was what I had expected  
19          to report back to you at this meeting.

20                   Spring break came to Atlanta, which is  
21          the first week in April, and not a lot of  
22          people working during that week, but I was  
23          working. Tom was working. And we received a  
24          very strongly written e-mail from a member of  
25          the CAP.

1                   **MR. ENSMINGER:** You can say.

2                   **DR. CIBULAS:** Thanks, Jerry.

3                   It was from Jerry. And Jerry was  
4 pointing out to us that he was continuing to  
5 do his research. And he recognized that in  
6 our 1998 Sonnenfeld document that we mentioned  
7 the fact that high levels of benzene had been  
8 found in at least one supply well in Hadnot  
9 Point water distribution system. The level  
10 that was reported was 700 parts per billion,  
11 720, thanks, Jerry.

12                   And the question that Jerry framed,  
13 and I'll paraphrase, was basically how could  
14 we not say anything about that in our Public  
15 Health Assessment, and he characterized it as  
16 a very grave omission. And so I did some  
17 research, and I asked my staff including  
18 Morris to research this and get back with me  
19 so that I had the information to be able to  
20 share with you, and here's what I discovered.

21                   My staff, who had worked on the Public  
22 Health Assessment in 1997, were aware of hits  
23 of benzene in at least one of the 39 supply  
24 wells serving Hadnot Point, and we were aware  
25 of those high levels. But the information

1           that we had at that time was that that supply  
2 well had been put out of service and was not  
3 in use.

4                         And we made the determination at that  
5 time that there was not a completed exposure  
6 pathway, that no one was drinking that water,  
7 and that was verified, if you will, by the  
8 small numbers of finished drinking water  
9 samples that we had available to us at the  
10 time which did not show benzene in any of the  
11 finished drinking water samples.

12                         However, in thinking about that I  
13 believe it was a mistake not to mention  
14 benzene in our Public Health Assessment. And  
15 we should have mentioned that we had seen it  
16 in at least one supply well. We should have  
17 indicated caveats around that just as I had  
18 spoken to what we believed about the exposures  
19 or the possible exposures to benzene at the  
20 time and the information that we had. But I  
21 do believe we should have mentioned it, and I  
22 think that that was an omission in the 1997  
23 Public Health Assessment.

24                         And I'm exceedingly dry. I'm having  
25 seasonal allergies, but I'm going to try to

1 get through this here. But given the  
2 following, the rationale, so given knowledge  
3 that we did not include benzene in our 1997  
4 Public Health Assessment, any mention of it  
5 whatsoever, again, we should have identified  
6 this as a data need or, you know, that we  
7 needed some sort of additional information to  
8 be able to verify or confirm whether or not  
9 benzene actually ever did show up in finished  
10 drinking water.

11 But given the fact that we didn't  
12 mention benzene, given the fact that Morris  
13 has been working exceedingly hard over the  
14 last few years and has finished the Tarawa  
15 Terrace modeling, and we know that vinyl  
16 chloride has been predicted to be seen in  
17 Tarawa Terrace water, given the fact that we  
18 know in our document that the exposure  
19 duration for Holcomb Boulevard residents and  
20 communities who received contaminated water is  
21 inaccurate, given the misinterpretations that  
22 I've talked about, and given the fact that we  
23 know that there's a lot of new research going  
24 on over the last 12 years about the potential  
25 health effects and toxicity of TCE, we have

1           come to the decision, I have come to the  
2           decision that we can no longer stand behind  
3           the drinking water pathway evaluation in that  
4           1997 Public Health Assessment, and we are  
5           going to pull it off the web.

6                     And we are going to put information up  
7           on the web to indicate that rationale that I  
8           just explained to you, our concerns about that  
9           document, and why we can no longer stand  
10          behind that particular evaluation of that  
11          pathway. We'll indicate that, we'll refer to  
12          the ongoing water modeling dose reconstruction  
13          and epidemiologic studies, and we will make  
14          reference to the fact of our commitment to re-  
15          do that pathway evaluation pending the  
16          completion of those studies.

17                    You need to know also that that  
18          document will still be able to be requested by  
19          a letter to the agency, that there are nine  
20          other exposure pathways that were discussed in  
21          that document that, to the best of my  
22          knowledge, we have not received any new  
23          information to invalidate the findings in  
24          those nine other exposure pathways.

25                    But I can assure you that anyone who

1 gets that document from now on will have some  
2 sort of -- who requests it -- will have some  
3 sort of letter, attachment or addendum that we  
4 will prepare that will clearly indicate that  
5 we no longer stand behind the drinking water  
6 pathway evaluation in that document.

7 And with that I'd just sort of like to  
8 close by saying that our primary mission is to  
9 protect public health. And when we find out  
10 new information which makes us feel the need  
11 to go back and either revisit, update or redo  
12 documents and conclusions and recommendations  
13 in our documents, we owe it to the communities  
14 that we serve to do that, and we owe it to you  
15 to do it in a timely manner. And that is my  
16 commitment going forward, and I'd be glad to  
17 take any questions that you might have.

18 **MR. ENSMINGER:** Just to clarify a little bit  
19 of information here. The 1984 confirmation  
20 study at Camp Lejeune which was done by a firm  
21 known as Environmental Science and Engineering  
22 -- I will refer to them further from this  
23 point on as ESE. There was a plan of work and  
24 safety plan written concerning their contract  
25 to do the confirmation study at Lejeune.

1                   In that plan of work it called for a  
2                   monthly progress report of where they were at  
3                   each month. I just handed Scott Williams a  
4                   note. We have May, June and July and just so  
5                   happens July was when ESE started taking  
6                   samples from wells. We didn't see -- now,  
7                   August, September, October, November, December  
8                   aren't anywhere in your files and that is when  
9                   they would have been receiving the analytical  
10                  data back and reporting it to the Marine Corps  
11                  and the Department of the Navy like this.

12                  We had to put two-and-two together and  
13                  actually look at the technical data of the  
14                  confirmation study, Mike Partain and I. And  
15                  it showed high levels of benzene in Well 602  
16                  from the samples that were taken in July of  
17                  '84. That well wasn't taken offline until 30  
18                  November.

19                  And it is my estimation that the  
20                  Department of the Navy and the Marine Corps  
21                  received the information of the high benzene  
22                  levels in those wells in August and nothing  
23                  was done. And I'll almost guarantee God  
24                  himself that that's why those progress reports  
25                  for August, September, October and November

1 are missing.

2 Now, these were the Marine Corps and  
3 Department of the Navy's documents. This  
4 pamphlet that is sent out to everybody that  
5 states taking care of marines, sailors and  
6 their families -- and also you forgot about  
7 our civilian employees -- is your top  
8 priority.

9 I know ATSDR missed the boat on this  
10 because, and I mean, at least they're sitting  
11 here admitting it. But the environmental  
12 people at Camp Lejeune had an obligation to  
13 let them know of their shortfall. They  
14 received how many bites at the apple from 1992  
15 until this report came out in '97? How many?  
16 How many reviews did you get? I know of four.

17 Why didn't you -- I mean, if our  
18 welfare of us and our families was so  
19 important, such a priority to you, why didn't  
20 you let them know of their shortfall, your  
21 environmental people? That's an obligation to  
22 them. What is the priority? Is priority one  
23 to cover your butt and second comes our  
24 welfare? Because that's what it looks like.

25 These were your documents. You knew

1           it. You knew this stuff was there, and you  
2           knew it was being emitted.

3           **MR. BYRON:** Call it dereliction of duty.

4           **MR. PARTAIN:** This is Mike Partain. I  
5           wanted to take a moment to read a little  
6           excerpt from the Environmental Science and  
7           Engineering draft report, Evaluation of Data,  
8           based on the July 1984 samples. This document  
9           was released, according to the date on here,  
10          January 13<sup>th</sup>, 1985. So it's in this time  
11          period here, and in reference, this is Site  
12          22, the industrial area tank farm.

13                    "Of extreme importance is the high  
14                    level of benzene, 380 parts per billion,  
15                    detected in the sample collected from the deep  
16                    water supply well number 602. This  
17                    concentration of benzene far exceeds the ten  
18                    to the minus fifth human risk limit of 6.6  
19                    parts per ^ . Therefore, the use of this well  
20                    should be discontinued immediately."

21                    Now, this sample was taken July 6<sup>th</sup>,  
22                    1984. Like Jerry mentioned, we've got the  
23                    first three progress reports as according to  
24                    the work safety plan they were supposed to  
25                    submit these progress reports on a monthly

1 basis by the 15<sup>th</sup> of every month. The last one  
2 we have is dated July 15<sup>th</sup>, about a week and a  
3 half after the sample was taken.

4 So granted probably the data may not  
5 have been available for that July report, but  
6 the August, September and October reports,  
7 which are cited in this work study document,  
8 we don't have them. We don't know where  
9 they're at. I've been looking for them for  
10 about a year now.

11 Another concern here, they say the  
12 absence of contamination at Well 22-G-W-2,  
13 which I believe is a monitoring well,  
14 indicates that the migration pathway is deep  
15 not shallow. Does that mean that what was  
16 going on at the fuel farm, was that going  
17 straight into the deep aquifer and into these  
18 deep public supply wells?

19 Now another thing that we came across  
20 --

21 **MR. ENSMINGER:** Hey, hang on a second, Mike.  
22 There's one other thing I wanted to clarify.

23 The absence of benzene in the finished  
24 water as you mentioned, well, there weren't  
25 any benzene samples taken of finished water

1                   until after the benzene contaminated wells  
2                   were taken offline. So, gee, go figure.

3                   **MR. PARTAIN:** And that is one of our,  
4                   another person I've been working with sent me  
5                   a document, a letter from NUS dated August  
6                   1991 -- which I believe I provided to Morris -  
7                   - that states that, hey, if you go testing for  
8                   benzene, it's going to drive the Public Health  
9                   Assessment. I mean the Risk Assessment; I'm  
10                  sorry.

11                  **MR. STALLARD:** Can I intervene here real  
12                  quick?

13                  **MR. PARTAIN:** Yeah.

14                  **MR. STALLARD:** I know that you said on your  
15                  achieves that you wanted to address benzene,  
16                  and clearly, you're doing that. My question  
17                  is do you have any follow-up questions for  
18                  Bill specifically about his presentation right  
19                  now?

20                  **MR. PARTAIN:** Yes, I'll get to one right  
21                  now. One of the questions when we're talking  
22                  about the tables is my understanding if you're  
23                  not specifically looking for benzene, it's not  
24                  going to show up. Like with the TCE and PCE,  
25                  they were testing for THMs, and they

1           interfered. And that's how we know that they  
2           were there. If they were not specifically  
3           looking for benzene, then how is ATSDR going  
4           to be able to reconstruct that data?

5                       And second, when -- I understand thank  
6           you for pulling this erroneous Public Health  
7           Assessment down. Is ATSDR planning on sending  
8           notification to the VA and the Armed Services  
9           Committee and appropriate government entities  
10          that this has been redacted?

11                   **MR. ENSMINGER:** And the National Academy.

12                   **MR. PARTAIN:** And the National Academy of  
13          Sciences.

14                   **DR. CIBULAS:** I'm perfectly willing to work  
15          with everyone here to listen to  
16          recommendations on how we should follow up  
17          with that, and there obviously, are things  
18          that we should probably consider. And we'll  
19          work with Tom and the CAP and follow up and  
20          get back with you on that. But I think those  
21          are absolutely things that we should consider  
22          and probably do.

23                   **DR. SINKS:** Can I, may I make a suggestion,  
24          which is, Morris, maybe you could come to the  
25          mike and explain what you're doing in terms of

1 modeling benzene and how it's -- you could do  
2 it later?

3 **DR. CIBULAS:** All right, do it later.

4 **MR. PARTAIN:** I'm sorry, this is Mike  
5 Partain again. On the NAS and the Camp  
6 Lejeune Committee, can you guys send them a  
7 letter and let them notify, notify them that  
8 this has been pulled down before they finish  
9 their things?

10 **MR. ENSMINGER:** ^ the benzene.

11 **DR. SINKS:** Yeah, I think the, we can always  
12 send a letter. The way these national  
13 academies work, these committees, they pull  
14 together for a short period of time. They do  
15 their work. They write their report. They  
16 don't meet again. They extended, they  
17 actually did extend the life of the Committee  
18 for four or five months last fall. It's  
19 doubtful in my mind that we will influence  
20 their pulling them back together. It's not  
21 our committee, but we can certainly let them  
22 know.

23 Now, other experiences with the  
24 Institute of Medicine or the national  
25 academies, when we have had even comments

1 about their reports, they're just comments  
2 that go to the staff that manage the  
3 committees, but they never really go back to  
4 the committee who sits and puts judgment on  
5 it. So we can send that. It's probably, my  
6 guess is at this point it's a little late. We  
7 won't influence what they say.

8 But I think what we ought to be doing  
9 with the National Academy report is seeing  
10 what's in it and seeing what it's telling us  
11 to go forward. Because I think the whole  
12 purpose of it is to tell us what, you know,  
13 what we should be doing to go forward.

14 And I think the major issue here with  
15 benzene is that there clearly were reasons why  
16 we're uncomfortable with the '97 report  
17 related to benzene. I want to make sure we're  
18 not in the same situation with the 2009  
19 modeling report when we have to do with  
20 benzene and going forward how it will  
21 influence our epi study. I think that's what  
22 we absolutely need to be focused on.

23 I also want to just appreciate the CAP  
24 members, and particularly Tom Townsend and  
25 Jerry for, although I might not use the style

1 of the e-mail you sent, the information in it  
2 is critical. And Mike. I think Mike might be  
3 a little more stylistic.

4 The information you provide us is  
5 critical. I mean, this is just one example of  
6 something that helps us to be aware of things  
7 we need to be looking at. And I hope we're  
8 very responsive to looking at the, all of us  
9 are human. None of us are perfect. The more  
10 information we get from anybody the better off  
11 we'll be.

12 And I know that the members of the CAP  
13 have played a critical role in providing us  
14 new information all along at least the several  
15 years I've been involved. And we appreciate  
16 constructive critical thinking. That's where  
17 we should be. So I just want to tip my hat to  
18 you because I think it was very constructive  
19 although I might edit some of Jerry's style.  
20 I think it was very constructive to get the  
21 information.

22 **MR. ENSMINGER:** I'd just like to say that we  
23 are in Day 99 of change, and by God, we're  
24 starting to see it. Thank you.

25 **MR. PARTAIN:** This is Mike Partain again.

1 One quick follow-up question with the benzene  
2 issue. Now, there was a benzene reading in  
3 one of the Tarawa Terrace wells. I believe it  
4 was TT-23, and there are USTs at Tarawa  
5 Terrace. Has the benzene, well, we're looking  
6 at Hadnot Point, are we going to go back and  
7 look at Tarawa Terrace as well?

8 **MR. ENSMINGER:** USTs were figured.

9 **DR. BOVE:** Why don't we wait until Morris' -  
10 - Yeah, we can raise these issues and also the  
11 benzene questions, too. Why don't we wait for  
12 Morris?

13 **MR. STALLARD:** Tom, you had a question on  
14 the phone. We have about four minutes, and  
15 we're going to a break.

16 **MR. TOWNSEND (by Telephone):** Yeah, I've got  
17 a couple comments on Dr. Cibulas' operations.  
18 In 2000 I sent an e-mail to ATSDR pointing out  
19 the discrepancies in the operation in the  
20 described operations in the water system at  
21 Camp Lejeune. It was obvious from looking at  
22 the 1997 Public Health Assessment that they  
23 didn't seem to realize the distribution, the  
24 water service distribution areas that were  
25 being covered.

1                   And I pointed out that Holcomb  
2 Boulevard wasn't put in until much later on,  
3 1973, that the service areas were changed  
4 around, the missing documents from Camp  
5 Lejeune, the 35 documents referenced in the  
6 Public Health Assessment had been eaten by the  
7 CAP twice and all that crap.

8                   A lot of credit is due to, given to,  
9 it should be given to Jerry and Mike. I'm  
10 getting older. I'm getting older. I've been  
11 working at this thing since 1999, I think,  
12 somewhere in there, ten years. I've collected  
13 70,000 documents, written about 1,200 damn  
14 FOIAs, and I still don't understand why DHAC  
15 didn't seem to get the word in 2000 about the  
16 screwed up '97 Public Health report. It's  
17 about time that bloody bird dies. That's it.

18                   **MR. STALLARD:** Thank you, Tom.

19                   It is break time. We're running  
20 significantly behind the agenda, so can we  
21 take -- well, it says 15 minutes. Can we do  
22 12? Be back at 20 'til, please.

23                   (Whereupon, a break was taken from 10:28  
24 a.m. until 10:41 a.m.)

25                   **MR. STALLARD:** At this time I'd like to

1 introduce Julie Fishman and Ben Gerhardstein  
2 who will give us a presentation to the CAP  
3 members and answer CAP member questions  
4 relative to the NCEH/ATSDR National  
5 Conversation on Public Health and Chemical  
6 Exposures. So with that I'll turn it over to  
7 Julie who'll present from down here.

**NCEH/ATSDR NATIONAL CONVERSATION ON PUBLIC**  
**HEALTH AND CHEMICAL EXPOSURES**

8  
9 **MS. FISHMAN:** Thank you.

10 Good morning, everyone. My name again  
11 is Julie Fishman, and I'm the Associate  
12 Director for Program Development at  
13 NCEH/ATSDR. I have to say between swine flu  
14 update going on next door and the discussion  
15 that you all just had with the very exciting  
16 developments that were presented, I hope that  
17 you'll find my presentation interesting.

18 I'm honored to be here to discuss the  
19 National Conversation on Public Health and  
20 Chemical Exposures with you all. Your  
21 extensive knowledge and experience is key as  
22 we move forward with this project. We are in  
23 a formative stage with this project. It's a  
24 work in progress. But my purpose this morning  
25 is basically to share with you where we are at

1 this point, get your input, and then describe  
2 some proposed future opportunities for  
3 involvement.

4 So this project really is trying to  
5 take a look at broad issues related to the use  
6 and fate of chemicals. The vision for this  
7 project is that chemicals --

8 **MR. TOWNSEND (by Telephone):** Sandy? Sandy?

9 **MS. BRIDGES:** Yes, sir?

10 **MR. STALLARD:** Hey, Tom, we're in the middle  
11 of a presentation now, so you and Sandy can  
12 talk here shortly, okay?

13 **MR. TOWNSEND (by Telephone):** I thought we  
14 were out of session.

15 **MR. STALLARD:** We're back in. Thanks. We  
16 just started with a presentation that's on the  
17 agenda.

18 **MR. TOWNSEND (by Telephone):** Okay. Okay.

19 **CAPTIONER:** The audio is really fairly bad  
20 for the captioner.

21 **MR. STALLARD:** Okay, everybody's mike off  
22 except for the speaker?

23 **MS. FISHMAN:** So the vision for this project  
24 is that chemicals are used and managed in ways  
25 that are safe and healthy for all people, and

1           there are several components of this that  
2           really are required to achieve this vision, at  
3           least as we've been developing this project so  
4           far.

5                     One is we've been describing,  
6           discussing just earlier this morning the  
7           specific issue of Camp Lejeune, but it's a  
8           broader issue as well. It's accurate  
9           information on chemical use, exposure pathways  
10          and exposure levels. And then a broad  
11          understanding of how these chemicals affect  
12          health.

13                    Proactive database policies and  
14          practices that prevent or reduce harmful  
15          exposures, effective prevention of,  
16          preparedness for and response to chemical  
17          emergencies, elimination of inequities in  
18          exposure. A well-informed public and  
19          healthcare provider network, public engagement  
20          in governmental decision making about  
21          exposures, and close collaboration and  
22          coordination among partner organizations and  
23          agencies.

24                    We recognize this is a lofty vision  
25          but if we had all these things in place, we'd

1 be much farther ahead in this country in terms  
2 of how we deal with chemical exposures.

3 Just giving some headlines, you all  
4 are aware of many of these issues. This is  
5 just a sampling of the types of exposure  
6 issues that hit the headlines. I just want to  
7 show ^ with this vision when they're not.  
8 Given that we are a Public Health agency  
9 within ATSDR and CDC we take a look at this  
10 issue based on a public health approach to  
11 chemical exposures and their essential  
12 functions of public health and environmental  
13 health that we base these on. And these also  
14 you'll see match up with the vision.

15 There's surveillance and data  
16 collection, research, investigation of  
17 incidents, releases and outbreaks, emergency  
18 preparedness and response, implementation and  
19 evaluation of interventions, policies, laws  
20 and regulations and education, communication,  
21 public participation.

22 We recognize in efforts to protect the  
23 public from toxic exposures that there are  
24 many, many actors, and this is just a short  
25 listing of many federal entities on the left-

1 hand side and then other organizations ranging  
2 from state and local agencies, industry  
3 groups, labor groups, environmental and  
4 community groups, academia that are involved.  
5 So we recognize that we don't do this work in  
6 a vacuum, that we must engage with many other  
7 actors and players in terms of doing this  
8 work.

9 We also recognize that in the over two  
10 decades since ATSDR was established there are  
11 a number of changed circumstances in terms of  
12 what we know about chemical exposures and how  
13 we address them. We recognize that there are  
14 pathways other than what you might call the  
15 traditional pathways of hazardous waste sites,  
16 air and water to include things like consumer  
17 products, food, other pathways.

18 We also have an appreciation from a  
19 much broader range of health outcomes and  
20 lower dose effects. So whereas initial  
21 efforts were largely in direct cancer, there  
22 are many, many other health outcomes of  
23 concern whether you're talking about  
24 respiratory effects, endocrine destruction,  
25 reproductive effects. There are many other

1 outcomes that have become increasingly  
2 important to our efforts.

3 Biomonitoring, which is the  
4 measurement of toxic substances in human  
5 samples, such as blood and urine, has really  
6 been a large change in the field over the last  
7 two decades, and the laboratory here in our  
8 sister part of environmental health in NCEH,  
9 the Environmental Health Laboratory, has done  
10 a lot of work in characterizing exposure, and  
11 we need to bring that to bear in the work that  
12 we do within ATSDR and other efforts that we  
13 undertake to protect the public from toxic  
14 exposures.

15 We also have new approaches to  
16 toxicity testing like computational toxicology  
17 that were not in existence at the time that  
18 the agency was created. Environmental justice  
19 which has always been a concern but it was not  
20 necessarily named as such has increasingly  
21 informed the work that we do in looking at  
22 inequities in exposure.

23 And then there's some advances around  
24 green chemistry and the changes and design of  
25 chemicals to be safe on the front end and

1 looking much more upstream rather than  
2 downstream after so many effects have occurred  
3 to try to design chemicals to be safer and to  
4 look at the entire life cycle analysis of  
5 chemical so you really understand the impact  
6 it has hopefully even before it enters  
7 commerce which leads to the last advance,  
8 REACH, which is the European Union's effort to  
9 address toxic chemicals which is looking at a  
10 much more proactive type of precautionary  
11 approach. And this is impacting what we're  
12 doing in the United States.

13 So I'm just going to hit here on a few  
14 examples of potential conversation topics.  
15 These are just some examples to show that the  
16 types of things we're thinking about as we're  
17 forming this project. These are not written  
18 in stone, but these are the kinds of things we  
19 want to take on. I'm not going to go in depth  
20 on each of these but just as examples.

21 Assessing health concerns at the sites  
22 is a clear area that ATSDR has had traditional  
23 involvement with. There's some successes.  
24 There are many challenges, and the ^ provide  
25 opportunities to rethink what we are doing.

1 This is very similar to what we were  
2 discussing earlier this morning.

3 Similarly, for provision of  
4 toxicological information and also for  
5 biomonitoring, just as an example for  
6 biomonitoring. There've been successes.  
7 We've determined the U.S. population exposure  
8 levels for many chemicals.

9 There are many more chemicals that we  
10 continue to need to evaluate. Interpreting  
11 these results, having people understand what  
12 they mean, knowing what it means to have a  
13 level in the body is an important challenge.  
14 And then opportunities, how do you use these  
15 results in decision making. So these, again,  
16 are just some examples.

17 So the goal of the National  
18 Conversation, at least as we have stated it  
19 thus far, is to develop an action agenda for  
20 revitalizing the public health approach to  
21 chemical exposures. This includes identifying  
22 gaps, potential redundancies, priorities and  
23 solutions.

24 We will focus on the role of NCEH and  
25 ATSDR since that is what we can control, but

1 we recognize that other federal agencies and  
2 other entities are critically involved in this  
3 work, and we know we don't do our work in a  
4 vacuum. And so we have to assess our work in  
5 the context of other agencies, but we  
6 understand that we have control over what we  
7 do.

8 So I want to share a few concerns that  
9 we have heard already about this and just  
10 share some of our responses. But then we'd be  
11 glad to discuss this further in the question  
12 and answer period.

13 So we've heard from several folks, why  
14 don't you just focus on NCEH/ATSDR? That's a  
15 big enough issue in itself. And our response  
16 to that is we really feel that we must  
17 understand the bigger picture to improve our  
18 work, whether it's other agencies, such as EPA  
19 or the National Toxicology Program or  
20 Department of Homeland Security, DOD. There  
21 are other entities that are involved with this  
22 work. And we feel that we have to be mindful  
23 of that to be able to do a good job ourselves.

24 Concern about why haven't I heard  
25 about this earlier. And I'm going to talk

1 about this a little bit more when I share a  
2 timeline with you, but we really are just at  
3 the beginning here. This project has not been  
4 launched in a formal kick-off yet. There've  
5 been several meetings and things that have  
6 occurred to date that I will share with you in  
7 just a moment. And we're really honored to be  
8 here to discuss and get your input at this  
9 phase of the process.

10 This concern that says we've told you  
11 before what this is referring to the fact that  
12 people have talked about what needs to be done  
13 in this area, the numerous reports, why don't  
14 you just take those reports and do something  
15 with them. And we do plan to use existing  
16 materials, existing documents. We do not want  
17 to re-invent the wheel here. But basically  
18 we'll take those and be the foundation of what  
19 we do to move forward.

20 Now, we've also heard let's not talk  
21 about this anymore. We don't need  
22 conversations. Let's act. We know what to  
23 do. And we do want to take action. I said  
24 very clearly to Dr. Frumkin when I took on  
25 this project that I did not want to work on

1 another report that sits on the shelf. I'm  
2 not interested in that. I want to take this  
3 to action. And so the aim for this is to have  
4 a conversation to gain broad support for the  
5 type of action that we want to take.

6 We've had a mention here of  
7 transparency and open government, and we just  
8 wanted to draw your attention which I think  
9 you were familiar with, President Obama on  
10 January 21<sup>st</sup> put out a government memo and  
11 charged agencies within I think 120 days to  
12 respond back indicating the government should  
13 be transparent, participatory and  
14 collaborative. I feel that the CAP is an  
15 example of that.

16 This process is meant to be an example  
17 of that as well on some broader issues related  
18 to chemical exposures. And so we feel like  
19 this is an opportune time with the type of  
20 approach that the administration is taking  
21 regarding transparency to be an example of a  
22 project that is trying to do that.

23 So I'm going to give you a brief  
24 timeline here. This timeline starts in  
25 January 2009, but the project has been under

1 development in terms of at least ideas about  
2 the scope and process for approximately the  
3 past year. The more dedicated effort on this  
4 project started in the fall of this past year,  
5 fall of 2008, when we hired a dedicated staff  
6 person to work on this, and that is my  
7 colleague, Ben Gerhardstein, who's right here.  
8 We're actually in the process of bringing on a  
9 couple more staff to work on this.

10 But basically, during the time period  
11 before this timeline starts, there were  
12 several one-on-one meetings that Dr. Frumkin  
13 had with other federal agencies and with some  
14 non-governmental organizations just floating  
15 this idea. Is this the right time to have  
16 this type of conversation. Are these the  
17 right types of questions.

18 We also started having meetings with  
19 our division directors in May of 2008, and  
20 then had some all-hands meetings and  
21 opportunities for initial input from our staff  
22 within NCEH and ATSDR starting in early in  
23 2009. Then on this timeline here where it  
24 says project development, on March 6<sup>th</sup> there  
25 was a workshop that was held, and we have

1 notes and participants from that workshop  
2 available for anyone who is interested.

3 This was basically pulled together --

4 **MR. ENSMINGER:** Who was there at that  
5 meeting?

6 **MS. FISHMAN:** Who was there? I can read it.

7 **MR. ENSMINGER:** I mean, want you to -- I've  
8 already seen it.

9 **MS. FISHMAN:** Absolutely, thank you.

10 **MR. STALLARD:** Please use your mike.

11 **MR. ENSMINGER:** I'd like you to announce who  
12 was invited to that meeting.

13 **MS. FISHMAN:** Okay, I just wanted to say one  
14 thing before that. I just wanted to say what  
15 the purpose of that workshop which was  
16 basically pulling together individuals from a  
17 variety of different sectors to consult with  
18 us on this project, give some early feedback  
19 on some key questions that we should consider,  
20 and the scope and process.

21 And I have an invitation list which I  
22 can read. I also have noted who was and  
23 wasn't there. If folks would indulge me to  
24 read this whole thing, would you, it's about  
25 30 names. Henry Anderson, who is the Chief

1 Medical Officer at the Wisconsin Division of  
2 Public Health; Tina Bahadori, who is at the  
3 American Chemistry Council; John Balbus from  
4 the Environmental Defense Fund; Scott Becker  
5 from the Association of Public Health  
6 Laboratories; Barry Breen from the Office of  
7 Solid Waste and Emergency Response at the U.S.  
8 EPA. There are several people inside CDC. Do  
9 you want me to list those as well?

10 **MR. STALLARD:** No, I don't think that's  
11 necessary.

12 **MS. FISHMAN:** We have the full list.

13 **MR. STALLARD:** Let me just cut to the chase.

14 Jerry, what do you want out of --

15 **MR. ENSMINGER:** No, that's fine. Go ahead.  
16 Continue on.

17 **MS. FISHMAN:** Continue on, not reading or do  
18 you --

19 **MR. ENSMINGER:** No, no, continue with your  
20 presentation.

21 **MS. FISHMAN:** And this is available for all  
22 interested, and Jerry, just see, the one's who  
23 are marked there are the ones who were invited  
24 and didn't attend, weren't able to make it,  
25 and the remaining people were there. And then

1                   this is list of just the participants which we  
2                   can actually pass around.

3                   **MR. STALLARD:** Thank you.

4                   **MS. FISHMAN:** So this project development  
5                   workshop, as it's noted on here, was basically  
6                   gaining input on several questions related to  
7                   the scope and process. And we're now at a  
8                   point where we have sort of a draft scope and  
9                   process, but we are still at a point where  
10                  there's opportunity for input and involvement,  
11                  and that was why I'm here.

12                  We also, in addition to that meeting,  
13                  have started presenting at invited meetings  
14                  such as this one, public meetings such as this  
15                  one, this CAP meeting, and we've also met with  
16                  the Association of State and Territorial  
17                  Health Officials. They have a group of state  
18                  environmental health directors we wanted some  
19                  early input from, and also the National  
20                  Association for County and City Health  
21                  Officials. They have an Environmental Health  
22                  Committee. We've met with those groups within  
23                  the last month, and then the CAP is the third  
24                  example of a meeting of folks that are  
25                  interested in these issues.

1                   Just to walk through the rest of the  
2                   timeline I can give you a sense of our  
3                   approach here. We will have a kick-off  
4                   meeting. We're planning a kick-off meeting,  
5                   large public meeting, for this process in late  
6                   June, and we will get details to the CAP as  
7                   soon as possible on that. We're looking at a  
8                   date of June 26<sup>th</sup>. We're just confirming a  
9                   location and I just want to make sure I have  
10                  that location confirmed before I let you know  
11                  the date is confirmed.

12                  That will be an opportunity to bring  
13                  together a wider spectrum of folks  
14                  representing many of the sectors that I shared  
15                  on the slide earlier to basically kick off  
16                  this project. On the timeline you'll see we  
17                  have three prongs on here. A series of  
18                  working groups, which I'll describe to you in  
19                  just a moment; a set of regional forms and  
20                  community town hall meetings, which have yet  
21                  to be set but that is another opportunity for  
22                  input that we are interested in pursuing; and  
23                  then we're also very interested and very  
24                  excited about using some of the emerging  
25                  electronic platforms for web-based

1 discussions. And this is also very fitting  
2 with President Obama's, some of the efforts  
3 they're trying to undertake for public  
4 participation.

5 So then basically these will be going  
6 on and feeding information to each other is  
7 the idea and so that issues that are being  
8 dealt with will be addressed and input will be  
9 received through multiple channels.

10 We also have our National Conference  
11 on Environmental Public Health which is  
12 October of 2009 here in Atlanta. That is a  
13 conference we have about every three years  
14 addressing a broad range of environmental  
15 health topics. The last one we had was in  
16 December of 2006. We plan this to be one of  
17 the discussion topics in that conference. And  
18 we would be very interested just on a side  
19 note for presentations related to Camp Lejeune  
20 at that conference and can provide a little  
21 information about the conference for anyone  
22 who's interested.

23 The idea that we would have a draft  
24 action agenda that would be prepared some time  
25 in 2010, and that it again would have

1 additional opportunity for feedback on the  
2 agenda before it's finalized and then we go  
3 into implementation beginning in January 2011,  
4 at least as it's currently scoped out.

5 So one of the opportunities for input  
6 is a series of working groups, and these are  
7 proposed topics. We've worked through a  
8 number of different ideas we have for how to  
9 put these groups together. And this is open  
10 to change if there's a sense through the input  
11 that we're receiving up until the kick off in  
12 June, that these don't make sense to folks.

13 We are willing to revisit them. And  
14 we've gone through a lot of various iterations  
15 of this in trying to think about how you  
16 organize these topics since there's overlap  
17 between some of them. And we want to make  
18 sure that we're not putting folks in such a  
19 narrow group that they don't have an  
20 opportunity to discuss the broad range of  
21 issues.

22 But the six that are proposed at this  
23 point are monitoring that deals with  
24 collecting information on chemical use,  
25 exposure pathways, exposure levels and health

1 outcomes. Advancing our scientific  
2 understanding which includes filling knowledge  
3 gaps on the health effects of chemicals,  
4 policies and practices which is a very broad,  
5 large group. Addressing reducing harmful  
6 exposures and address health outcomes,  
7 eliminating inequities and spurring the  
8 development and use of safe alternatives.

9 We have a group proposed on chemical  
10 emergencies, preventing, preparing for and  
11 responding to acute chemical incidents. One  
12 that is very cross-cutting and really affects  
13 everything I've discussed so far related to  
14 serving communities. How do we address local  
15 chemical exposure concerns, to promote  
16 environmental justice and improve health. And  
17 then six on education and communication which  
18 is to ensure a well-informed public and a  
19 competent network of healthcare providers.

20 And I have just one more slide and  
21 then have a chance to open up. So there's  
22 some additional opportunities for input that  
23 we are proposing, and again, we are open to  
24 feedback on these and other mechanisms to  
25 reach out as broadly as possible and to get

1 input in this project.

2 We're talking about having some in-  
3 person meetings, regional and community  
4 forums. It's still open as to where, when and  
5 how. These are, feel that there needs to be  
6 some in-person engagements that are, for folks  
7 that cannot commit to or have the time to  
8 participate on a working group that will be  
9 meeting over multiple months but to have an  
10 opportunity to give input in a public setting.

11 We also are, as I mentioned,  
12 discussing and exploring some options for a  
13 web discussion platform, and we have some  
14 interesting ideas about ways for input and  
15 polling and priority setting via an electronic  
16 mechanism for people who may not be able to  
17 attend an in-person meeting or who want to  
18 comment in more than one format.

19 And then we're also exploring having a  
20 subcommittee of our existing Board of  
21 Scientific Counselors, which is our formal  
22 mechanism for receiving advice. It's a formal  
23 advisory committee operating under the FACA  
24 law. We are starting explorations with the  
25 Board of Scientific Counselors who meets next

1 at the end of May to have a subcommittee that  
2 would focus on this project to give input.

3 And finally, I just want to give our  
4 contact information which I note Jerry already  
5 has, but we are available. We are dedicated  
6 to working on this effort, and I'm very  
7 interested in hearing your thoughts, questions  
8 and comments. Thank you very much.

9 **MR. STALLARD:** Okay, we have about ten  
10 minutes for questions and answers, and we'll  
11 go from there. So please --

12 **MR. ENSMINGER:** I didn't see anywhere in any  
13 of these proposals even the word mentioned  
14 Public Health Assessments, and that's where  
15 your biggest problem in ATSDR lies is with the  
16 Public Health Assessments. I mean there is  
17 absolutely no continuity in the Public Health  
18 Assessments.

19 It depends on who's writing it over at  
20 DHAC on whatever information they want to  
21 cherry pick for that Public Health Assessment.  
22 What studies they want to cite. They're even  
23 pulling stuff out of their butts and putting  
24 it in these official documents that say that  
25 300 parts per billion of trichloroethylene

1                   won't hurt you. If you got exposed to 300  
2 parts per billion or less, you're fine and  
3 dandy.

4                   Where are they coming up with this  
5 stuff? And how can this agency publish that?  
6 You guys got to have a set standard, and  
7 that's something that you've got to cover in  
8 this thing or this thing ain't worth a damn.  
9 You're not going to correct any of the  
10 problems that ATSDR has.

11                   Number two, I didn't see any community  
12 group members invited to that 6 March meeting.  
13 Why? You're laying the groundwork for this  
14 thing with all these people from all these  
15 big, highfalutin organizations, but the  
16 community members, which I'm part, I'm one and  
17 everybody at this table is and some of the  
18 people on the phone, but we're not included.  
19 Why?

20                   I mean, you guys want to set up the  
21 groundwork and lay out the basis for how this  
22 thing's going to go, and we don't have any  
23 input in it? You're going to include us  
24 later, right? When everything's already been  
25 formulated? Huh-uh. I'm not window dressing.

1           **MR. STALLARD:** Is that it for your question?  
2           So you're asking for pre-decisional  
3           involvement essentially?

4           **MR. ENSMINGER:** Absolutely. And then any  
5           other community group that has been dealing  
6           with ATSDR and has had problems with ATSDR.  
7           You're not, the way you're going about this  
8           you are not addressing the problems that  
9           people have pointed out at ATSDR. You're  
10          going around it instead of attacking it or  
11          responding to it.

12          **MR. STALLARD:** Thank you.

13                                Feedback, I'm sure.

14          **MS. FISHMAN:** Yes, let me start and we can  
15          take it from there. We did not discuss  
16          particular types of information products, for  
17          example, Public Health Assessments or tox  
18          profiles, but that's not because they're not  
19          included. We just, in level of detail for the  
20          slide set I already had to cut slides out so  
21          they are very much on the table. All of our  
22          information projects with both ATSDR and NCEH  
23          are on the table for what we're discussing  
24          here. So just because it's not on the slide  
25          does not mean that we're not going to address

1                   it and discuss it.

2                   In terms of your discussion and your  
3                   question about who's working on the Public  
4                   Health Assessments and continuity and  
5                   particularly around setting levels, that is  
6                   very much on the agenda. And it's not just  
7                   our levels. There are levels that we set.  
8                   There are levels that EPA sets on various  
9                   chemicals. There's levels that OSHA may set,  
10                  NIOSH.

11                  And how are these harmonized? We  
12                  often run into situations where the levels are  
13                  different across agencies. And there could be  
14                  good reasons for that, but we need to be able  
15                  to explain those and be transparent about why,  
16                  what is this level and what does it mean.  
17                  Because once you set a level, that has  
18                  tremendous meaning for all kinds of things.

19                  **DR. SINKS:** I think if you -- I don't know  
20                  if the slides are still up, but if you go back  
21                  to the categorical slide that had categories  
22                  of things, part of the art of trying to figure  
23                  out how to do this is how to break this into  
24                  sizeable chunks to get it done.

25                  And I will point out one thing Julie

1 very clearly said. This is not a process to  
2 look at Public Health Assessments. This is a  
3 process to look at our entire organization in  
4 terms of NCEH and ATSDR and how we contribute  
5 in terms of the federal response, the state  
6 response and all kinds of things.

7 So in terms of, Jerry, if you're  
8 looking at a detailed assessment about what  
9 Public Health Assessments do, this will touch  
10 upon it, but this isn't the drilled-down,  
11 detailed stuff in terms of that particular  
12 process that you maybe would like that to be.  
13 Now, if you look at these categories, number  
14 one, number two, number three, number four,  
15 number five and number six all have to do with  
16 Public Health Assessments. They're all there.

17 The issue to this will be how do we  
18 get people who are critical thinkers, like you  
19 who's a critical thinker, to help us put into  
20 perspective the Public Health Assessments but  
21 also the other pieces that are relevant to  
22 what we do.

23 We're very interested also in the  
24 synergies and the modernization of where we  
25 are, where we should be today. I mean, all of

1 the ATSDR language was drafted 25 years ago,  
2 doesn't even touch upon things like  
3 biomonitoring which are very relevant now that  
4 we have an opportunity to work with the assets  
5 we have at ATSDR and NCEH. So that's part of  
6 this is grabbing this together.

7 I think the other issue is involvement  
8 of community members. My impression was there  
9 were some people at that first meeting, and I  
10 think Julie can talk about it about who that  
11 is, but I'll tell you my own -- concern isn't  
12 the right word -- but my own thinking on this  
13 is frequently when we go into a community, the  
14 people that identify themselves first as I'm  
15 the person who represents the community are  
16 usually are a person who represents themselves  
17 and their point of view. And it's always  
18 difficult to figure out how do you get the  
19 community.

20 And here we're not talking about the  
21 community of Camp Lejeune. We're talking  
22 about the community of communities, of  
23 communities across this country and how do we  
24 get that representation. And any advice you  
25 can give us on how to draw those people in

1 early and soon is good. We have thoughts  
2 about how to do it, but we're very open to  
3 hearing your ideas on how we could make it  
4 better.

5 **MR. ENSMINGER:** You could start by inviting  
6 them.

7 **DR. SINKS:** Well, I think Julie can give you  
8 an idea of who was invited into that first  
9 meeting.

10 **MR. STALLARD:** Thank you, Tom.

11 Are there any other questions that  
12 haven't been addressed?

13 **MR. ENSMINGER:** Well, there's one other  
14 thing about this thing that, it's just an  
15 observation of mine, but this is bleeding over  
16 into a lot of the EPA's areas, too, this  
17 entire program. So is the EPA onboard with  
18 this?

19 **DR. SINKS:** Part of the reason why we went  
20 early to talk to the other federal agencies  
21 was to engage with them and to get their input  
22 and involvement and interest, and there are  
23 many different parts. EPA is a very large  
24 organization. There are many different parts  
25 who very much want to be involved, and I think

1 we have a large number of them involved. The  
2 key is to not make this 500 people from EPA  
3 and one person from the community.

4 So we're trying to figure out how to  
5 engage with a lot of EPA partners. You may  
6 not realize it, the ATSDR side has a very  
7 strong partnership with OSWER at EPA, but  
8 we're also involved with a drinking water  
9 group. We're involved with the emergency  
10 response group. We're involved with the air  
11 group. We're involved with the research and  
12 development group in various programs across  
13 our agency. So the answer is yes.

14 **MS. FISHMAN:** And if I could, can I just add  
15 one thing?

16 **MR. ENSMINGER:** One more thing, getting  
17 continuity in Public Health Assessments is  
18 not, I don't feel, drilling down too far.  
19 Because you can't just let Public Health  
20 Assessments be written at the whim of the  
21 individual that's writing it. You've got to  
22 have continuity. If you don't have  
23 continuity, you don't have anything. You  
24 don't have an organization. You've got a  
25 bunch of individuals running around.



1 open and want to hear input about how to  
2 represent, as Tom said, communities and  
3 communities of communities. But in terms of  
4 who was at this particular meeting who  
5 represent communities, but obviously there are  
6 many, many community concerns, and we do not  
7 in a small meeting have every community there.  
8 But there are numerous opportunities for input  
9 in this process along the way.

10 But who was there on March 6<sup>th</sup>? Lois  
11 Gibbs from the Center for Health, Environment  
12 and Justice.

13 **MR. ENSMINGER:** Love Canal.

14 **MS. FISHMAN:** Love Canal. Peggy Shepard,  
15 who is involved with an environmental justice  
16 group called WE ACT up in New York in Harlem.  
17 And then Beverly Wright with the Deep South  
18 Center for Environmental Justice, which is  
19 down in New Orleans. So this is just a, this  
20 is a small snapshot. And we have already  
21 received comments, well, they don't represent  
22 communities. They're a level above  
23 communities. And there's, and you could argue  
24 about that, but I think there definitely are  
25 ways to get community members --



1 in 2005, which was how we stepped in about a  
2 week before Katrina hit. First, we had  
3 Katrina, which took our, the entire agency  
4 involved for about six months. And before  
5 that, so back in 2003, there was a  
6 reorganization, and we consolidated the  
7 National Center for Environmental Health and  
8 ATSDR. So one of the issues was we have that.

9 Dr. Gerberding became the Director of  
10 CDC. She decided to reorganize all of CDC.  
11 So for the next two years there was a very  
12 significant reorganization that was going on  
13 across the organization that was impacting  
14 morale. It was creating new layers. It  
15 created a lot of issues, was well reported in  
16 the newspapers. It didn't affect your lives.  
17 It affected all of our lives in terms of how  
18 we did our business.

19 And I can tell you knowing Dr. Frumkin  
20 that these thoughts about where we were at  
21 ATSDR were in his mind when he walked in the  
22 door. But we did not feel it was an  
23 appropriate time to do another round of  
24 thinking and evaluating at a time when, one,  
25 we've already had a consolidation across our

1 two organizations. Two, we were in the middle  
2 of a much larger reorganization at CDC that  
3 was affecting everybody across the  
4 organizations.

5 And we really felt we were kind of in  
6 burnout of organizational thinking. And it  
7 really wasn't until, I think, about a year  
8 ago, maybe a little more than a year ago, that  
9 Dr. Frumkin began to feel that this was an  
10 appropriate time to start looking at this and  
11 evaluating this, and he started taking those  
12 steps to talk to colleagues in other federal  
13 agencies to build the energy, if you will, to  
14 do it.

15 So one can look back and imagine many  
16 things that one wants to imagine, but I can  
17 tell you from at least sitting on the inside  
18 and seeing the many organizations, there was a  
19 lot of organizational fatigue to doing these  
20 things. And even this, which I think is a  
21 very constructive, positive process, will come  
22 at a cost of people's energy, people's time,  
23 people's interest, people's morale.

24 And we want this to be a very positive  
25 step forward. And what I am hoping is that

1 people like yourself and people like the CAP  
2 and others will see this as a great  
3 opportunity to engage and help us to do better  
4 work in the future because that's really what  
5 we have in mind.

6 **MR. STALLARD:** Okay, I'd like to thank --  
7 Yes, Tom, we're going to move on.  
8 What's your question?

9 **MR. TOWNSEND (by Telephone):** The audio on  
10 this is terrible, for those of us on the  
11 telephone.

12 **MR. STALLARD:** Okay, well, thanks for that.

13 **MR. TOWNSEND (by Telephone):** I've got some  
14 comments on this National Conversation crap.

15 **MR. STALLARD:** It is constructive? If it's  
16 not --

17 **MR. TOWNSEND (by Telephone):** Well, it's  
18 constructive. This sounds like a big apology  
19 for the boys at National Conversation. These  
20 guys at DHAC, DHAC is not doing its job, and  
21 it should be. That's the constructive part.

22 **MR. STALLARD:** Well, is there a specific  
23 question that you have?

24 **MR. TOWNSEND (by Telephone):** Well, I assume  
25 that we're just putting this thing on the

1 table; I don't know what's going on because  
2 the audio is so bad that those of us on the  
3 outside, I don't have the vaguest idea what  
4 the hell you guys are talking about, and I'm  
5 looking at the television screen. It looks  
6 like Japanese.

7 **MR. ENSMINGER:** Hey, Tom, this is Jerry.  
8 I'll fill you in on this stuff a little later  
9 on. I'll call you.

10 **MR. TOWNSEND (by Telephone):** I've got  
11 better things to do than watch this joke.

12 **MR. STALLARD:** Well, what we did ask for is  
13 open and honest communication, and clearly, we  
14 get that here. We encourage that.

15 But I'd like to thank Julie and Ben  
16 for taking time to come share with the CAP and  
17 to engage the CAP in future activities of the  
18 National Conversation as they have done.

19 A question I did get is, are your  
20 presentations available or can they be made  
21 available?

22 **MS. FISHMAN:** Absolutely. This slide set,  
23 there is also, as I mentioned, notes from the  
24 March 6<sup>th</sup> workshop that includes the  
25 participants who were there. There's also the

1 list that is going around of people who were  
2 invited who couldn't attend. That was  
3 participants, yes. And we will share all of  
4 that information and anything that's up on our  
5 intranet site, and we are working on an  
6 internet site that will have constant updates.

7 **MR. STALLARD:** Great. Thank you very much.

8 Morris, are you ready? Because you're  
9 going to --

10 **MR. MASLIA:** I have to log in to my account.

11 **MR. STALLARD:** Tom, we're going to be making  
12 the transition to Morris' presentation now.

13 **DR. SINKS:** Just, folks, I'm going to take  
14 off because I have a few other things  
15 upstairs, but if you need me just send a, just  
16 have Jerry send me a text. I'll be upstairs,  
17 and if there's anything else I can do, let me  
18 know. But I appreciate seeing y'all today.  
19 One thing I would like Perri, you and Frank to  
20 think about, is as your scheduling CAPs to  
21 maybe do it around the time we're having our  
22 national conference in October so that maybe  
23 these folks could be attending the national  
24 conference in addition to the CAP.

25 And I don't know if anybody's putting

1 anything in on Camp Lejeune for the  
2 conference, but it might be a good idea. I  
3 don't know if the window of opportunity is  
4 closed, so I'll just leave that with you guys.

5 Again, thanks all of you and nice to  
6 see you.

7 **MS. BRIDGES:** Can we do something about the  
8 quality of the sound system? No one can  
9 watch, not just Tom, but no one else will be  
10 able to see it either --

11 **DR. SINKS:** Yeah, we can check and I don't  
12 know what we can do, but we can certainly  
13 check into it.

14 And, Tom, you know you can always call  
15 me if there's anything I can help to explain  
16 or you want to yell at me.

17 **MR. STALLARD:** Did we have this last time?  
18 Did we have a problem with the audio last  
19 time?

20 **MR. PARTAIN:** It was in and out when I was -  
21 -

22 **MR. STALLARD:** Really?

23 **MR. PARTAIN:** Yeah, I was having a hard time  
24 hearing.

25 **MS. BRIDGES:** In the other building it

1                   wasn't bad.

2                   **MR. STALLARD:** See what happens with change?  
3                   We came from the old building to the new high  
4                   tech building.

5                                 All right, Morris, are you about  
6                   ready?

7                                 All right, folks, get comfortable  
8                   because we're going to be with Morris for  
9                   quite a few.

10                   **WATER MODELING UPDATE AND DISCUSSION ABOUT**  
11                   **EXPERT PANEL MEETING**

12                                 **MR. MASLIA:** Are you all ready?

13                                 **MR. STALLARD:** Let's see what --

14                                 **MR. MASLIA:** What I've decided to do today  
15                   is to sort of go through my presentation that  
16                   I'm going to be giving to the expert panel.  
17                   As you know, we've got an expert panel meeting  
18                   scheduled for tomorrow and the day after.

19                                 And I wanted to first be clear for  
20                   those who are not familiar with that this is  
21                   not a federally-mandated backup. It's not a  
22                   peer review panel, but rather it's a group of  
23                   experts that we have invited and try to  
24                   include representatives of all the  
25                   stakeholders having expertise. They represent  
                    federal, academia, private consulting as well

1 as people of national and international fame  
2 or repute, to provide input to the agency on  
3 the approaches that we should try to follow or  
4 use for Hadnot Point and Holcomb Boulevard.  
5 As well as obviously there will be some  
6 discussion I'm sure on the Tarawa Terrace area  
7 although the focus of the panel is on Hadnot  
8 Point, the object being that we've used  
9 certain techniques and approaches at Tarawa  
10 Terrace and are those techniques and  
11 approaches appropriate for Hadnot Point? Can  
12 we improve upon them?

13 We should look out for what we need to  
14 improve upon because that's really the purpose  
15 and recommendations to ATSDR that we will need  
16 to sit down and decide how or if and when to  
17 implement it. And I've got total data with  
18 respect to Tarawa Terrace just to give you an  
19 idea so with that I will proceed.

20 I just want to go over again, we used  
21 this at Tarawa Terrace, and it applies to all  
22 the water modeling that we've done, and that  
23 we will be doing for Hadnot Point. We have  
24 four goals, and they remain the same. These  
25 were goals that were provided to us or asked

1           upon us to try to achieve from the  
2           epidemiological standpoint, and that's a very  
3           important point to understand. It was not the  
4           water modeling saying these are the goals that  
5           we need to help you out, but rather the  
6           epidemiologist telling us this is what we need  
7           in order to conduct the study.

8                         And they go in order of achievability.  
9           In other words if we couldn't do anything  
10          given the limited data, could we at least  
11          determine arrival dates at contaminated wells.  
12          If we were able to do that, could we then  
13          determine the distribution of contaminants by  
14          housing location. So we've done that for  
15          Tarawa. And by housing location I meant in  
16          the broader sense.

17                        We provide the epidemiological study  
18          with monthly mean concentrations. And  
19          finally, could we provide epidemiologists a  
20          sense of reliability. How certain are we of  
21          the results? And these remain the same for  
22          Hadnot Point and Holcomb Boulevard. So again,  
23          this is reviewed for you, just the panel  
24          meeting tomorrow.

25                        When we first started out, we

1 obviously thought, now we know differently,  
2 but we thought we had two exposed areas and  
3 one totally not exposed area. The two exposed  
4 areas were Tarawa Terrace and Hadnot Point.

5 And this is going back to 2003 for us,  
6 and this was totally unexposed, and subsequent  
7 to receiving information from both the CAP and  
8 documents from the Marine Corps and newspaper  
9 articles, we're as confident as we can be  
10 without an operator telling us that Holcomb  
11 Boulevard began full time service around June  
12 of 1972, and so that will be factored into the  
13 epi study but that's what's changed since we  
14 first started.

15 And, of course, now, and this will  
16 impact the Hadnot Point and Holcomb Boulevard,  
17 and there's a booster pump right here and a  
18 valve referred to as well. It's a creek valve  
19 here, booster pump right here. And going  
20 through the logbooks from the water treatment  
21 plant and in meetings with former and current  
22 operators we now understand that this booster  
23 pump was operated intermittently during the  
24 dry spring months, primarily April, May or  
25 June for a few hours during the day to provide

1 additional water supply to the Holcomb  
2 Boulevard area.

3 And if that water supply was  
4 insufficient, pressures were getting low, then  
5 they would open up the Wallace Creek valve.  
6 There are notations into that. And that is  
7 something we will need to address. And that's  
8 something the panel will be addressing, too,  
9 how best to try to model that, or recreate  
10 that given the limited data.

11 **MR. PARTAIN:** Morris, just one question on  
12 the interconnection valve. The Paradise Point  
13 championship golf courses, that they required  
14 water. Now, I understand we've got the dry  
15 months, April, is it March, April, May, June.  
16 But those golf courses require daily watering,  
17 and from what I understand, the use of treated  
18 Holcomb Boulevard water to water those golf  
19 courses is a considerable drain on the system.  
20 How is that being factored into the water  
21 model?

22 **MR. MASLIA:** We will, the golf courses are  
23 easy to deal with, and I'll tell you why.  
24 Because they have since the use of the treated  
25 Holcomb Boulevard water has since been

1 replaced by golf course wells. We know what  
2 the well capacity, they're going to water the  
3 golf courses in 2009 the same as they watered  
4 it in 1985.

5 **MR. ENSMINGER:** Yeah, but you've got new  
6 equipment.

7 **MR. MASLIA:** And then we're not at that  
8 resolution in the golf course reconstruction.  
9 It's just not going to get that fine.

10 So we know what the present well  
11 capacity is. We know the amount of water that  
12 they're using now. So rather than having  
13 wells, we'll just put that in as a completion  
14 of the water or a demand on the system back  
15 whenever we run it, and it'll take out that  
16 much water from the system.

17 And we will see the model will be able  
18 to tell us if in fact we need to turn on the  
19 booster pump or open up a valve or how exactly  
20 we need to balance the system given that they,  
21 from our experience and field testing, they  
22 would, their operational load is to flat line  
23 the storage tanks.

24 **MR. PARTAIN:** Do we have an idea of what  
25 kind of draw those two golf courses were

1 taking? I've seen stuff on the --

2 **MR. ENSMINGER:** He just said they flat lined  
3 the storage tanks.

4 **MR. PARTAIN:** Yeah, but how many thousands  
5 of gallons --

6 **MR. MASLIA:** I don't have a number off the  
7 top of my head, but we will need to know that  
8 because that'll be what we refer to as the  
9 demand. That's for the water going out of the  
10 pipelines in the golf course.

11 **MR. PARTAIN:** Because from what I'm seeing  
12 you're talking hundreds of thousands of  
13 gallons of water for each golf course, and you  
14 got a two million capacity at Holcomb. I want  
15 to make sure that's accounted for in that  
16 model.

17 **MR. MASLIA:** That will be accounted for.

18 I'm bringing up this slide basically  
19 because it's now fairly complete. It's meant  
20 to be generalized, not to get very specific,  
21 and it shows the relationship between all the  
22 activities going on over time which makes it  
23 very useful.

24 You see the health study up here. We  
25 know Hadnot Point was the original water

1 supply system, so it's been going on the whole  
2 time. Tarawa Terrace, through documentation  
3 we've established it came online somewhere  
4 around in 1952 and, of course, it closed in  
5 March of '87. Holcomb Boulevard from June  
6 '72, and it's still going.

7 This is basically all of what we call  
8 the documented VOC contamination that's  
9 measured data. That's all we have. And down  
10 in the green is the historical  
11 reconstructions. So we've completed Tarawa  
12 Terrace. This is when it went above the MCL,  
13 November '57, and Hadnot Point since we're  
14 working on it we don't know. But I'm guessing  
15 since it operated in the 40 and with disposal  
16 practices and everything else, we're probably  
17 going to see somewhere in the 50s as  
18 contamination is hitting. But that's what the  
19 historical reconstruction will determine.

20 The point to be made, and we'll make  
21 this I'm sure many times in the expert panel,  
22 is there's nothing else we can do about  
23 reducing uncertainty unless somebody tells me  
24 they've got some additional information or  
25 data. That is all the data that there is and

1           that's what we're calibrating the models to.  
2           So the uncertainty is what it is.

3                     There's obviously maybe a disagreement  
4           in agency philosophy on that, and that's what  
5           it is. But there's nothing, no tweaking. We  
6           can run models from now until we're blue in  
7           the face. It's not going to reduce the  
8           uncertainty because you have nothing more to  
9           compare it to, and so that's a point that  
10          needs to be taken into account.

11                    So the rest I just want to go over  
12          Tarawa Terrace and Hadnot Point. I think it's  
13          important since we're coming up on an expert  
14          panel to see how and where we implemented the  
15          recommendations with Tarawa Terrace because we  
16          held one of these panels back in 2005, and  
17          they came up with some recommendations.

18                    And this is the expert panel report,  
19          and they came up with five recommendations.  
20          Some of these have sub-recommendations like  
21          the groundwater modeling, but basically they  
22          were categorized into five sections: data  
23          discovery, chronology, ground water modeling,  
24          data analyses for Hadnot Point, which  
25          obviously, we have not implemented 'til now.

1                   And then water distribution.

2                                 And what I did, I went through in the  
3 Chapter A Report, and I've got a marked-up  
4 copy if anybody wants to see it. But this is  
5 the section in the Chapter A. That's the  
6 summary of Tarawa Terrace, and this is the  
7 page number. And this is where the  
8 recommendation is implemented. So we  
9 implemented every recommendation that was  
10 made, the agency actually agreed with and  
11 implemented it.

12                                 For example, the sensitivity analysis  
13 where we actually went well beyond and went  
14 into the probabilistic analysis and which took  
15 some effort. So it is, you can find it  
16 directly in that, and that was the way the  
17 report was written, in essence, is to also be  
18 able to incorporate and explain where we  
19 implemented the recommendations of the panel.

20                                 So the big picture we can summarize in  
21 three bullets here. Basically, it is our  
22 belief, the agency's belief that the  
23 calibrated models for Tarawa Terrace are  
24 useful for the epidemiological study, for  
25 groundwater flow, fate and transport and

1 mixing. So the results we have provided can  
2 be used by the epidemiologists.

3 They also point out that the high  
4 concentrations, in terms of Tarawa Terrace,  
5 and I'm speaking of only Tarawa Terrace right  
6 now, that were measured in the 1980s are  
7 representative of the high concentrations over  
8 many years. And there's no indication that  
9 finished water had higher concentrations than  
10 that.

11 And finally, the conclusions that we  
12 made and the quanta of things that we've been  
13 able to provide to, for the epidemiologists  
14 would not be possible without the modeling  
15 approach. And that goes back to the  
16 previous... It would not be possible because  
17 you've only got that limited information.

18 Was there a question?

19 **MR. BYRON:** No.

20 **MR. MASLIA:** Oh, okay.

21 So the results from Tarawa Terrace  
22 basically did two things. Besides telling us  
23 the exposure concentrations, they established  
24 the relationship between the supply wells and  
25 the drinking water concentration and basically

1 indicated that the driving force was TT-26.

2 When TT-26 was shut down for  
3 maintenance, so did the concentrations in the  
4 water treatment plant go down almost  
5 instantly. And when the two wells, TT-23 and  
6 -26 were shut down permanently, of course, the  
7 aquifer still contained contaminated water, so  
8 another well started pulling water into the  
9 treatment plant. And these are to be looked  
10 at as mean values or average values.

11 What we then did in the course we  
12 needed to answer, so this analysis basically  
13 answered the first three goals. That is, when  
14 the arrival at the wells, the distribution in  
15 terms of the wells mixing it at the treatment  
16 plant, and then it all went out to the housing  
17 area, and what the monthly concentrations were  
18 from the drinking water.

19 The fourth goal is the reliability is  
20 answered by this graph right here, and this  
21 just shows two different types of  
22 probabilistic analyses. The blue line here is  
23 the same blue line we just saw on the previous  
24 graph. So that blue line is this blue line  
25 right there.

1                   So we ran one type of analysis where  
2 we used the same pumping as we did in the  
3 previous graph. In other words, we  
4 established based on model calibration, based  
5 on trial and error, based on going through the  
6 logs and what data we had that this was the  
7 pumping schedule on a monthly basis. And then  
8 but we varied all the other parameters, all  
9 the other hydrologic parameters. In other  
10 words what is infiltration? What is the  
11 source contamination at ABC? How did it vary?  
12 And that's the yellow band right here, the  
13 yellow band.

14                   And then we ran another type of  
15 analysis where we said, well, even pumping is  
16 uncertain, and rather than having a constant  
17 value for the month, we let it vary. And  
18 that's this red band here. What this shows us  
19 is, yes, there's variation, but it still shows  
20 that no matter which analysis was, whether  
21 some pumping was constant is uncertain, it's  
22 still where we had the data captured the data.  
23 They are contained in the bounds or the  
24 uncertainty limits. These bands represent  
25 basically 95 percent of all the probabilistic

1 analysis. You can think of it as 95 percent  
2 confidence limit, stated simply. So that  
3 shows us our confidence.

4 And what I've done, there was a  
5 question that came up, and it's an interesting  
6 discussion topic as to what should you  
7 calibrate to. What should you tune your model  
8 to? And it turns out as we have stated all  
9 along that there is no calibration standard  
10 for models in the U.S., maybe worldwide.  
11 There just isn't. So if you go out, and  
12 you're doing a model for a mediation, you may  
13 use a much tighter limit between what the  
14 model says and what you measure in the field.  
15 If we're doing a reconstruction, we may have a  
16 broader limit.

17 So what I've done in this plot is the  
18 data, which are the squares here are all the  
19 data that's available. That's the same data  
20 that's plotted in Table A-10 and Figure A-12.  
21 But rather than expressing it, whether we made  
22 it in terms of plus or minus, so many ppb or  
23 feet, I plotted it in terms of the confidence  
24 that I just showed you in the last, and what  
25 you see where we have data measured above the

1 MCL, we are in every single confidence limit  
2 that there is.

3 **MR. ENSMINGER:** Wouldn't that be your  
4 calibration?

5 **MR. MASLIA:** No, no. When you're doing a  
6 probabilistic analysis, you don't do a  
7 calibration. When you're doing what we call a  
8 deterministic single point value, you assign  
9 single values to model parameters, and then  
10 you say I'd like a model in terms of water  
11 level to be within plus or minus five feet of  
12 what I measured.

13 In terms of concentration you may say  
14 I want it to be within plus or minus an order  
15 of magnitude, plus or minus a half-order of  
16 magnitude, or whatever value you want. It may  
17 not be possible to achieve that. I believe we  
18 did, but a better way, not necessarily a  
19 better way, but another way to show this and  
20 to answer the question, well, how reliable is  
21 that, is we showed you the 95 percent band in  
22 the previous slide.

23 And whether you do pumping excluded or  
24 pumping included, you see that the measured  
25 data fall on that band. All these fall right

1           there. And that's all the data. All this  
2           over here -- and we're showing it are non-  
3           detects. So non-detects with no blue square  
4           means that there's no measured data. It just  
5           says the record says non-detect on it.

6                         Where there's a symbol right here it  
7           means they came up with a measured value.  
8           You've got a non-detect of ten and -- I think  
9           this is a six value. They wrote down a six.  
10          Somebody determined it was six ppb. So that's  
11          just an indication that, in fact, we believe  
12          the model is reliable enough for the  
13          epidemiological study. Again, it's the  
14          purpose for what it's intended to be.

15                         So that's it on Tarawa Terrace.  
16          Again, there may be some discussion at the  
17          expert panel meeting on that or again, the  
18          purpose will be geared more towards Hadnot  
19          Point, and I can answer questions about that  
20          or go on to Hadnot Point.

21                         **MR. BYRON:** This is Jeff, and I'd like to  
22          stick with TT for a second so I understand  
23          that all the water modeling's been done, grass  
24          straw and you name it. But the DOD doesn't  
25          agree with you even though they've been

1 involved in this whole process. What's up?

2 **MR. MASLIA:** I'm glad you mentioned about  
3 the DOD. We got comments from the Department  
4 of the Navy. So I'll address that just so  
5 we're all on the same page if that's okay.

6 They provided us on June 19<sup>th</sup> of 2008,  
7 with a letter pointing out some questions that  
8 they had, concerns with the Tarawa Terrace  
9 modeling. We addressed those in detail, and I  
10 think sent the letter back to them on March  
11 the 10<sup>th</sup>.

12 There are certain items that we agreed  
13 with them on; there isn't sufficient data.  
14 There's nothing we can do about that. Agreed  
15 to that, and said, yes, that will increase the  
16 uncertainty. There's no question. If you  
17 double the data points we could do something  
18 about that. We can't.

19 On certain items we disagree. I think  
20 they feel the model is not sufficiently  
21 calibrated for the epidemiological study. We  
22 disagree with that. That's just the bottom  
23 line.

24 **MR. BYRON:** Well, wasn't the process figured  
25 out before with the expert panel meeting? I

1 mean, this is one reason why I'm not going to  
2 stay tomorrow. Because first off, I'm not a  
3 scientist. I wouldn't really have that much  
4 to input, but what good is it if you finished  
5 the water modeling, and they just turn around  
6 and say, well, we don't agree with it. So  
7 what --

8 **MR. MASLIA:** I'm not sure what the political  
9 process is or the agency-level process is, but  
10 in fact -- and I think we need to wait really,  
11 I'm curious to see what the NRC report, I know  
12 Dick Clapp was on the panel, and we'll see  
13 what they -- you weren't?

14 **DR. CLAPP:** As a reviewer.

15 **MR. MASLIA:** You were a reviewer, okay.  
16 We'll see what they have to say about that.  
17 In other words, if somebody came back to us  
18 and said, you know, if you use this value and  
19 change it or do something else, that's not a  
20 problem for us to say, okay, we'll do that.  
21 But if you make a generalized statement that  
22 it's not in their opinion, and again, that  
23 there's questions whether it can be used from  
24 an epidemiological study, our opinion is that  
25 it can be.

1                   And the panel is really not going to  
2 specifically address that question. What  
3 they're going to address is, number one, you  
4 used a certain method at Tarawa Terrace with  
5 all of its good points and all of its flaws.  
6 It's got both. You've got answers now. Now,  
7 given that Hadnot Point is significantly more  
8 complex, are there things you can either  
9 tweak, take different approaches for Hadnot  
10 Point that we should be doing. And that's  
11 really what we want to know for Hadnot Point.

12                   **MR. ENSMINGER:** Morris, just to clear up a  
13 point here. Wasn't your work, your published  
14 work at Tarawa Terrace, also published in a  
15 peer review journal?

16                   **MR. MASLIA:** Yes, it was. And the reports  
17 were sent -- just so everybody's clear --  
18 prior to the agency, or as the agency was  
19 clearing from an agency standpoint, they were  
20 also sent out to individual experts to review.

21                   **MR. BYRON:** Independents.

22                   **MR. MASLIA:** Independent experts. For  
23 example, Chapter B on the geology was sent out  
24 to Dr. James Miller, who is retired from USGS,  
25 and did all the, is an expert in the Atlantic

1 Coastal Plain. So we sent that out. In our  
2 opinion that's a much more useful approach on  
3 these type of reports than holding a panel for  
4 each report or holding a two-day panel and  
5 then doing it because they can critique and  
6 tell you to change certain things in the  
7 report, which we did by the way. I don't  
8 think there was anything that we outright  
9 rejected in changing the report.

10 But the bottom line is the data are  
11 what the data are. Neither ATSDR or DON or  
12 the Department of Defense can change anything  
13 about that data. That is what's going to be  
14 reflected in the uncertainty. But there is  
15 uncertainty there, and I would think it would  
16 be in the matter of agency policy whether you  
17 accept it or don't accept it.

18 **MR. BYRON:** Morris, I guess basically what  
19 I'm driving at is that they have a motive to  
20 not accept your report. They have how many  
21 SF-95s have been filed now?

22 **MR. ENSMINGER:** Oh, he won't know that.

23 **MR. BYRON:** I didn't ask him. I'm asking  
24 Mary Ann. Anybody know? From DOD or  
25 Environmental Service? There's probably

1 thousands, okay. So it's in their best  
2 interest to say, well, we disagree with  
3 everything. They don't even have to read the  
4 report. It's kind of like Congress. We don't  
5 read the Stimulus Package; we just sign it.

6 **MR. MASLIA:** In any, and I've been involved  
7 in a number of them, not at this agency but at  
8 other agencies. You're going to have  
9 disagreements on a technical standpoint. A  
10 lot of times you can do something about that.  
11 If you're doing a remediation study, you can  
12 go out, obtain more information to verify. We  
13 don't have that ability with the historic  
14 reconstruction.

15 And that's all I'm telling you. I'm  
16 not saying good, bad or indifferent. I'm  
17 telling you the Navy commented on our report.  
18 We replied in a lot of detail because we do  
19 take anyone's -- and they'll give more  
20 comments when the epi study is completed  
21 whether the report's an appendix or whatever  
22 as part of the epi study from where they get  
23 their numbers.

24 Frank will ^ tomorrow showing how to  
25 use the concentrations. There will be other

1 members of the public or whomever that will  
2 write in during the public comment period, and  
3 we will need to address that. So there's  
4 still an opportunity to comment on it.

5 **MR. PARTAIN:** Morris, I have a quick  
6 question here. Just a clarification, isn't  
7 the purpose of creating a water model to help  
8 you understand and shed light on data that you  
9 don't have to begin with? I mean, I hear this  
10 banter back and forth between --

11 **MR. MASLIA:** The specific goal was, as the  
12 goal said, to provide concentration, monthly  
13 concentration information for the epi study.  
14 In doing so we needed to come up with  
15 information that we obviously did not have.  
16 And what the model does help you do is if  
17 you're running a model one leg, and for  
18 example, you're drying out the aquifer, you're  
19 pumping too much or you have to turn on some  
20 other wells. So it does indirectly help you  
21 figure out some operating scenarios.

22 **MR. PARTAIN:** I guess if you had the data,  
23 you wouldn't need to do the modeling.

24 **MR. MASLIA:** Or we might use the model just  
25 to refine where we have gaps. Here, most of

1 what we have is a gap.

2 **MR. STALLARD:** We have about seven more  
3 minutes.

4 **MR. MASLIA:** I think I can finish up and  
5 take questions.

6 Basically, on Hadnot Point-Holcomb  
7 Boulevard we're modeling analyses. Data  
8 analyses are about 95 percent complete. I'll  
9 get to the asterisk in a minute. And data  
10 report and a draft is 95 percent complete.  
11 We've developed some statistical and fate  
12 properties, different compounds and  
13 degradation and all that. That's complete.

14 Groundwater flow and transport  
15 modeling, there's a number of reasons why this  
16 is only ten percent complete. One is we did  
17 not want to go so far along and then have the  
18 expert panel and say, no, you should use this  
19 flavor of a model or that flavor of a model  
20 and come back. It's an order of magnitude  
21 more difficult and complex than Tarawa  
22 Terrace. So we basically have the input data  
23 that we need to get the model running. We  
24 know the size of the model, where it's  
25 located. I can bring that up if we have time.

1           You'll see it tomorrow if you're here. We've  
2           run some initial simulations, just what  
3           average water levels were before pumping  
4           actually began. But we have not proceeded  
5           past that, waiting for input from the expert  
6           panel.

7                     Water distribution system modeling, we  
8           actually have calibrated all pipes models for  
9           the Hadnot Point-Holcomb Boulevard area. This  
10          was using information we obtained when we did  
11          the field testing in 2004, and we've done some  
12          initial simulations where we turn on the  
13          booster pump, and we turn it off just to make  
14          sure the model had what we refer to as the  
15          water balances out. That means you're not  
16          drying out a tank or pipes go dry and things  
17          like that.

18                    And again, we're waiting for feedback  
19          from the expert panel exactly what type of  
20          simulations should we do. Should we do  
21          hypothetical? Should we do probabilistic?  
22          Should we do a one day, and that's a typical  
23          day? And like that, that's the purpose of the  
24          panel. So again, that's the reason we're not  
25          farther along on the modeling standard.

1                   We now have information that there's  
2                   about a hundred or more underground storage  
3                   and above-ground storage tank reports that  
4                   we've pulled off a website. And again, this  
5                   will be a question for the panel to provide us  
6                   input as to what to do with those. The  
7                   information universe apparently has no bounds  
8                   on it. And when I say that, I'm not saying  
9                   data. I'm saying information. But to see if  
10                  there's any data that's useful, you've got to  
11                  go through the information. And so the  
12                  question is, where do we stop?

13                 **MR. ENSMINGER:** Where did you get this  
14                 stuff, this new information?

15                 **MR. MASLIA:** This is from a website  
16                 maintained by Kaplan and Associates. It's a  
17                 NAVFAC website. We came across it in looking  
18                 up or requesting some references. I don't  
19                 know if we've pulled everything off there. We  
20                 do have access to it, and the reason we have  
21                 catalogued what we have, and there does appear  
22                 to be some useful information in terms of  
23                 water levels where the quality data on areas  
24                 that we have no information for.

25                   The question is, and this gets back to

1           some of the critiques on Tarawa Terrace, is in  
2           the Tarawa Terrace one, because the data was  
3           so limited, we could not, say, split the data  
4           and use part of the data to calibrate the  
5           model and the other part to verify the model.  
6           We may have that opportunity with this data  
7           here is to use the data over here that we've  
8           already compiled and gathered, run our models,  
9           do our simulations, then come back and test  
10          the model against the data contained in these  
11          reports.

12                    Again, that's something we want the  
13          expert panel to weigh in on where do you put  
14          bounds on a universe that apparently has no  
15          bounds on it. In other words at some point we  
16          need to provide information for the epi study.

17           **MR. STALLARD:** What does NAVFAC mean?

18           **MR. MASLIA:** NAVFAC, that's Naval Facilities  
19          Engineering Command.

20           **MR. PARTAIN:** Now, Morris, these one hundred  
21          reports, is this new information? Where did  
22          this, how did y'all come across this?

23           **MR. MASLIA:** It's new for us only that we  
24          had not seen it before.

25           **MR. PARTAIN:** Didn't ATSDR ask for any and

1 all information related to the water  
2 contamination documents?

3 **MR. MASLIA:** Yes, yes.

4 **MR. PARTAIN:** Then why weren't they provided  
5 until just now?

6 **MR. MASLIA:** I don't know. We came across  
7 this in doing what we consider is our quality  
8 assurance, quality control, in going through  
9 our data report, in trying to capture all  
10 references that make sure we have referenced  
11 all information.

12 And have, as you go through especially  
13 on historic sites, you may go through one  
14 reference and then it mentions another report.  
15 Many times we have those reports. In this  
16 case there were about a half dozen of these  
17 reports that we did not have, and we asked for  
18 those reports. And we were provided a link to  
19 this website to go find those reports.

20 **MR. PARTAIN:** Have y'all made a request for  
21 an index, was it Kaitlan (sic) and Associates?

22 **MR. MASLIA:** Well, we've got access to their  
23 website.

24 **MR. PARTAIN:** But I'd like to --

25 **MR. MASLIA:** I don't know if it's indexed.

1 We've got, the way the website works is you  
2 put in a site location or a building location  
3 or a name, and it'll list out all the  
4 references in that website.

5 **MR. PARTAIN:** Is this exclusively, I mean,  
6 can CAP members get into that and look at the  
7 documents, too, there?

8 **MR. MASLIA:** I can't answer that. We've got  
9 access. It's not a public, from my  
10 understanding, it's not a public website. We  
11 were given a password user ID, and we have  
12 downloaded the information. I'll have to  
13 defer to Scott on the legal aspects of that.

14 **MR. STALLARD:** We're going to let Mary Ann  
15 close out and then go to lunch.

16 **MS. SIMMONS:** I'm just going to mention one  
17 thing and the scholars and lawyers can correct  
18 me if I'm wrong. But I believe all these  
19 documents are available in the administrative  
20 record which is in the library at  
21 Jacksonville, and also, the State of North  
22 Carolina has them, too, so they're not new.  
23 It's just newly found.

24 **MR. MASLIA:** ^ documents? Because we were  
25 at North Carolina. We just went up there in

1 March, and they did not have them.

2 **MR. STALLARD:** Thanks.

3 Would that be an appropriate time?

4 **MR. MASLIA:** Well, let me see. I think this  
5 is just to give you an idea of magnitude  
6 difference in Hadnot Point and Tarawa Terrace.  
7 But there's about an order of magnitude more  
8 information. One of the things that gives us,  
9 I guess, a pause to be happy about is whereas  
10 we had no supply well tests at Tarawa Terrace,  
11 meaning testing the well performance, getting  
12 that property, we've got 69 supply well tests  
13 at Hadnot Point, 132 accra\* tests.

14 So there is more information. At the  
15 same time the model is much more complex.  
16 There are many more contaminated sites.  
17 There's not necessarily a single start-up  
18 date. Like ABC we could pretty much, based on  
19 the owner's deposition, say when they started  
20 operations and things of that nature. So  
21 there's uncertainty in areas, in other areas  
22 that we didn't have at Tarawa Terrace.

23 **MR. ENSMINGER:** Nineteen forty-two.

24 **MR. MASLIA:** So with that I know there are  
25 some questions about the, how we're going to

1 model BTEX and all that. I don't know. Do  
2 you want me to come back after lunch?

3 **MR. STALLARD:** This is the lunch break.  
4 You're back on the agenda at 1:00 p.m., from  
5 1:00 to 1:30.

6 Now wait a minute. What I would ask  
7 is for those of you who have information that  
8 you can share with others during the break  
9 that can be reported back to the CAP, that  
10 would be most appreciated so that everybody  
11 hears the same information and alleviates  
12 doubt and confusion. So please be back in one  
13 hour.

14 (Whereupon, a lunch break was taken from  
15 12:00 p.m. until 1:05 p.m.)

16 **MR. STALLARD:** This is Christopher here in  
17 Atlanta. We're going to resume our afternoon  
18 session. Who's on the line, please?

19 (no response)

20 **MR. STALLARD:** It said three people. So,  
21 Tom, are you on?

22 **MR. TOWNSEND (by Telephone):** Yes.

23 **MR. STALLARD:** Okay, and is there someone  
24 else there on the line, please?

25 **CAPTIONER:** Captioner is on the line.

1                   **MR. STALLARD:** Okay, thank you very much.

2                   Folks, thank you for a very productive  
3 morning session. We're going to start the  
4 afternoon session with Morris completing his  
5 presentation from this morning, and then we'll  
6 move on.

7                   I'd like to invite you to think about  
8 two things that you think the VA could do as a  
9 representative either at a meeting or on the  
10 CAP or whatever. In going back to our earlier  
11 discussion this morning, we heard a lot about  
12 the VA, and I want to capture those thoughts.  
13 So I want you individually to think of two  
14 things that you think of merit that we can  
15 capture.

16                   Okay, Morris.

17                   **CONTINUE WATER MODELING DISCUSSION**

18                   **MR. MASLIA:** Continuing where we left off, I  
19 just want to go into some bit of data that we  
20 put together for Hadnot Point-Holcomb  
21 Boulevard area. First I was remiss, and I  
22 just wanted to let the CAP know, for the  
23 expert panel, for each of the experts that are  
24 on the panel -- we have 13, I believe -- we've  
25 provided them with a notebook like this. If

1                   you want to look at it, that's fine.

2                   The rules of the game are most of this  
3                   is draft not cleared, so they have signed a  
4                   confidentiality agreement and they are  
5                   returning the notebooks back to us. So  
6                   there'll be one or two at the meeting also so  
7                   basically it's some raw data, a draft data  
8                   report, some background information, and  
9                   that's what they, plus we provided them with  
10                  Chapter A reports, stuff like that.

11                  So that's basically what they gave us  
12                  their pre-meeting comments on, and there was  
13                  basically to assist them in coming to the  
14                  meeting as prepared as possible. Plus they  
15                  have access to any of the Tarawa Terrace  
16                  reports that are on the web.

17                  With that said there was a question  
18                  this morning about modeling and so on, how  
19                  we're going to do that for the Hadnot Point  
20                  area. While I don't want to get into the  
21                  details, specific details, I wanted to go over  
22                  just some of the data that we do have. And  
23                  what we have here is just the site areas, the  
24                  site investigations where we do have  
25                  information. So we've grouped it, and

1 basically there's a landfill area, the  
2 industrial area and then Site 888 for areas.

3 And the key would be to try to capture  
4 those and for the other areas in the model to  
5 get answers to the three types of compounds  
6 that we said we were going to look at which  
7 would be PCE as a source, TCE as a source, as  
8 a degradation product. PCE is the source, and  
9 then BTEX compounds. And so with that let me  
10 pull up another slide.

11 This becomes much more problematic  
12 than Tarawa Terrace. This is what we call a  
13 regional or an overall model grid where we're  
14 first using a process that we did in Tarawa  
15 Terrace, we need to first figure out how the  
16 water's flowing or where the groundwater's  
17 flowing. For that we don't need such a fine  
18 resolution.

19 **MR. STALLARD:** Tom, or whoever's on the  
20 line, could you please mute your phone or turn  
21 off any type of appliance that might have  
22 sound coming out? Thanks.

23 **MS. RUCKART:** I think they're watching the  
24 presentation on their computer. It's the  
25 audio from the streaming.

1           **MR. MASLIA:** So from a regional standpoint  
2 we have the model out to the natural  
3 boundaries, and that's the overall model and  
4 that's where I say the model's about 50 square  
5 miles in size. That's what that area  
6 represents.

7           **MS. BRIDGES:** What are the dots on there?

8           **MR. MASLIA:** Wells.

9           **MS. BRIDGES:** Wells or contaminated wells?

10          **MR. MASLIA:** No, don't jump now. We don't  
11 model like that.

12          **MS. BRIDGES:** They're wells.

13          **MR. MASLIA:** They're just well locations.  
14 These are supply wells coming through here.  
15 They may be also monitor wells as well.  
16 Again, I know these are supply wells up here.

17          **MS. BRIDGES:** And they drain into those  
18 creeks like.

19          **MR. MASLIA:** Well, no, the creeks are just  
20 drains which we have to account for because  
21 that's either water going into the creeks or  
22 coming out of the creeks depending on the  
23 seasons, and the model needs to know that.  
24 But what I was looking for is, yeah, this is  
25 it right here.

1                   So now, looking over there, we  
2 obviously cannot, or we don't really want to  
3 do a contaminate fate transport over that  
4 entire model grid because, number one, the  
5 contamination did not go all the way out to  
6 the boundaries. It's much more  
7 computationally intensive to do that, so we  
8 can isolate in on what we call local grids or  
9 local refined areas. So that's where we'll  
10 actually do the contaminate fate transport.

11                   And here, this is just rough areas  
12 right now. Again, we're asking the expert  
13 panel to give us feedback on that. But so  
14 we'll have this groundwater flow all the way  
15 and out here, and they would be going here and  
16 just do the transport in these little sub-  
17 areas. We have to actually have two different  
18 model areas for transport.

19                   So that's it on the modeling at the  
20 site, and then someone, we were talking a  
21 little bit earlier on about the compounds and  
22 depths and stuff like that. What we have  
23 done, for example -- and I'll show you a  
24 couple of these. This is PCE. And what we've  
25 done here is we've taken a section through

1 here. And this is now depth so now you can  
2 look at the depth of the contamination with  
3 depth.

4 And the circles represent the size of  
5 the, or the concentrations. The larger the  
6 circle, the higher the concentration. So, for  
7 example, the maximum would be this large  
8 circle here is 170,000 micrograms per liter.  
9 That's obviously pure product since PCE  
10 saturation is about 150 micrograms per liter.  
11 So you can see the pluses are non-detects what  
12 you can see you've got PCE going way down.  
13 And that would be expected if you had pure  
14 product up here because it's denser than  
15 water.

16 So, yes, that would tend to, if there  
17 were wells pumping here, tend to impact a  
18 pumping well. So there's land surface sea  
19 level. Sea level Camp Lejeune ranges anywhere  
20 from sea level to about 30, 40 feet above sea  
21 level, so land surface is about right here.  
22 So your supply wells would typically in this  
23 range right here. So that's something that we  
24 have, in other words, go through the data that  
25 we need to understand prior to running the

1 model to do that. And we've done that.

2 TCE as well, you can see TCE, you've  
3 got much higher concentrations at depth. Down  
4 here, again, almost pure product down there,  
5 and then the benzene is there. Again, you've  
6 got benzene. It's floating. It's to be  
7 expected. It's LNAPL so it's on the surface  
8 or very near the surface. Over there with a  
9 maximum right there. And if we look at depth,  
10 these are the detections of benzene. So it's  
11 basically right near the surface. Again, this  
12 is data that were obtained in various reports.

13 **MR. PARTAIN:** Hey, Morris, looking at that  
14 the benzene's up on the surface.

15 **MR. MASLIA:** Right, on or near the surface.

16 **MR. PARTAIN:** Six-oh-two's a deep well.

17 **MR. MASLIA:** Yeah, again, look at the  
18 concentration here though of, again, so as a  
19 well starts pumping it's going to dilute going  
20 down. At 602 remember it had, what, 720?  
21 720?

22 **MR. PARTAIN:** Yeah.

23 **MR. MASLIA:** Something like that. So that's  
24 much more diluted than the 36,000.

25 **MR. PARTAIN:** I'm just not seeing plots of

1 benzene downward.

2 **MR. MASLIA:** Well, no, remember, this  
3 doesn't show time. It just shows all data  
4 that we have. And when you run the model,  
5 you're going to run it in time, and that's  
6 where you'll determine over time how the  
7 concentration increases the well water.

8 **MR. PARTAIN:** So this point here is assumed  
9 is a star point on the data?

10 **MR. MASLIA:** No, it's just data.

11 **MR. PARTAIN:** This is data.

12 **MR. MASLIA:** It's data as we go through  
13 reports. And we say where do we have benzene  
14 data. Where do we have TCE data. Where do we  
15 have PCE data, and we just put together a  
16 spreadsheet. And this is just giving you a  
17 sense of the amount of data we have, where  
18 it's located and at what depth the sample was  
19 taken or the sample occurred, let me put it  
20 that way.

21 **MR. PARTAIN:** Was there any deep water  
22 sampling for benzene?

23 **MR. MASLIA:** This is all the benzene data we  
24 have.

25 **MR. PARTAIN:** I mean, do we have any data to

1 say that they did sample deep water and found  
2 nothing?

3 **MR. MASLIA:** No, not unless it says non-  
4 detects. In other words, these are all the  
5 detections. The one before that shows all  
6 samples including non-detects, and you do have  
7 some, that's about the deepest that you have,  
8 right around it looks like about ten feet  
9 above sea level.

10 **DR. BOVE:** Except for the supply well.

11 **MR. MASLIA:** Right, yeah, yeah, but they --

12 **DR. BOVE:** I think that's what his point is,  
13 is that the benzene level --

14 **MR. MASLIA:** It's in the supply well, right.  
15 This is not necessarily a supply well. This  
16 is all sampling data obtained during, for  
17 example, site investigations.

18 **DR. BOVE:** I think the question was why  
19 aren't there points more deeper --

20 **MR. MASLIA:** Site investigations typically  
21 took place after the supply wells were shut  
22 down.

23 **MR. PARTAIN:** Well, we've got that one here  
24 where July 6<sup>th</sup>, we've got a supply well sample  
25 with benzene. As part of the site

1 investigation I don't see a plot for it.  
2 That's what I'm questioning.

3 **MR. MASLIA:** I'll have to look at that.

4 **MR. PARTAIN:** Because it's pulling up July  
5 6<sup>th</sup>, 602, 380 parts per billion benzene.

6 **MR. MASLIA:** We've got those probably  
7 tabulated separately under --

8 **MR. PARTAIN:** That's why I'm questioning  
9 because I --

10 **MR. MASLIA:** -- supply, this is what we  
11 refer to as site investigations, not  
12 necessarily going to, you know, somebody comes  
13 in and investigates the site, not necessarily  
14 going to the supply well, turning them on to  
15 get a sample or getting samples from the  
16 supply well. We've got tables of supply wells  
17 and then we see what contaminants are in the -  
18 -

19 **MR. PARTAIN:** This was the initial site  
20 investigation?

21 **MR. MASLIA:** Not necessarily initial. This  
22 was again, this is a compilation of all site  
23 investigations. So in other words if you've  
24 got two dozen reports from various site  
25 investigations, this reflects all of the data

1 that were obtained from all.

2 **DR. BOVE:** But not the supply wells.

3 **MR. MASLIA:** Not the supply wells.

4 **MR. STALLARD:** But that will be reflected in  
5 some other chart.

6 **MR. MASLIA:** Yes, yes, yes. We've got  
7 tables of supply wells. We are separating  
8 these out because, again, when you're running  
9 the model, you can simulate a concentration at  
10 X-Y-Z location which is not a well  
11 necessarily. But then again you also model ^.

12 I think that's -- the only other thing  
13 is to let you know that I believe we're  
14 shooting for some times in May. We'll be  
15 taking six or seven staff people up to Camp  
16 Lejeune to go through various documents, go  
17 through, when BAH came onsite, they gathered  
18 or indexed what's available in terms of  
19 records and stuff. We looked through that for  
20 the Tarawa Terrace, and so we're going back  
21 now to go through that for Hadnot Point and  
22 see if there's any additional information or  
23 we've missed anything. And that will be  
24 hopefully in May, and we're planning to spend  
25 about a week.

1                   So I think that's it. I'll open it up  
2                   to any other questions. If not, we'll be here  
3                   for the next two days for the expert panel  
4                   meeting and then we will, like we do with ^  
5                   have a report or a document coming out, out of  
6                   the expert panel meeting, and there'll be  
7                   recommendations that they have made, and what  
8                   changes they suggest or modifications in  
9                   approach.

10                   I did want to add one thing. I  
11                   brought this chart here. We have come up --  
12                   and we're presenting this to the expert panel  
13                   -- with a method that is a lot simpler than  
14                   the big numerical model that we used for  
15                   Tarawa Terrace that we're proposing here.  
16                   It's a crude method, but we feel that it may,  
17                   in fact, if nothing else it's a screening --  
18                   well, depending on times and budgets and all  
19                   that, that may be the approach to take at  
20                   Tarawa Terrace because it does address  
21                   contamination in supply wells, which is what  
22                   we need to get to the water treatment plant.

23                   So we're planning to present that as a  
24                   screening level method and see if the expert  
25                   panel thinks that it should be used and it



1 expert panel. Our corroborator from Georgia  
2 Tech developed it at our request basically  
3 anticipating that, yeah, are there other  
4 methods that may get us 95 percent of the way  
5 with only expending 20 percent of the effort  
6 and budget. In seeing the amount of  
7 information for Hadnot Point and just  
8 basically have to deal with that may be a  
9 better way, a more efficient way and get us  
10 closer.

11 And it can provide monthly  
12 concentrations. And it's really the supply  
13 wells that we're interested in. All this  
14 other location around that, the wells and all  
15 that, that's just dated excess to obey the  
16 rules of the model, do modeling correctly.  
17 We're really not interested in that. We're  
18 not interested in what the concentration is  
19 between Building 21 and Building 25 for the  
20 purpose of the epi study.

21 What we want to know is what is the  
22 concentration in the supply well, and how did  
23 that supply well mix at the treatment plant.  
24 That's really what we're interested in and if  
25 we can do that, if that looks like a viable

1 method, and the expert panel says, yes, go  
2 ahead and further refine it and let's see  
3 where it goes, we may...

4 **MR. TOWNSEND (by Telephone):** Chris.

5 **MR. STALLARD:** Yes.

6 **MR. TOWNSEND (by Telephone):** This is Tom  
7 Townsend. I have a comment for Morris.

8 **MR. MASLIA:** Sure.

9 **MR. TOWNSEND (by Telephone):** Okay?

10 **MR. MASLIA:** Okay, I'm ready.

11 **MR. TOWNSEND (by Telephone):** Hey, Morris,  
12 thank you so very much for your work. I think  
13 as a resident and have lost a wife and a child  
14 to this junk at Paradise Point, I appreciate  
15 all the work you're doing, and I hope that  
16 beside the expert panel, I hope that the  
17 Veterans Administration is paying attention to  
18 what the hell you're doing. Thank you a lot.

19 **MR. MASLIA:** Thank you, Tom. It's always  
20 good to hear your voice, and as I said,  
21 hopefully our goal for tomorrow really is to  
22 set the direction for the next few months and  
23 to go forward from that. So with that, that's  
24 my presentation. I think Frank is up and...

25 **MR. TOWNSEND (by Telephone):** Hey, Frank?



1 had to go through, or Morris had to go through  
2 this effort. Why we need data on a monthly  
3 level, for example. So the data analysis,  
4 what we have planned, and again, we're willing  
5 to hear advice from the panel. There are  
6 epidemiologists on the panel -- Dick will be  
7 on the panel, for example. Some colleagues of  
8 his are going to be on the panel.

9 So the first thing we're going to do  
10 is we're going to analyze neural tube defects  
11 separately, oral cleft separately and then  
12 evaluate cleft lip and cleft palate  
13 separately. Even though we do have small  
14 numbers, those two defects sometimes have  
15 different etiologies, and it's often good to  
16 look at them separately in case they have  
17 different results for them. And then we'll  
18 combine, as we were asked to do way back in  
19 the 2005 now, we'll combine the non-Hodgkins  
20 lymphoma with childhood leukemia. So that's  
21 the first thing we're going to do.

22 The next wrinkle on this is to analyze  
23 the contamination both as a continuous  
24 variable, the monthly average, for example,  
25 and also to categorize it, too, because there

1 are assumptions made when you use a continuous  
2 variable with the models that we used. And  
3 sometimes there are fewer assumptions with  
4 categorical variables, but then you have to  
5 have, choose cut points. So there are pros  
6 and cons to both approaches. We use them  
7 both.

8 Deciding where the cut points are for  
9 the categorical model, we'll try to see if we  
10 can use some, let the data tell us where to  
11 make those cut points, and there are smoothing  
12 methods to do that. Alternatively, we may not  
13 have much choice. Because of the small  
14 numbers of cases, we may be able to just use  
15 three categories: no exposure, medium and  
16 high, and group people together. We may have  
17 to do that, so we'll see how that goes. It's  
18 again something that we can ask the panel if  
19 they have any advice.

20 Initially, we'll analyze each  
21 contaminant separately. This assumes that  
22 there's one chemical that's causing the  
23 problem, and it's not the fact that they're  
24 mixing together. So that's a major  
25 assumption. So we'll do this, but then we'll

1 also evaluate the chemicals as a mixture.

2 And any questions --

3 **MR. TOWNSEND (by Telephone):** Frank?

4 **DR. BOVE:** Hello?

5 **MR. TOWNSEND (by Telephone):** I have a  
6 question. Are you ever going to go back and  
7 visit the adverse effects that didn't make the  
8 cut, like Tetralogy of Fallot?

9 **DR. BOVE:** No, no. We were not able to  
10 ascertain enough cases of Tetralogy of Fallot  
11 or of the other conotruncal heart defects.  
12 The survey just did not pick them up. And so  
13 there's no other way to get at these birth  
14 defects other than through a survey. And the  
15 survey was just deficient in that way. When  
16 you do studies of these kinds of defects you  
17 use a population-based birth defect registry.  
18 That's the ideal, and at Lejeune we didn't  
19 have one. No state had one back then. The  
20 only, well, actually, New Jersey had it by  
21 '85, and some states had it, but North  
22 Carolina didn't. And CDC had one in Atlanta,  
23 but that's about it. So, no, the answer to  
24 your question is we'd like to look at  
25 conotruncal heart defects, we just can't do

1           that. We can't ascertain them reliably.

2                       For the confirmed cases of neural tube  
3 defects, we look at the average and maximum  
4 contaminant level of the first trimester.  
5 That's the key period. In fact, the first  
6 month of pregnancy is the key period. So  
7 we'll do that, too, realizing that we're not  
8 sure when conception occurred based on the  
9 interviews and the information we have. So we  
10 have to make some guesses as to the time  
11 period here.

12                      And then we'll look at the three  
13 months prior to the date of conception up to  
14 the date of conception. So that's one period.  
15 The first trimester is another period. The  
16 first month of pregnancy is a third period.  
17 We'll look at all three for neural tube  
18 defects and use the average and the maximum  
19 level as well, so that's additional analysis.

20                      For clefts the focus now is more on  
21 the second month of pregnancy actually for  
22 clefts. Again, we're not sure whether we can  
23 identify with complete accuracy the second  
24 month, so what we do is we look at the first  
25 trimester again just like we do with neural

1 tube defects. And then the same period that I  
2 mentioned before, the three months prior to  
3 conception up to the date of conception is the  
4 second period.

5 This may be, the second month  
6 pregnancy for sure for cleft lip. For cleft  
7 palate may slightly go over the second month  
8 into the third depending on what you read  
9 about it. So we may combine second and third  
10 as well as additional analysis.

11 So that's cleft. So you see we're  
12 doing different things with different birth  
13 defects. And then with the cancers, leukemia  
14 and non-Hodgkins lymphoma, it's totally  
15 different because we have no idea when the  
16 vulnerable period is during pregnancy. So  
17 what we do is we look at each trimester  
18 separately to see which trimester might -- if  
19 we can do this.

20 I mean, again, we have the small  
21 numbers, but we're going to try to do this.  
22 We'll also look at the average and the maximum  
23 over the entire pregnancy. That's not on the  
24 slide, but that's also what we'll do. Then  
25 we'll look at the first year of the child's

1           life, date it's born to age one. And then  
2           again we'll look at this period before  
3           conception, three months before up to the date  
4           of conception and then finally have a  
5           cumulative exposure of the whole period and  
6           see if that provides us with any information  
7           we don't get from the other analysis. So  
8           those are the approaches we are thinking of  
9           taking.

10                    But this is actually real data from  
11           Tarawa Terrace. Now, I'm not telling you  
12           which child is the case and which one's the  
13           control. That would be giving information  
14           away I don't want to. But I want to give you  
15           a sense of why, I want to give the panel a  
16           sense of why monthly levels are important.  
17           From the previous slides you can see that  
18           we're interested in first trimester or even  
19           the first month of pregnancy.

20                    But look at the variability that goes  
21           on for some of these. This is real data now.  
22           For example, this is extremely different than  
23           this. And then, of course, there are periods  
24           when they're not on base, and we're assuming  
25           that they're not exposed when they're not on

1 base. So all kinds of different patterns  
2 occur here, and that's why you need monthly  
3 data. For the future studies, for the  
4 mortality study, for the health survey, you  
5 really don't need monthly data like this. But  
6 for birth defects in particular you need  
7 monthly data like this, and that's the point  
8 I'm going to make sure the panel understands.

9 **MR. ENSMINGER:** What's that 3-DOC, 2-DOC?

10 **DR. BOVE:** This is three months before the  
11 date of conception. Two months before the  
12 date of conception. Up to the date of  
13 conception in month one of gestation, two and  
14 three. So that's it.

15 So this is first trimester, and this  
16 is the three months before the first  
17 trimester. And you can see the variability.

18 This, again, we're going to use  
19 logistic regression, but we may have to deal  
20 with the sparse data and try some other  
21 approaches that are related to the usual  
22 logistic regression approach. Although sparse  
23 data is sparse data and no matter what you do,  
24 it's like you have only this much of data for  
25 the drinking water, for the modeling effort

1 sample data you have to, that's all you have.  
2 So that's what we're going to have to be  
3 creative about how we analyze this data.

4 We're also going to try to keep the  
5 models as simple as possible and only put it  
6 in variables that are actually necessary to  
7 put in there to deal with any bias issues,  
8 particularly ^, and then look at the water  
9 usage data that's useful. Oftentimes there's  
10 not that much variability in what people  
11 report about how long they take showers, for  
12 example. How much they drink water, and  
13 they're also going to be recalling behaviors  
14 many years in the past so this data may not be  
15 that useful, but we'll look at it. My own  
16 experience with this kind of data when you're  
17 going way in the past is it's not that  
18 reliable and other sites seem to indicate  
19 that, too. But we'll take it into account and  
20 see what it tells us.

21 Then we know there will be some  
22 misclassification of exposure because people  
23 were not sure where they lived. I feel pretty  
24 confident about the water modeling actually,  
25 but I don't feel as comfortable about people's

1 recall about where they lived.

2 We do have housing records that we can  
3 compare with what people state, but the  
4 housing records won't tell you that the people  
5 crashed with these people for several months  
6 or a woman lived with another person or  
7 whatever, all kinds of combinations.

8 So we're going to do a sensitivity  
9 analysis and see what happens if you change  
10 how you assign exposures to the cases and the  
11 controls and how that affects the results. So  
12 you get a handle on that.

13 Because as far as numbers, we'll also  
14 see if the results change if we add cases and  
15 controls with incomplete residential history  
16 and then for that we'll just have to rely on  
17 the housing records to fill in the blanks or  
18 the cases that are still pending where we  
19 don't know whether they have a disease or not.  
20 We wanted to strictly restrict the study to  
21 confirmed cases, but we may want to check to  
22 see if adding the pending cases changes  
23 anything.

24 And finally, this is how we interpret  
25 results, not by p values but by the highest

1 ratio of the relative risk, the size of the  
2 effect and the dose response and whether it  
3 makes any sense from what we know from the  
4 science of disease and the chemical.

5 So that's all. So that's what we're  
6 going to go over with tomorrow briefly with  
7 the panel just to acclimate them to why the  
8 water modeling is necessary, what we hope to  
9 do with the study, and again, because of the  
10 epidemiologists on the panel we might as well  
11 exploit their knowledge there and then get  
12 some advice while we're at it.

13 Any questions?

14 **MR. MASLIA:** Not a question but a point to  
15 make. You can pull up the slide with the  
16 table, and I don't have a pointer with me.  
17 Obviously, since this is Tarawa Terrace,  
18 generally, what's happening here is obviously  
19 a well, a major well has cycled off, whether  
20 it's maintenance or whatever because you see  
21 the difference in concentration from one month  
22 to the other.

23 That's one of the reasons we placed  
24 importance on trying -- I know we've got ten  
25 years of water plant operations recently the

1 Marine Corps gave us, is for Hadnot Point, for  
2 example. Because basically housing was filled  
3 to capacity all the time. This is not a  
4 residential area. It's a military base. So  
5 we know housing, water usage at housing did  
6 not change that much over time. So we can use  
7 present information to help guide us as to how  
8 they may have supplied water in terms of wells  
9 cycling on and off historically.

10 But also what we can do is because you  
11 can see the variation in there and it's again  
12 up for the epi side, if they wanted to see  
13 what impact it was just to have a different  
14 well cycle on and off, we can now go back and  
15 re-run that model and turn that well on and  
16 off wherever the epi people tell us. We're  
17 still blinded to case and control, and they  
18 can see what impact it may or may not have on  
19 the final epi results.

20 **MR. PARTAIN:** Morris, real quick, you  
21 mentioned you had ten years of well data?

22 **MR. MASLIA:** No, it's water treatment plant  
23 operations. We've got written records from  
24 the Marine Corps. They gave them to us.

25 **MR. PARTAIN:** What time period?

1           **MR. MASLIA:** 'Ninety-eight to 2008. Not  
2 early, no. But that's what I'm saying is they  
3 still shed good light onto how they may have  
4 operated in the past.

5           **MR. PARTAIN:** Operated post-contamination  
6 discovery.

7           **MR. MASLIA:** But we can use that as insight  
8 on, in other words if they turn a well on, and  
9 we see that it's regularly operating for eight  
10 hours, we can make an assumption that  
11 typically then they may have operated a well  
12 like that for eight hours historically. Water  
13 utility operators don't like to see changes  
14 from normal operations.

15                   All water utilities like to operate on  
16 a standard schedule. So we can get some  
17 insight even on present day as to how they're  
18 operating on an hourly basis or whatever,  
19 that's very useful information going back  
20 historically, and so there's good reliability  
21 that they probably operated the same way.  
22 Especially since we know housing is not really  
23 variable in terms of occupants and order  
24 demand and things of that nature.

25           **DR. BOVE:** There are times when Tarawa

1 Terrace was being remodeled and redone as it  
2 were. We can look at that, but you can get a  
3 sense of how the system operated. How people  
4 operated the system. That's important.

5 **MR. TOWNSEND (by Telephone):** Frank?

6 **DR. BOVE:** Yes, Tom.

7 **MR. TOWNSEND (by Telephone):** If the Marine  
8 Corps is having an outreach program on trying  
9 to find people that have not reported in or  
10 are not reporting any adverse effects, if a  
11 sufficient number of people come in with a  
12 common concern adverse effect, will you take a  
13 look at that and put it in the pie for the epi  
14 study?

15 **DR. BOVE:** Yeah, that's what this survey's  
16 all about. The health survey will attempt to  
17 capture not only cancers and other specific  
18 diseases that have been related to VOC  
19 exposure, either in occupational settings or  
20 in drinking water studies, mostly occupation.  
21 But also we'll have an open-ended question  
22 where people can put in any other ailments  
23 that are not mentioned in the list we're  
24 focusing on. And so we'll capture that data.

25 And what we'll do with some of that

1 we're not sure yet. Again, it depends on how  
2 many people respond to the survey, how small  
3 the participation rate is, what kinds of  
4 diseases are reported to us. We have no idea  
5 what we're going to find. So we're hoping  
6 that the health survey can capture that kind  
7 of information.

8 **MR. TOWNSEND (by Telephone):** Is this a new  
9 survey?

10 **DR. BOVE:** Yes, the new survey will, yeah,  
11 the Congress mandated that ATSDR involve the  
12 survey instrument and that Marine Corps mail  
13 it out. We worked out an arrangement -- we've  
14 been talking about this for several CAP  
15 meetings now. It's a health survey study and  
16 we're going to be mailing the survey.

17 Perri will talk about it in a minute.  
18 But we're going to be mailing surveys to  
19 hundreds of thousands of people with follow-up  
20 letters and so on, so it's a major effort, and  
21 we should get started soon. And Perri will  
22 tell you more details about that. But, yeah,  
23 we're hoping to capture that information.

24 **MR. TOWNSEND (by Telephone):** Good on you,  
25 Frank.

1           **MS. RUCKART:** Frank, do you want to say  
2 something about the fact that even with the  
3 catchall question we still want to confirm the  
4 diseases?

5           **DR. BOVE:** Yes. We want to, I guess I can  
6 launch into that. Any other questions about  
7 this presentation? Any problems with it? Any  
8 suggestions?

9           (no response)

10          **DR. BOVE:** It gets into all the, the ways  
11 we've been working with the registries and so  
12 on to confirm. Do you want to do that now?

13          **UPDATES ON HEALTH SURVEY AND MORTALITY STUDY**

14          **MS. RUCKART:** So the next topic area on the  
15 agenda is just to give some updates on the  
16 health survey and the mortality study. Just  
17 this week we received approvals of our  
18 response to the peer review comments. Recall  
19 that ATSDR seeks peer review on our protocols  
20 and we got responses from the peer reviewers.  
21 We got their comments, and then we need to  
22 respond to their comments, and our agency  
23 approved our responses.

24                       So we have IRB approval for both of  
25 those studies. We have the, our response to

1 peer reviewers' comments are approved by the  
2 agency. The mortality study does not need OMB  
3 approval because there's no contact with  
4 participants. The health survey does need OMB  
5 approval. The health survey is currently with  
6 OMB and I'll talk a little bit about that in  
7 one minute, so we're moving along there.

8 As far as getting a contractor onboard  
9 to begin conducting these studies, the  
10 contract is still out with our Procurement and  
11 Grants Office, and we're waiting to hear back  
12 from them on who the contractor will be for  
13 these projects.

14 And we have been working with the  
15 various cancer registries, the state cancer  
16 registries, the VA and the DOD cancer  
17 registries to get their support to confirm the  
18 cancer cases that are going to be reported as  
19 part of the health survey. We've talked about  
20 this before how we've had a couple conference  
21 calls with the CDC-funded state cancer  
22 registries. They've been very supportive as  
23 we've mentioned.

24 Earlier this month we went to a  
25 meeting here in Atlanta where all the program

1 directors of the state cancer registries  
2 attended, the CDC funded ones. Frank and I  
3 gave a presentation, and again, it was well  
4 received, and we have their support.

5 Once we have the OMB-approved health  
6 survey and we make any needed changes as  
7 required by OMB, and we go back to our IRB so  
8 they can be approving the final version, then  
9 we'll give that final version to the IRBs of  
10 the state cancer registries for them to just  
11 approve it so they can work with us.

12 And as I mentioned, we have also been  
13 in contact with the VA and DOD cancer  
14 registries. Again, they're very supportive of  
15 working with us in our efforts to confirm the  
16 reported cancer cases. Basically, there are  
17 some issues with states reporting on patients  
18 who are also part of the VA registry. So  
19 we'll go to the VA first. They'll confirm  
20 anybody that they have in their database, and  
21 then we go to the state cancer registries.

22 There are basically two programs of  
23 funded state cancer registries. There's the  
24 CDC-funded registry. That's the majority of  
25 the registries. And the National Cancer

1 Institute has some funded registries. We had  
2 a call with them earlier this month, and they  
3 are also very supportive.

4 We are going to follow the same type  
5 of process as with the CDC-funded registries  
6 where we will submit to them our final IRB-  
7 approved version of the protocol. And their  
8 state IRB will approve it or their local IRB,  
9 and then they'll be able to work with us and  
10 share the data.

11 Now, I was mentioning about the OMB  
12 approval, we are not expecting to hear back  
13 from OMB until after the NRC Report is  
14 released. Initially, we were given a date of  
15 May 6<sup>th</sup> for the release of that report, the NRC  
16 Report. And we just found out today that that  
17 is going to be delayed and there's no new date  
18 for that. So that's going to further delay  
19 OMB's review and approval of our package.

20 Now, there was a question earlier --

21 **DR. BOVE:** But the mortality study can go  
22 forward as soon as we get a contractor  
23 onboard, and we get the --

24 **MS. RUCKART:** Yeah, yeah. So I'll talk  
25 about the DMDC data in a minute, but there was

1 a question before about the letters, who's  
2 signing the letters for the health survey. So  
3 we talked about this before. I'm not sure if  
4 we talked about where we are finally at this  
5 point. So I'll just go over that.

6 Everybody is going to receive two  
7 letters. One is the initial letter letting  
8 the participants know, hey, we're going to be  
9 sending out a survey. Be on the lookout for  
10 this. And that's going to come one-to-two  
11 weeks before the formal invitation letter that  
12 includes the survey.

13 And where we are right now with that  
14 is that the notification letter, the initial  
15 letter to notify you that the survey's coming,  
16 will be signed by General Payne. And then  
17 General Payne is going to present to the  
18 Commandant and ask him to sign the invitation  
19 letter. The wording's not yet complete  
20 because we need to hear back from OMB.

21 So General Payne wants to present to  
22 the Commandant the final version. He can't do  
23 that yet because we don't have the actual  
24 final exact wording yet because of the OMB  
25 hold up. So the hope and the goal is that

1 General Payne would sign the notification  
2 letter, and then in one-to-two weeks from that  
3 mailing, everybody would get like the official  
4 invitation letter and the survey itself. And  
5 that would be signed by the Commandant.

6 **MR. BYRON:** Thank you.

7 **MS. RUCKART:** So we'll see how that plays  
8 out.

9 **MR. BYRON:** Thank you in the back corner,  
10 when it happens.

11 **MS. RUCKART:** Then, as Frank was mentioning,  
12 because we don't need OMB approval for the  
13 mortality study, we could start that as soon  
14 as we have the contractor in place, but we  
15 also need to get the DMDC data. And there's  
16 been some movement on that part. Initially,  
17 the Marine Corps was given a dataset on Camp  
18 Lejeune to give us some preliminary numbers,  
19 and now we also need some information on Camp  
20 Pendleton. They need to get the codes for  
21 Camp Pendleton. That's separate from Camp  
22 Lejeune. So they're working on that. I think  
23 they recently found some code books that are  
24 going to help with that effort.

25 And then they're also trying to

1           recreate the Camp Lejeune dataset that was  
2           made before to make sure they have everybody.  
3           Maybe a couple more people will come into that  
4           210,222. So there's some movement there, and  
5           we're still hoping to start these in the  
6           summer. We'll have to see how that plays out  
7           though.

8           **MS. SIMMONS:** Perri, if I could just say the  
9           Pendleton codes are complete, and the Lejeune  
10          codes are complete, but they're double  
11          checking to make sure they're correct. And  
12          hopefully, you guys will be able to do your  
13          queries within the next three weeks.

14          **MR. STALLARD:** Tom, you got any questions?

15          **MR. TOWNSEND (by Telephone):** No, it seems  
16          like it's moving slowly.

17          **MR. STALLARD:** It is moving.

18          **MR. TOWNSEND (by Telephone):** Yes, sort of a  
19          snail's -- OMB is not the fastest organization  
20          in the world.

21          **MR. STALLARD:** How many letters have you  
22          written to them?

23          **MR. TOWNSEND (by Telephone):** Several.

24          **DR. BOVE:** Again, we don't know how long the  
25          OMB process will take. They've had some

1 preliminary looks at our package so it's not  
2 new to them. But, of course, the wild card is  
3 still the NRC Report, and what the OMB will do  
4 with that report is unknown. And then how  
5 long OMB will take once that report comes out.

6 **MR. ENSMINGER:** There's a new OMB, too.

7 **DR. BOVE:** So there are issues, although  
8 some of the same people are still there who we  
9 think will be reviewing this package. So,  
10 again, that may not be the reason there's a  
11 delay, just OMB takes time, and then there's a  
12 back and forth between OMB and us to resolve  
13 any differences we might have. So the health  
14 survey could get held up for quite awhile  
15 until that all got resolved.

16 But the mortality study, actually, it  
17 makes sense to do the mortality study first  
18 anyway. That way we'll have a good handle on  
19 the DMDC data. We'll have identified those  
20 who have died, which is very important, so we  
21 don't send surveys to them. But also it will  
22 help when we get the death certificates, we'll  
23 have some indication of who the next of kin is  
24 and then can put them on the survey mailing  
25 list. So it's not so bad if we get moving on

1 the mortality study first, and then we have to  
2 deal with our own internal problems with the  
3 grants and procurement.

4 **MR. STALLARD:** We have actually caught up  
5 with the agenda, and we're ahead of the agenda  
6 amazingly. So I had asked you before --

7 **MS. RUCKART:** One thing I forgot to mention,  
8 right before we came back from lunch, I handed  
9 out this update that Scott gave me, the  
10 notification update. He told me that all the  
11 information in green is new, so I just wanted  
12 to mention that in case you're thinking what  
13 is this handout?

14 **MR. PARTAIN:** Chris, this is Mike Partain.  
15 Just a quick thing. Morris had mentioned  
16 you're going to look for documents at Lejeune.  
17 On CERCLA 388, page 2-34, there's a  
18 handwritten note from somebody over in NAVFAC  
19 that says, we must send them our 1-1-4-1's  
20 report on well data. I haven't seen a Form 1-  
21 1-4-1, but --

22 **DR. BOVE:** What form number is that?

23 **MR. PARTAIN:** It's CERCLA 388. It's a  
24 handwritten note on the document so it  
25 wouldn't show up on a scan. And it's

1 referring to a form apparently that NAVFAC  
2 had, number 1-1-4-1. And the handwritten note  
3 says we must send them our 1-1-4-1's report on  
4 well data, what it means, and what wells to  
5 keep shut down. And this is the section on  
6 the additional tank farm when they discovered  
7 the benzene in there.

8 **MR. STALLARD:** And we want to know what a 1-  
9 1-4-1 is.

10 Thank you.

11 **VA EFFORT**

12 So, as you recall, we wanted to have  
13 time to get back to respond to Dr. Sinks's  
14 request for a more comprehensive input into  
15 what should be included when he goes back  
16 again to VA on behalf of CAP. And so I asked  
17 you before break, and shortly after we got  
18 back from break, to each individually think of  
19 at least two things that you think need to be  
20 addressed in the follow-up effort of  
21 connecting with the VA.

22 So what I'm going to do is ask, we're  
23 going to start and go around the room and say  
24 what's your two, what's your two, what's your  
25 two, what's your two. What we might find is

1           that you all have two because somebody else  
2           said them. And if somebody doesn't -- if we  
3           miss something, add it. Does that sound fair?  
4           Take about five, ten minutes to do that?

5           **DR. CLAPP:** I have two, and the first one I  
6           think Jeff mentioned Han Kang this morning.  
7           And Han Kang has been part of some of the  
8           discussions that Frank actually convened of  
9           advisors who were familiar with doing  
10          mortality studies. I think he should continue  
11          to stay involved in some manner or somebody  
12          from his staff as the mortality study goes  
13          forward.

14                 He had a suggestion at the meeting, I  
15                 guess it was about a year ago now, about a  
16                 cheaper way to get death certificate  
17                 information than going to the National Death  
18                 Index. It's a two stage thing and made a lot  
19                 of sense. I don't know that I'd ever heard of  
20                 it myself, but it seems like a good way to do  
21                 it. That's the kind of information that he  
22                 brings. And if it's not him, somebody else  
23                 that is in his group. They do studies with  
24                 veterans all the time.

25                 **MR. STALLARD:** Is it H-A-N K-A-N-G, two

1 words?

2 **DR. CLAPP:** Yeah.

3 **DR. BOVE:** His first name, Han, his last  
4 name, Kang.

5 **MR. STALLARD:** Is he a doctor?

6 **DR. BOVE:** Yeah, Dr. Han Kang.

7 **MR. STALLARD:** Got it. Should remain  
8 involved in the mortality study.

9 **DR. CLAPP:** Right.

10 **DR. BOVE:** We've also consulted him in the  
11 past about how he's doing with his cancer  
12 incidence study to get a sense of what  
13 registries were participating. We probably  
14 want to check back with him to see how much  
15 more progress he's made. He had some  
16 registries participating and some that refused  
17 to and some that required a lot of money to do  
18 it.

19 So he's had a different experience  
20 primarily because he's trying to do a data  
21 linkage effort, and we're, instead, trying to  
22 get confirmation of reported cases. It's a  
23 very different kind of study. And so he's  
24 running into some difficulties, and we'll keep  
25 in touch with him about his progress.

1           **MR. STALLARD:** Okay, I'll capture that as an  
2 action item for you.

3           **DR. CLAPP:** And the second item was related  
4 to that which is some states apparently -- I  
5 found this out from Gulf War veterans' studies  
6 at our department that B.U. is involved with.  
7 Some states the VA hospital does not send  
8 cases to the state cancer registry. It  
9 should. They should. And they used to. And  
10 in our state, for example, Massachusetts, they  
11 used to.

12                       So Han Kang has some -- or somebody at  
13 the VA I should say -- would have some way of  
14 helping make sure that VA cases get sent to  
15 state cancer registries so that the data are  
16 complete. And Frank pointed out that there  
17 may be this study that is being done by ATSDR  
18 will get those cases from both places so that  
19 it'll cover that eventually anyway. But it  
20 would be a lot simpler if state cancer  
21 registries got the VA cases.

22           **DR. BOVE:** In our discussions with the  
23 cancer registries in our phone conversations  
24 Perri and I had with them, they've pointed out  
25 this issue with the VA over and over again.

1                   And one of the suggestions we got was to  
2                   approach the VA and get approval from the VA  
3                   so that the states can release that  
4                   information to us.

5                   Right now the states can't release VA  
6                   cases to anybody without VA's approval. And  
7                   so that's, I'm not sure whether that came out  
8                   of that lost laptop issue or what the problem  
9                   is. It seems to have started around then in  
10                  earnest, this problem. So, but anyway, we're  
11                  working with the VA hopefully to resolve that.

12                 **MR. STALLARD:** Let me just make sure I  
13                  captured that. I'm going to read it back.  
14                  You said that Gulf War vet studies, VA does  
15                  not send cancer study cases to the cancer  
16                  state registries. They need to get approval  
17                  from VA to release the state registries. Does  
18                  that capture the essence?

19                 **DR. CLAPP:** Yeah, you captured. It's two  
20                  separate points, but they're both there.

21                 **MR. STALLARD:** Okay, and you can discern the  
22                  two points. Thank you.

23                                 Who has something to contribute? Yes,  
24                  Jerry.

25                 **MR. ENSMINGER:** Once again, that letter from

1 ATSDR to the Veterans Administration  
2 requesting a representative for this CAP.

3 **MR. STALLARD:** Let me get clarity on that.  
4 Requesting representation for like a CAP  
5 meeting or to sit here for several CAP  
6 meetings or --

7 **MR. ENSMINGER:** Somebody from the VA to  
8 attend these CAP meetings. This is concerning  
9 veterans.

10 **DR. BOVE:** Jerry, do you want someone to sit  
11 at this table, like a representative of the  
12 VA?

13 **MR. ENSMINGER:** Yeah, or I mean, they could  
14 sit back. I don't care where they sit.

15 **DR. BOVE:** That's two different things. We  
16 can encourage them to attend CAP meetings. Or  
17 we can put them on as a representative of the  
18 VA on the CAP. That's two different things.

19 **MR. ENSMINGER:** But there's got to be some  
20 consistency within this administration as to  
21 how they're going to deal with Camp Lejeune  
22 veterans that are coming in with these certain  
23 ailments.

24 **MR. STALLARD:** So if we were to request as a  
25 start for them to come and give a presentation

1 and answer why there are disparate treatment  
2 of our veterans based on the science that we  
3 know already. I mean, request to have them  
4 come and present would be a step?

5 **MR. ENSMINGER:** Yeah.

6 **MR. STALLARD:** Okay, and then from that  
7 perhaps to participate understanding the  
8 complexities and all that?

9 **MR. BYRON:** Yeah, I'd like to understand how  
10 it is one veteran can get help in one area and  
11 one's not. Is there a list of illnesses that  
12 are, that they're looking at right now or what  
13 the situation is. I really don't know.

14 **MR. STALLARD:** They may not be aware, but  
15 having them come and answer those questions  
16 would help to bring awareness of it.

17 **MR. ENSMINGER:** Well, sometimes it depends  
18 on what congressman you know or senator.

19 **DR. BOVE:** And that's not right.

20 **MR. STALLARD:** So to present, attend and  
21 participate, let's just say. And who's going  
22 to take this? This is ATSDR, so I guess,  
23 Frank, that's somewhere in your purview to  
24 help coordinate that.

25 **MR. PARTAIN:** We also need to advise the VA

1 that the Public Health Assessment has been  
2 redacted.

3 **MR. STALLARD:** It wasn't redacted. There's  
4 another word.

5 **MR. PARTAIN:** Rescinded, sorry.

6 **MS. RUCKART:** Taken off the website and only  
7 available by request.

8 **MR. STALLARD:** So advise VA on withdrawn  
9 PHA.

10 **DR. BOVE:** We also have to do that with the  
11 NAS panel, NRC panel.

12 **MR. STALLARD:** Let me just add that. And  
13 who, NAS?

14 **DR. BOVE:** Yes.

15 **MR. STALLARD:** And who else?

16 **DR. BOVE:** Well, the rest of the world.

17 **MR. STALLARD:** Folks, I beg your apologies  
18 in advance. This does not have a spell check  
19 on it, so if I misspell something like  
20 benzene, just tell me and I'll fix it.

21 What else?

22 **MS. BRIDGES:** I think that person from the  
23 VA should have a broad understanding of the  
24 chemicals and how they affect and be  
25 aggressive enough to get the word out there

1                   what he knows to the doctors that these people  
2                   are going to. They think you people are  
3                   crazy. The doctors don't, most of the doctors  
4                   don't understand. They don't want to hear any  
5                   problems anyway, except the medicine they  
6                   can't prescribe for you.

7                   **MR. STALLARD:** Does this capture it? The  
8                   rep must be a subject matter expert in the  
9                   toxins we're talking about?

10                  **MS. BRIDGES:** Yeah, they need a broad  
11                  understanding of how the chemicals, what the  
12                  chemicals, how they affect our health. And be  
13                  willing to inform and make sure it gets across  
14                  to other physicians.

15                  **MR. STALLARD:** So we're not just looking for  
16                  somebody to sit at the table. We're looking  
17                  for a very specific person to sit at the  
18                  table.

19                  **MS. BRIDGES:** I would think so. What do  
20                  y'all think?

21                  **MR. STALLARD:** Who can speak with some level  
22                  of informed --

23                  **MS. BRIDGES:** Or can he help us find that  
24                  person?

25                  **MR. BYRON:** When I was speaking to Dr.

1           Brown, I told him that I was aware that he'd  
2           been briefed in the past by Environmental or  
3           DOD individuals, someone representing the  
4           Marine Corps, but yet they never called any  
5           CAP members for any opinion. So I made that a  
6           point to let him know that I wasn't too happy  
7           with that. That VA, number one, hasn't been  
8           asked to address this issue that I'm aware of  
9           from Congress, so why is DOD giving them  
10          reports without affected communities' input  
11          into that, so my understanding is he'll  
12          contact some CAP members. But to be honest  
13          with you, if they're here, they don't have to.  
14          So that's really why it's kind of paramount  
15          that -- and so we're not getting one-sided  
16          information because it's been like that for  
17          too long. And we want transparency, like you  
18          said.

19                **MR. STALLARD:** It sort of goes back to the  
20          National Conversation thing?

21                **MR. TOWNSEND (by Telephone):** Chris?

22                **MR. STALLARD:** Yes, Tom.

23                **MR. TOWNSEND (by Telephone):** Let me input  
24          here on Mr. Brown, Dr. Brown. I got a note  
25          from him yesterday said, well, this issue is

1                   certainly heating up so I think people should  
2                   be taking a lot more notice. I think VA  
3                   should be taking a hell of a lot more notice.

4                   **MR. STALLARD:** Well, it appears that we're  
5                   developing the strategy to make that happen.

6                   **MR. TOWNSEND (by Telephone):** I sent a  
7                   Townsend-gram to Admiral Dunne, the Under  
8                   Secretary for Benefits and told him what the  
9                   hell is going on and to get organized. But I  
10                  have comments, too, but I'll wait.

11                  **MR. STALLARD:** Okay.

12                  **MR. BYRON:** This is Jeff again. We also  
13                  mentioned up there with the letter to the VA,  
14                  that that letter should also go to the Armed  
15                  Services Committees. So I want to make sure  
16                  that happens.

17                  **MS. BRIDGES:** And the Department of Defense,  
18                  too, didn't they say?

19                  **MR. STALLARD:** Let me just make sure I  
20                  understand.

21                  **MR. BYRON:** Send it to Obama, the President.

22                  **MR. STALLARD:** So broad distribution of this  
23                  invitation is what you're saying?

24                  **MR. PARTAIN:** Yeah, to Armed Services  
25                  Committee, Senate, House and DOD.

1           **MR. STALLARD:** VA invitation to other key  
2 stakeholders.

3           **MR. PARTAIN:** Specifically the Armed  
4 Services Committees for the House and Senate.

5           **MR. STALLARD:** Armed Services Committee for  
6 House and Senate.

7           **MR. BYRON:** I want to make sure that letter  
8 goes at least to them for sure whether they  
9 participate here or not.

10          **MR. PARTAIN:** You could I guess add the  
11 House, Senate Veterans Affairs Committee.

12          **MR. BYRON:** Yes.

13          **MR. STALLARD:** Okay, what else?

14          **MR. TOWNSEND (by Telephone):** I'm ready to  
15 go.

16          **MR. STALLARD:** You ready now?

17          **MR. TOWNSEND (by Telephone):** Yep.

18          **MR. STALLARD:** All right, bring it on.

19          **MR. TOWNSEND (by Telephone):** I'm probably  
20 one of the only few veterans that brought a  
21 claim against the Veterans Administration for  
22 adverse effects relating to VOCs. Let me give  
23 you a background. I went for a compensation  
24 and pension observation exam at the Spokane  
25 Medical Center after having two neurologists

1 check me out for motor reflexes and all that  
2 crap.

3 And the VA very kindly without my  
4 notice sent a notice to the examiner that said  
5 request for exam and medical opinion. Note,  
6 this veteran has made a claim for neuropathy  
7 due to chemical exposure as well. You are not  
8 to consider that claim at this time because we  
9 have not confirmed his exposure. This exam is  
10 exclusively to determine if he has a service-  
11 related radiculopathy.

12 I do have a service-connected  
13 disability of my spine because I got blown up  
14 in Vietnam. My question -- I had to find that  
15 through a FOIA demand. I go in for exam X,  
16 and I get exam Y. One, the compensation of  
17 pension exams must be consistent with a  
18 veteran's disability claim.

19 **MR. STALLARD:** Restate that for me.

20 **MR. TOWNSEND (by Telephone):** VA  
21 compensation and pension exams, called C and  
22 P, must be consistent with a veteran's  
23 disability claim. The only way you can check  
24 for neuropathy or radiculopathy is by putting  
25 electrodes on your body and giving electrical

1 shocks. If your leg sticks straight up, that  
2 works, and if nothing happens, they know that  
3 the damn thing is dead.

4 And I asked for a neurologist that  
5 knew something about these chemicals. I got  
6 an ARNP nurse, some kind of a practical  
7 whatever. She checked my reflexes with a  
8 rubber hammer. I said what the hell are you  
9 doing? And that was it.

10 Well anyway, next note. The veteran  
11 needs to know what orders for the exam are  
12 sent by his regional office to the examiner.

13 **MR. STALLARD:** Okay, got it, Tom.

14 **MR. TOWNSEND (by Telephone):** Next one. The  
15 Veterans Administration needs transparency on  
16 VOC claims. They are in a state of denial.  
17 Nothing new. I've been in the VA system for  
18 35 years, and you've got to fight them every  
19 foot of the way.

20 The last one is the VA representative  
21 that comes to the CAP must be able to speak  
22 for the agency at our meetings.

23 **MR. STALLARD:** Okay, Tom?

24 **MR. TOWNSEND (by Telephone):** That's it man,  
25 thanks.

1           **MR. STALLARD:** Thank you very much for your  
2 input.

3                           Anything else?

4           **MS. BRIDGES:** We'll ask an awful lot of that  
5 VA rep. I was thinking to myself. I thought  
6 maybe the VA rep could find people who have  
7 access to doctors retired from the Marine  
8 Corps to get some facts from them.

9           **MR. STALLARD:** I suspect that as we engage  
10 with the VA, we'll think of all kinds of, and  
11 they may themselves think of ways that --

12           **MS. BRIDGES:** Can we get that person?

13           **MR. STALLARD:** -- just like we're asking the  
14 CAP to contribute to the National  
15 Conversation, ways to engage the community.  
16 There's not a playbook on how to do this  
17 really, something of this scope and  
18 complexity.

19           **MR. BYRON:** Waited too long.

20           **MR. STALLARD:** We're here today.

21           **MS. BRIDGES:** So how do we go about doing  
22 this, finding this person? Or how do we  
23 attack the VA?

24           **MR. STALLARD:** How do we engage, engage --

25           **MS. BRIDGES:** Well, attack or engage.

1           **MR. STALLARD:** I suspect we're going to have  
2 to have a sit-down chat and there are various  
3 folks that have that, will help us come up  
4 with a strategy, and you've provided some  
5 significant input toward that end.

6           So there's obviously going to need to  
7 be an update on what's going on with the VA  
8 for the next agenda, right?

9           **MR. TOWNSEND (by Telephone):** Chris?

10          **MR. STALLARD:** Yes, sir.

11          **MR. TOWNSEND (by Telephone):** Townsend  
12 again. I made it very crystal clear to Rear  
13 Admiral Dunne, the new Assistant Under  
14 Secretary or whatever the hell he is, what the  
15 game is and what's going on with the VA. And  
16 since he's a newbie, maybe he will do  
17 something for us.

18          **MR. STALLARD:** Well, keep us posted on that  
19 if he responds to your Townsend-gram.

20          **MR. TOWNSEND (by Telephone):** Well, I had to  
21 go through his consort of three ladies up at,  
22 right to a telephone number. I asked for him  
23 directly and told him who I was. They said  
24 you can't talk to his eminence. And I said,  
25 well, if there's a six-day track on your

1 machine. Well, I will keep you informed.

2 **MR. STALLARD:** Please do.

3 Anything else on VA?

4 (no response)

5 **WRAP-UP**

6 **MR. STALLARD:** So I think then the next  
7 steps are, when are we going to have our next  
8 meeting. And it was proposed I heard, I don't  
9 know if October's too late or... Okay, so  
10 when?

11 **MR. BYRON:** Last of July, first of August,  
12 whatever works out.

13 **MS. RUCKART:** Well, if you have it then,  
14 I'll be out of town so please go ahead and  
15 have it.

16 **MR. BYRON:** As long as you've got the  
17 paperwork filled, we'll be okay.

18 **MR. STALLARD:** So mid-July, next meeting.

19 Why can't we have these meetings at  
20 Camp Lejeune?

21 **DR. BOVE:** I think mid-July we should know  
22 something about NRC I hope. OMB I hope. Our  
23 contractor, expert panel.

24 **MR. STALLARD:** Okay, so NRC, OMB.

25 **DR. BOVE:** So there should be plenty of

1 information by mid-to-end July.

2 **MR. STALLARD:** Expert panel. And we have to  
3 wait for OMB. We'll just say question mark.  
4 And what else?

5 **DR. BOVE:** Well, the start of the mortality  
6 study.

7 **MR. STALLARD:** And mortality study.

8 This looks like momentum, I have to  
9 tell you. Mortality study. Anything else?  
10 Jeff, Morris.

11 **MR. MASLIA:** I'll be ready for vacation by  
12 that time.

13 **MR. STALLARD:** I do believe.

14 **MR. MASLIA:** We'll have a draft report,  
15 yeah, we'll have the draft report. The  
16 process is once we have the expert panel  
17 meeting, whatever is said and all that is  
18 drafted into a summary document like the one  
19 for 2005. And by then we'll probably have  
20 been passing it around to all the experts to  
21 make sure we captured everything that they  
22 wanted as well as the verbatim transcripts are  
23 being edited to make sure of any questions  
24 with them. So we'll have some draft. We'll  
25 have definitely their recommendations. That's

1 the last section of the report, so we'll have  
2 a definite tabulation of do's, don'ts,  
3 changes, you know, what planet were you on or  
4 whatever. So we'll be able to report to the  
5 CAP what the recommendations we actually have.

6 **MS. BRIDGES:** Perri, what about Perri? She  
7 said she's not going to be around?

8 **MS. RUCKART:** They're just suggesting having  
9 it the last week of July, first week of  
10 August. I said I was just out of town for  
11 just that time.

12 **MR. STALLARD:** Yeah, we'll work something  
13 out.

14 **MS. BRIDGES:** You mentioned something about  
15 Camp Lejeune?

16 **MR. STALLARD:** Oh, I was just, it was an  
17 idea that came from some place, that's all.  
18 Is there any other business that we haven't  
19 addressed that we need to address? Anything  
20 like submit your vouchers on time? All that  
21 kind of stuff? We're good?

22 All right. Then what I'd like to do,  
23 number one, is thank and welcome again Allen  
24 Menard who has joined us, and we look forward  
25 to your continued active participation. I

1           invite you all to reflect on those who of our  
2           families and others in this nation who are  
3           suffering and Denita who is not able to be  
4           here with us at this time.

5                   And I'd like to thank everyone on the  
6           panel and those in the audience who chose to  
7           be here today and to remain here today and to  
8           contribute as they have to what I consider to  
9           be a very productive meeting.

10                   And with that we will conclude this  
11           meeting and wish you safe travels home. Thank  
12           you.

13           (Whereupon, the meeting was adjourned at 2:20  
14           p.m.)  
15

1

**CERTIFICATE OF COURT REPORTER****STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of Apr. 28, 2009; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 19th day of May, 2009.

---

**STEVEN RAY GREEN, CCR, CVR-CM, PNSC****CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**

2