

Official Transcript of Proceedings
NUCLEAR REGULATORY COMMISSION

Title: Advisory Committee on the
 Medical Uses of Isotopes

Docket Number: (n/a)

Location: Rockville, Maryland

Date: Tuesday, May 25, 2010

Work Order No.: NRC-257

Pages 1-153

NEAL R. GROSS AND CO., INC.
Court Reporters and Transcribers
1323 Rhode Island Avenue, N.W.
Washington, D.C. 20005
(202) 234-4433

1 UNITED STATES OF AMERICA

2 NUCLEAR REGULATORY COMMISSION

3 + + + + +

4 ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

5 (ACMUI)

6 + + + + +

7 MEETING

8 + + + + +

9 TUESDAY, MAY 25, 2010

10 + + + + +

11 ROCKVILLE, MARYLAND

12 The Advisory Committee convened in Room T2B3 of
 13 Two White Flint North, 11545 Rockville Pike, at 8:00
 14 a.m., Bruce Thomadsen, Acting Chair, presiding.

15 MEMBERS PRESENT:

16	BRUCE THOMADSEN	Vice Chairman
17		Therapy Physicist
18	DARRELL FISHER	Patients' Rights Advocate
19	DEBBIE GILLEY	State Government
20	MILTON GUIBERTEAU	Diagnostic Radiologist
21		Representative
22	SUE LANGHORST	Radiation Safety Officer
23	STEVE MATTMULLER	Nuclear Pharmacist
24	ORHAN SULEIMAN	US Food & Drug Admin. (FDA)
25	WILLIAM VAN DECKER	Nuclear Cardiologist

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 JAMES WELSH Radiation Oncologist
2 PAT ZANZONICO Nuclear Medicine Physicist
3
4 NRC STAFF PRESENT:
5 ROB LEWIS MSSA Division Director
6 CHRIS EINBERG Designated Federal Officer
7 MIKE FULLER Alt. Designated Federal Officer
8 ASHLEY COCKERHAM ACMUI Project Manager
9 MEG AUDRAIN
10 CINDI CARPENTER
11 SAID DAIBES
12 MARC FERDAS
13 JAMES FIRTH
14 SANDY GABRIEL
15 VINCE HOLOHAN
16 DONNA-BETH HOWE
17 SOPHIE LE
18 GRETCHEN RIVERA-CAPELLA
19 MARIA SCHWARTZ
20 RONALD ZELAC
21

22 ALSO PRESENT:

23 ROY BROWN CORAR
24 JANET BUKOVCAN MDS Nordion
25 SIMON CHOI FDA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23

ALSO PRESENT (CONTINUED) :

ROBERT DANSEAU New York

CAROL FLORIAN Symetosphere

JIM NANCE Symetosphere

GARY WILLIAMS VA NHPP

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

	<u>AGENDA ITEMS</u>	<u>PAGE</u>
1		
2	Safety Culture	5
3	Update on Grandfathering Certified	
4	Medical Physicists	49
5	Revisions to the NRC Radiation Protection	
6	Requirements: Potential Impacts to the	
7	Medical Community	62
8	Post-Implant Written Directives for	
9	Yttrium 90 Microsphere Procedures	186
10	Subcommittee Report on Byproduct	
11	Material Events	109
12	Administrative Closing	145
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		
23		
24		
25		

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

P R O C E E D I N G S

(8:15 a.m.)

1
2
3
4 ACTING CHAIR THOMADSEN: Welcome back to
5 Day 2 of the NRC ACMUI meeting.

6 We will be beginning with a presentation
7 from Ms. Gilley on the NRC efforts to develop a safety
8 culture policy.

9 Ms. Gilley?

10 MEMBER GILLEY: Good morning. The safety
11 culture is something that NRC has been embracing and
12 talking about with the agreements states for the last
13 year or so. I'd like to give an introduction to it
14 but I'm really looking to have the ACMUI members to
15 provide some dialogue and information back to NRC and
16 the agreement states on how best we could forward with
17 the adoption of a safety culture policy.

18 Policy segments help to guide the
19 activities of the NRC staff and can express the
20 Commissioner's expectations of others. They are not
21 rules with the meaning of Administrative Procedures
22 Act and cannot be accorded the status of a rule.

23 Agreement states cannot be required to
24 implement elements of the policy statement and policy
25 statements cannot be considered to be bind upon them

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or enforceable against them -- against NRC or
2 agreement licenses.

3 The types of activities that have occurred
4 that have indicated that maybe we needed to focus more
5 on a safety culture include the loss of control of
6 sealed sources of prostrate brachytherapy performed
7 without the evaluation of seed placement and iodine
8 131 in therapy administered to a lactating mother that
9 resulted in radioactive iodide uptake to infants, and
10 years of undetected boric acid corrossions in the
11 reactor pressure vessels head cavity at the Davis-
12 Besse Nuclear Power Station.

13 The safety culture policy statement from
14 the Commission should expand the NRC's policy of
15 safety culture to address the unique aspects of
16 security and to ensure the resulting policy is
17 applicable to all licensees and certificate holders.

18 They published a draft policy statement in
19 the Federal Register in November of 2009 and they held
20 a safety culture workshop February 2nd through the
21 4th, 2010, where they redefined or enhanced the
22 definition of safety culture and developed some safety
23 culture traits.

24 The original definition of safety culture
25 is the assembly of characteristics, attitudes, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 behaviors in organizations and individuals which
2 establish that as an overriding priority. Nuclear
3 safety and security issues receive the attention
4 warranted by their significance.

5 With the completion of the workshop, there
6 was lots of information received in that workshop.
7 They redefined or enhanced that definition to state
8 nuclear safety culture is the core values and
9 behaviors resulting from a collective commitment by
10 leaders and individuals to emphasize safety over
11 competing goals to ensure protection of people and the
12 environment.

13 So where are we in that? They're still
14 looking at comments and trying to find guidance
15 documents that would be appropriate for medical
16 applications. They have identified eight traits of a
17 safety policy culture. And they are here today -- or
18 Ed and I as an agreement statement member, since we
19 usually have to follow suit with NRC, are looking for
20 your input to identify other activities that might
21 could be used in the medical facilities to enhance
22 safety culture.

23 What work practices might be in place,
24 work planning and control that we might could look at,
25 continuous learning environment, effective

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 communications -- I'm not aware -- know if many of you
2 are aware that sometimes communication within an
3 organization has led to medical events, and others
4 that might be out there based on your experiences.

5 I'd also like to acknowledge that there
6 are two NRC employees here that can assist me in
7 defining this safety culture policy, James Firth, who
8 helps me with the slides, and Maria Schwartz, who are
9 sitting in the first row back there.

10 The other information in the package that
11 you received includes the eight traits that they've
12 come up with. You may want to refer to that.

13 And, Dr. Thomadsen, I would like to open
14 the floor for conversation from individuals on their
15 thoughts about safety culture.

16 ACTING CHAIR THOMADSEN: Very good. Thank
17 you very much.

18 Let me first ask if there are comments
19 from the Committee? Questions?

20 Mr. Lewis?

21 MR. LEWIS: I just, for an additional
22 point of reference, we are very much in the
23 information collecting mode for materials licensees on
24 safety culture issues. And in a lot of ways, we're a
25 lot behind the way that the reactors use the term

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 safety culture and have a more systematic application
2 to their work.

3 And our medical licensees, among our
4 material licensees, you know, have a strong reputation
5 for a good safety culture. And hospitals are in
6 business to save lives. So they naturally have good
7 safety cultures.

8 So hopefully what you can help us with
9 today is just some ideas of how safety culture or
10 things that we already do that aren't called that, can
11 be made more tangible and systematic amongst our
12 material licensees in the future.

13 ACTING CHAIR THOMADSEN: Let me ask you,
14 do you have some example in mind as to what that might
15 look like?

16 MR. LEWIS: Maybe I could get some help
17 from the staff but yes, I do, because we do many
18 things in our programs geared towards ALARA or
19 reviewing our work. They are up there, you know,
20 continuous learning environment. And I think in the
21 performance-based regulatory environment that we are
22 trying to work towards in our inspection program or
23 our licensing program, we want to have feedback in how
24 to paint those into a box or share best practices
25 amongst licensees in terms of proceduralizing a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 continuous learning environment.

2 Is there any examples at your licensed
3 facilities where you have, you know, a strong safety-
4 conscious work environment program or something that
5 other licensees that may not have the benefit for.

6 So we are in the mode this year of
7 collecting all those data points. And the next step
8 would be to try to materialize those into some
9 regulatory guidance or the policy statement. And
10 eventually -- like we have a -- if I could, we have a
11 medical use policy statement. Everybody here knows
12 that. You know our policy is not to interfere with
13 the practice of medicine.

14 The policy statement is embodied in all of
15 Part 35 in how we define medical events. It is
16 embodied in all of our licensing guidance in NUREG-
17 1556.

18 So in the same way, we ultimately want the
19 safety culture policy statement to be embodied in our
20 regulations and guidance in a tangible way.

21 Jim, do you want to add to that?

22 MR. FIRTH: Okay. Jim Firth, NRC Staff.

23 A couple of things. I mean one, when we
24 had the workshop in February, we had someone from the
25 Joint Commission who has been involved in terms of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some of their work on safety culture and sentinel
2 events. And also in our previous workshop in 2009,
3 some of the feedback we had was that to get it
4 incorporated into some of the medical licensees is to
5 work through some of the standard-setting
6 organizations that would then be embodied in terms of
7 the professional practices, it would then be then
8 incorporated in terms of what the licensees do.

9 As we move to implementation, what we are
10 wrestling with is we have a very large diversity of
11 licensees on the materials side. And even in the
12 medical side, you know, the larger facilities versus
13 the smaller facilities. So what is the best way, in
14 terms of working things through implementation?

15 We're not going to be going out as often
16 as we go out on the reactor side to see what's going
17 on. So we're looking in terms of -- one other thing
18 to add is that we did a pilot looking at trying to
19 look at what we were doing in the reactor oversight
20 process to see how that would translate to materials
21 licensees.

22 The example we started with were uranium
23 fuel fabrication facilities. And what we noticed is
24 that a lot of the principles of safety culture are
25 either implicit or explicit in the existing NRC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 regulations. Not all of them but there's a good -- a
2 large set that are.

3 There are reporting requirements. There
4 are things in terms of safety-conscious work
5 environment and employee protection that also
6 translate and correspond very well with what we looked
7 at as areas important to safety culture. There are
8 other areas that are not necessarily as explicit.

9 ACTING CHAIR THOMADSEN: Other comments?
10 Yes, please? Dr. Langhorst.

11 MEMBER LANGHORST: Sue Langhorst. You
12 talk about getting into this conversation with
13 licensees and so on. And I thought there were two
14 additional workshops scheduled. But did I hear
15 correctly that they were cancelled?

16 MR. FIRTH: Jim Firth, NRC staff. Yes,
17 the two workshops that were planned, April and
18 October, have been cancelled. And part of that is
19 that we didn't know how long it would take to arrive
20 at a common definition and underlying traits using the
21 workshop.

22 MEMBER LANGHORST: Okay.

23 MR. FIRTH: We made a lot of progress.
24 The people that were on the panel were very pleased
25 with the progress. A lot of the comments that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 received afterwards, because we extended the comment
2 period so it would close after that workshop, a lot of
3 the comments that were received afterwards said --
4 asked the NRC to use what came out of the workshop in
5 terms of the definition and traits. This is a good
6 starting point for the final policy statement.

7 So based on that and based on the feedback
8 we had of the people that helped us plan the workshop,
9 that it represented stakeholders on materials
10 licensees, the public, reactors, a full range of
11 stakeholders, their feedback to us was that they
12 didn't see the need of having a workshop similar to
13 what we had before.

14 MEMBER LANGHORST: Okay.

15 MR. FIRTH: So we're not going to have
16 those two workshops. We are going out on meetings as
17 we get word -- information out on the definition and
18 the traits to try and get feedback on how receptive
19 are groups and organizations to the definition and the
20 traits because one of our objectives is that we would
21 like to have a common language of safety culture that
22 can be used by NRC and others. If it is not endorsed
23 or not embodied by others then things are not going to
24 work as well.

25 There's also multiple definitions of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 safety culture in terms of NRC, OSHA, and others. So
2 if we can come up to some definition that people are
3 going to be widely using and also help communications.
4 So over the summer, we are having -- going out and
5 trying to go out to workshops, conferences, and other
6 meetings to try and get that information.

7 We have under consideration a possible
8 workshop, possibly like in the October time frame.
9 But that's sort of tentative.

10 MEMBER LANGHORST: Okay. I would just
11 urge the NRC to let licensees know that those
12 workshops may get cancelled because I couldn't -- it
13 was too quick on the first one and I could not attend
14 or even sit in. And so I thought okay, I'll have
15 other chances. And then they were gone.

16 And so I understand that you got done what
17 you wanted to get done in that environment of
18 developing at least a new statement that everyone
19 could agree with. And so I am very glad that you are
20 continuing to have that dialogue with licensees.

21 MS. SCHWARTZ: And that's fair. Even if
22 we do not have the second workshop in the
23 September/October time frame, we do plan, when we pull
24 things together from our outreach activities, to have
25 a second Federal Register notice where we will ask for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comments again to make sure we don't have an fatal
2 flaws in what we have arrived it.

3 MEMBER LANGHORST: I have another
4 question.

5 ACTING CHAIR THOMADSEN: Please continue.

6 MEMBER LANGHORST: Debbie, in your slide
7 on safety culture and the definition, it said nuclear
8 safety but then no place else did it say nuclear. It
9 just -- how does NRC propose to marry in an overall
10 safety culture with the nuclear part of things?

11 And my point is sometimes there are so
12 many things we have to address from an NRC regulatory
13 perspective that uses up resources from other safety
14 needs and sometimes it is not the greatest of balance
15 but our license is very precious to us. And so we
16 have to make some tough decisions on which safety
17 aspect we have to focus on.

18 MR. FIRTH: Okay. James Firth, NRC staff.

19 The definition that came out of the
20 workshop was a result of compromises and other
21 discussions among a panel and also the other people
22 that were participating. Part of the discussions and
23 deliberations was that some organizations feel that it
24 is very important to stress that nuclear is different.
25 And you hear this on some of the nuclear power plants

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that whether it is new construction or existing power
2 plants when they have people coming in, it is very
3 important for them to stress that even though you deal
4 with safety culture elsewhere, here it is different.
5 Here it is even more important than elsewhere.

6 And the way that got reflected was they
7 added nuclear as nuclear safety culture in what is
8 being defined. We haven't come to a final conclusion
9 on that but that's what the discussion was. But the
10 intent is not necessarily to have this be partitioned
11 and separate from elsewhere.

12 We also heard from other stakeholders that
13 given that they deal with industrial accidents are
14 even more significant and what they worry about more
15 than the nuclear based on their business. So it
16 didn't resonate as much, I think, with them having the
17 nuclear as different. They wanted everything brought
18 together. So that's one of the things we are
19 wrestling with is that some groups really feel that
20 they need to differentiate that it is different for
21 their organization but others want to bring everything
22 together in terms of industrial safety, nuclear
23 safety, and so forth.

24 MEMBER LANGHORST: I think it is very --
25 sorry -- I think it is very important that you can't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 get a one-size-fits-all in developing a safety
2 culture. It has to be adopted by the organization and
3 it has to be fed continuously to have it be
4 successful.

5 And sometimes you can have a very good
6 safety culture but it may be focused more for this one
7 part of safety at one given point in time and there
8 may be issues that come up with a license commitment
9 or something. So I just -- it's very hard to regulate
10 it if that is any intent of the NRC.

11 ACTING CHAIR THOMADSEN: Thank you.

12 Debbie? Or Ms. Gilley.

13 MEMBER GILLEY: I just want this group
14 especially to be aware that my personal thing is that
15 patient safety should be primary. And I worry a lot
16 about the competing safety cultures here. And us
17 having to make decisions.

18 And I would hope that the ACMUI would
19 support that the patient safety is the primary focus
20 and the nuclear safety, if when in conflict, would
21 take a second seat to patient safety. And that
22 bothers me a little bit because I think that there
23 might be opportunities out there where we would be
24 putting those decisions to either violate our
25 regulations demonstrating we have a nuclear safety

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 culture at the expense of our -- to allow the patient
2 to get what they need.

3 ACTING CHAIR THOMADSEN: I would -- if I
4 may, I think there's a confusion in this discussion
5 between cultures and practices in that when you have
6 competing demands for the resources, it is the
7 practice that determines what gets the resources.

8 But a safety culture is an awareness of
9 having to deal with the risk in the organization. I
10 don't think you can have a nuclear safety culture in
11 the absence of an overall safety culture for the
12 organization. And I think that if you have a safety
13 culture for the organization, it will filter down into
14 the nuclear safety and patient safety and all the rest
15 of the safety things.

16 And the demands for the resources would be
17 determined by the needs. But the overall safety
18 culture would be for the entire organization. That
19 would have to be how I see it.

20 Dr. Suleiman?

21 MEMBER SULEIMAN: Yes, I get troubled by
22 it because I think it -- I can't see how you can have
23 partitions and different safety cultures. I mean the
24 way I look at everything, everything -- it is an
25 attitude. It is almost like good practice.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 A lot of regulations that we are involved
2 with, their primary objective is safety if you
3 translate back to the intent. So I don't see how you
4 could say this is safety and that's not because
5 there's always this -- you know you were saying one
6 against the other. But I don't see it that way.

7 You're always making decisions. And
8 sometimes you say well, we can use the item --
9 exercise just the other day. Right. Right. Are you
10 protecting the public or are you protecting the
11 patient?

12 Well, at some point, you may be shifting
13 the balance so you are actually causing harm. So
14 you're trying to maintain balance. So the nuclear,
15 the security, I think what I suspect the intent was
16 that have an attitude where people respect the safety
17 regulations and respect what people are trying to do
18 rather than people just saying look, this is my job.
19 I've got to do these. And I'm not concerned about
20 what's happening there.

21 So I think a safety culture would
22 inherently -- a good safety culture would inherently
23 have a lot of respect for each other. And I find it
24 interesting that you are trying to segregate -- like
25 that there is a nuclear culture and then there is an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 occupational and then there is a -- it's got to be one
2 big -- it's an attitude.

3 ACTING CHAIR THOMADSEN: We have a member
4 of the public.

5 MR. NANCE: Jim Nance from Symetosphere.
6 We attended the workshop. And the only thing I wanted
7 to interject was as an observer there that the only
8 reason the word nuclear was put in there was because
9 the NRC felt that they could only regulate or have a
10 policy against nuclear safety culture, not against all
11 safety culture because they cannot go and audit or
12 observe if you're doing OSHA, if you're doing all the
13 other safety cultures.

14 So from my perspective as an observer,
15 that was the only reason the word nuclear was put in
16 there was because NRC said we have to be able to have
17 this, that if it does go to rulemaking, not that it
18 will, but if it ever did go to rulemaking, that we
19 would have to have that in there.

20 ACTING CHAIR THOMADSEN: And that's one of
21 my concerns. I don't think you can -- I don't think
22 you can make a rule for attitudes. And that's what
23 the culture is.

24 You can make rules that define behaviors.
25 And that's what you already do. And if you are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looking to try to control safety of radioactive
2 materials, you can have regulations that do that.

3 But you can't regulate people's attitudes.
4 You can't even evaluate people's attitudes even on
5 what it looks like. But you can control and regulate
6 behaviors. So I'm not -- I'm skeptical of the whole
7 concept of trying to write rules for cultures.

8 Yes? Dr. Zanzonico?

9 MEMBER ZANZONICO: Pat Zanzonico. Apropos
10 of that point, and I think hospitals and other medical
11 centers are not unlike many organizations. There is a
12 hierarchical structure and they are very results
13 driven.

14 And at the top of the hierarchical
15 structure in hospitals, of course, are physicians.
16 And the results that drive the operation are patient
17 procedures. And obviously the physicians and
18 administrators and so forth want to push through as
19 many patients as possible and maximize income and so
20 forth and so on. And hopefully in the process deliver
21 optimum patient care as well.

22 Often times, however, the individuals who
23 are most aware of lapses in safety are no where near
24 the top of that hierarchy. People like technologists,
25 nurses, even housekeeping staff, you know, who may see

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 unshielded sources, et cetera, et cetera, because all
2 of that helps optimize the results component of the
3 operation.

4 And to get to another point and then I'll
5 get back to my first point, is that I agree it is very
6 difficult, if not impossible, to regulate a culture
7 unless there is an enforceable component. I mean
8 that's just human nature. And that's just the
9 reality. I mean people will certainly pay lip service
10 to a safety culture much like they pay lip service to
11 ALARA.

12 But unless there is a stick connected with
13 the carrot, the fact is that the day-to-day business
14 of an operation are so overwhelming that it is very
15 easy not to pursue, in a tangible sense, a safety
16 culture, a lab, or et cetera, et cetera. Again,
17 people will pay lip service to it, especially when
18 they are inspected or audited or some such thing as
19 that.

20 And so, you know, as much as we may not
21 like it and as much as I, as a user, don't need more
22 paperwork and more regulations and more reports, et
23 cetera, et cetera, unless there is an enforceable
24 component to this, it is going to have very little
25 tangible impact for the reasons you cited.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 One -- and I don't want to get overly
2 proscriptive or overly detailed at this point but one
3 possibility would be to include a suggestion, if not
4 an requirement, in a safety culture statement that a
5 non-management individual be identified as a safety
6 culture officer.

7 And I'm thinking of that, again, not a
8 physician, not an administrator, not a physicist, not
9 a radiopharmacist, but perhaps a technician, perhaps a
10 nurse be prescribed as someone who is responsible for
11 that. Perhaps there could be a professional level
12 person as well. But definitely including a non-
13 management person.

14 And also requiring a periodic safety
15 culture report. Again, none of us need more
16 regulations or more paperwork. But I just don't see
17 this having any practical impact unless number one,
18 there is a tangible product such as a report connected
19 with it, and even more importantly, some enforceable
20 action.

21 I think the NRC and other regulators
22 should not underestimate the impact they have on the
23 operation of hospitals certainly. The quickest way to
24 free up budget money is to say well, it is a
25 regulatory requirement. No matter how much else --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there may be a compelling need for something, once it
2 becomes a regulatory issue and it potentially exposes
3 the hospital to monetary and other sanctions, suddenly
4 money that wasn't available becomes available.

5 And likewise, unless there is some sort of
6 tangible sanction associated with violation or
7 neglecting a safety culture, I just don't see it
8 having a practical impact -- a practical impact. And
9 even at that I'm skeptical because it is one of these
10 amorphous concepts.

11 But I think there has to be some
12 proscriptive component, not just a philosophical
13 component to this notion. And there has to be some
14 enforceable sanction connected with it as well.
15 Otherwise, as I say, I see it having little practical
16 impact.

17 MR. LEWIS: Dr. Thomadsen?

18 ACTING CHAIR THOMADSEN: Mr. Lewis?

19 MR. LEWIS: On your comment and Dr.
20 Zanzonico's comment, let me offer a thought for
21 discussion purposes. But first let me say that both
22 of you talked about new requirements. And we're not
23 talking about new requirements.

24 We're talking about a policy statement,
25 which is not an enforceable vehicle. But think of it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more of like a lighthouse that guides our activities
2 and prioritizes our NRC activities to look in the
3 right places in the future.

4 But that said, let me -- where do I see
5 safety culture? Where do I see it show up? And it
6 shows up in events, after-the-fact events.

7 We have our thorough analysis of some
8 medical event or license event that is reported to us.
9 We go out, do our inspection, issue our violations,
10 resolve the enforcement, agree to the corrective
11 actions.

12 A lot of times I see the root cause or a
13 contributing cause is a poor licensee safety culture.
14 And everybody that reads the report say oh yes, that's
15 right. Yes, they had a bad safety culture. But the
16 question I'm asking now is why do I always see that
17 after the fact? What can I do about safety culture
18 before an event happens as a regulator?

19 And that's a different way to look at the
20 question. And we should be doing proactive things.
21 And what are those things? That's kind of what is
22 before us.

23 ACTING CHAIR THOMADSEN: Dr. Suleiman?

24 MEMBER SULEIMAN: Actually you can have --
25 you can encourage a safety culture with very strategic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 regulations. I mean, for example, this is a personal
2 experience. I won't go into a lot of detail but we've
3 debated within FDA the necessity of certain
4 regulations, you know, there are a lot of professional
5 activities and so on.

6 But then you'll say but these
7 professional, top-layer activities, only penetrate 20
8 percent, 30 percent of the practicing community. And
9 so you say well how do you invoke, how do you get
10 people to practice better, do better, and sometime you
11 realize that a strategic regulation may just, you
12 know, requiring some people to do something simply but
13 on a regular basis, after a period of time can
14 actually teach people to do things properly.

15 Let's say have a five-minute meeting every
16 morning to discuss any potential safety issues, you
17 know. I was once lectured by a senior -- when we were
18 advocating that -- to draft a -- whether we should or
19 shouldn't even consider a regulation. And he said if
20 it is a safety-related issue that is going to have
21 impact on public health, don't shy away from it.

22 The flip side of that, I was at a major
23 academic research institution and this was on
24 fluoroscopy. And there had been a lot of voluntary
25 technological advances for the fluoroscopy systems.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And one was a concept called speedometer odometer dose
2 rate. You cannot blame the physicians for the amount
3 of radiation they were delivering if they didn't know
4 how much radiation they were delivering.

5 So this concept had been thrown around.
6 And it was a pediatric interventionalist who said we
7 approached administration and they said no. If it is
8 important, it will be required as a regulation.

9 So that was a cathartic moment for me
10 where I said, you know, maybe we need to cross the
11 line and mandate that because it is a safety feature.
12 If you continue to want people to adopt it voluntarily
13 and let the marketplace decide, you are going to have
14 some people who are not doing that properly.

15 So I think careful thought, some critical
16 regulations could, in fact, reinforce a safety
17 culture. If you turn it loose completely, you're
18 going to have the good players, and they're the ones
19 always here at this table talking about how great
20 things are, and you're going to have the people who
21 aren't here who are out there doing all the things
22 that cause us the problems.

23 So it is an attitude. But I think without
24 being overly proscriptive, that's always the critical
25 thing, you want balance. It doesn't mean you have no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 regulation. But you don't want to get so proscriptive
2 that people are obsessed with the regulation and lose
3 sight of why they are there.

4 ACTING CHAIR THOMADSEN: Thank you very
5 much.

6 We have a member of the public. Please
7 identify yourself.

8 MS. FLORIAN: Hi, Carol Florian,
9 Symetosphere. I'm a cultural engineer. And I
10 participated in the cultural -- or the safety culture
11 workshop for the NRC.

12 ACTING CHAIR THOMADSEN: Can you stand a
13 little closer to the microphone please.

14 MS. FLORIAN: Closer? Is that better?

15 ACTING CHAIR THOMADSEN: Much better.

16 MS. FLORIAN: Okay. I would just make a
17 few comments. It is very difficult to regulate safety
18 culture because it is an after-the-fact thing. What
19 you need to work to is it is actually possible to
20 create and design the culture you are looking for in
21 the organization. And what that ties back to is
22 changing how people function in their daily role as
23 opposed to them being functions by controls, change
24 the beliefs that the people have so that they are
25 doing things because they truly believe they are the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 right thing to do.

2 And I know it probably sounds a little
3 cliché but we have a specific way that you can take
4 the traits, for example, that the workshop came up
5 with, problem resolution and metrics, personal
6 responsibilities and attitudes, those are the things
7 that you want to see change in your organization so
8 that people do those things because they believe it is
9 right action to take.

10 And you can take the traits, it is
11 possible, tie them to concepts. And concepts are
12 something that you can implement in the organization
13 and measure. And it speaks to Mr. Lewis's question
14 what are some specific things that you can do?

15 And you can take those traits and tie them
16 to different things like root cause analysis or
17 reducing medical errors, those kinds of things,
18 putting continuous improvement in place and making
19 sure that you feed back all that information. And you
20 keep changing it and giving the people who actually
21 have to do these things each day a stake in what it
22 is. And then they begin to take ownership for what it
23 is that they do.

24 And you'll start to see the culture change
25 in the organization. And then you have a tangible way

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to take it and be able to measure it.

2 ACTING CHAIR THOMADSEN: Thank you.

3 Mr. Mattmuller?

4 MEMBER MATTMULLER: Yes, just for the
5 record, I attended a three-day safety culture NRC
6 program. And I'm not sure where these other people
7 went on the third day but I was there on the third
8 day.

9 ACTING CHAIR THOMADSEN: Can you move your
10 microphone closer?

11 MEMBER MATTMULLER: Sure. And primarily -
12 - I participated and the one point I tried to make
13 there and to remind people here is that we are
14 completely different than most applications that the
15 NRC regulates. And that we give radioactive material
16 to patients on purpose because of the benefits that it
17 provides as opposed to using the power of the atom to
18 generate electricity.

19 And so from our perspective, it is totally
20 different. It's 180 degrees different. But also we
21 have within a medical center or within the medical
22 profession, we have the Joint Commission who is a much
23 larger force in a medical center than the NRC is.

24 And they have been after safety culture or
25 I can think of some of their earlier started over 20

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 years ago, the quality assurance program. And, in
2 fact, not that we shared notes but they do have a
3 document right now called root cause analysis in
4 healthcare. And so it is something they actively
5 promote, and manage, and look for when they come and
6 do their inspection visits.

7 And as far as -- to touch on what Pat
8 mentioned -- there is a stick now in healthcare and
9 that is reimbursement is now tied to quality results
10 in that I know our facilities participates with a
11 couple of insurance companies that do surveys. And if
12 our quality level is at a certain level, we get a
13 higher reimbursement rate, which obviously gets the
14 attention of the leadership for our medical center.

15 So -- which also touches on another
16 important point that for all safety culture, it has to
17 be driven from top down. That if the leaders of the
18 organization aren't embracing it, it really -- it's
19 very, very difficult for the organization to have a
20 good safety culture. So it really has to be
21 emphasized that it needs to start at the very top.

22 And also to touch on what Orhan mentioned
23 that to help get leadership's attention, the policy or
24 it's not a regulation, it's a policy for the Radiation
25 Safety Committee that senior administrator from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hospital attends so that's one way to help require
2 that they at least -- you know, that they are there to
3 see from a radiation safety perspective how their
4 culture is operating at their medical center.

5 ACTING CHAIR THOMADSEN: Thank you.

6 One thing, I get the distinct impression
7 that we're not giving you exactly what you were
8 looking for in this discussion. One thing that --

9 MR. LEWIS: I disagree.

10 ACTING CHAIR THOMADSEN: Oh, well, I'm
11 delighted.

12 MR. LEWIS: I think it's been a very
13 helpful --

14 ACTING CHAIR THOMADSEN: Oh, good.

15 MR. LEWIS: -- discussion.

16 ACTING CHAIR THOMADSEN: I'm glad.

17 MR. LEWIS: That's what we look to our
18 experts for.

19 ACTING CHAIR THOMADSEN: I'm glad. One
20 thing that might be also helpful as far as guidance
21 for what this Committee could offer back to you as
22 guidance would be some examples from what you were
23 saying where the investigations have identified that a
24 cause of events were poor safety cultures.

25 If you could provide us with some examples

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of what that looked like to the investigators, that we
2 could then look at how we might make recommendations
3 for how those practices might be turned into something
4 that was more concrete.

5 MR. LEWIS: I think we could do that. I'm
6 looking to our Region III people who have been our
7 lead on safety culture. And Patty, I was wondering, I
8 think we have some examples we could provide the
9 Committee. It may be like a series of documents.
10 We'll try to point to what parts to look at or
11 something.

12 ACTING CHAIR THOMADSEN: That would be
13 very good.

14 MS. PELKE: Hi, good morning, Patty Pelke
15 from Region III NRC.

16 First of all, I think this has been a very
17 productive discussion. From a regional perspective,
18 what we have observed is that safety culture is
19 embraced throughout the entire organization. It has
20 to start at the top and it has to maintain a very
21 strong focus from the top. And it integrates
22 throughout the rest of the program.

23 As far as inspection activities that we
24 have had where safety culture has come out as maybe --
25 it might not be identified as a root cause but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 certainly is a contributing cause and certainly is a
2 contributing cause in a lot of events that we've
3 identified. It's a process where a treatment is
4 started and there are some questions. There are some
5 precursors that really start to formulate at a very
6 fundamental level. And those precursors don't seem to
7 be addressed.

8 And individuals, they may not be familiar
9 with the types of equipment that they are using, they
10 may have used something similar in the past but not
11 exactly the same. And they continue the process even
12 though they have had questions along the way. They
13 don't take a let's take five, they don't have a take
14 five process where they step back.

15 For those of us that were here yesterday,
16 Dr. Potter talked about what he does in the OR when he
17 does his run through before they had a brachy
18 treatment on the issues that they identify or what
19 they want to verify with the right patient, the
20 isotope they are going to use going forward, and then
21 they proceed.

22 So it is those kinds of what you might
23 want to think of as soft issues that really come to
24 light as events are identified. And the fact that
25 they do formulate at a very, very fundamental level.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And that folks continue to have the
2 questioning attitude but seem to proceed and then
3 mistakes occur as opposed to having a process where
4 they step back, take five, and start asking those
5 questions and addressing those at a much more
6 fundamental level.

7 That's more in the medical arena. But
8 we've also seen it, you know, production over safety
9 happens for a number of our licensees as well as
10 opposed to possibly stepping back and looking at a
11 safety marriage where you are not compromising safety
12 over production.

13 And, again, that, I believe, comes from a
14 very strong culture at a high level within an
15 organization.

16 ACTING CHAIR THOMADSEN: Thank you.

17 Yes, Dr. Van Decker?

18 MEMBER VAN DECKER: I feel compelled to
19 make some comment after being put on the top of a heap
20 of a hospital. I can promise you I'm nowhere near the
21 top of a heap of any hospital anywhere.

22 (Laughter.)

23 MEMBER VAN DECKER: But I did run a
24 performance improvement committee at a hospital for
25 like 15 years. So, you know, I have some sense for,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you know, some of the stuff that goes on in big
2 organizations and even in small practices.

3 And I think that it is important for the
4 people at the table to recognize that safety culture
5 in healthcare is a prime issue. Now whether it works
6 well all the time or whether an individual institution
7 works well or not, you know, this is a prime focus.

8 And maybe some of the communication of how
9 that happens in the realm of nuclear, which obviously
10 has another bigger piece to it, needs to be talked out
11 a little bit more. You what the lady from Region III
12 was just talking about is a JCHO standard, right, time
13 outs before any operative procedures. It's not just
14 radiation procedure. It's any surgical procedure you
15 are going to do with conscious sedation has to have an
16 identification of the patient part, the patient, dah,
17 dah, dah, dah, dah. So, you know, there are pieces of
18 that in what we do.

19 You know from my perspective, I think
20 that, you know, most, you know, physicians,
21 technologists, the physicists I work with would look
22 at this and say yes, you know, this is kind of what we
23 know we should be doing all along. I mean we have
24 continuous learning improvement and we have radiation
25 safety committees. We try to talk to each other.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I don't think there is anything new in
2 these touchy-feely concepts. The question is when the
3 rubber hits the road, what's the exact specifics of
4 what these things mean? And perhaps some, you know,
5 better identification from your perspective of what
6 you've seen as bad safety cultures, specific examples,
7 and then finding the correct vehicle to spread it out
8 to the penetration level to the community is an
9 important piece of this puzzle.

10 You know my last comment on this is from
11 my perspective the thing that makes the best safety
12 culture is some backup feedback mechanism of what is
13 going on with results, some kind of peer review in the
14 process, and some kind of incident reporting mechanism
15 that is not tied to the fear of retribution if
16 somebody reports something obviously.

17 And so there needs to be, you know, that
18 little stamp on the bottom of peer review that this is
19 for improvement characteristics and dah, dah, dah,
20 and, you know, we have people like radiation safety
21 officers, you know, whether they run their own safety
22 committee or whether it is another person of the
23 safety culture that they kind of go through, you know
24 those committees have to feel comfortable and active
25 in bringing up points.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Fighting for resources is a reality of any
2 organization, whether it be medical or otherwise. And
3 we have to find ways to do this in manners that create
4 good outcomes and the right thing for both the workers
5 and for the patients obviously.

6 But, you know, the concept you have up
7 here, mom and apple pie, right, I don't think anybody
8 around this table is going to argue with. So the
9 question is how do we get the feedback going at the
10 local level? How do we get a feedback going at a
11 national level that we can create better education?
12 That we can feel that we are discharging the duties of
13 what we'd like to do?

14 ACTING CHAIR THOMADSEN: Dr. Suleiman and
15 then Dr. Zelac?

16 MEMBER SULEIMAN: I think one thing that
17 is critical and it cuts across a lot of things is just
18 communication. It always -- it doesn't surprise me,
19 it used to, that most accidents, whatever you find out
20 that people associated with it were aware that things
21 weren't right.

22 But there is always this issue of
23 feedback, communication to a level where something
24 will be done regarding that? Sometimes people are
25 afraid because they will be ostracized or they will be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 -- if safety isn't predominant, you know, they may be
2 afraid to open their mouth.

3 Sometimes you'll see things that are
4 obvious and you are afraid to say something because
5 you think you are the only one. And then you talk
6 privately and you find out other people are thinking
7 the same thing. But people are just afraid to raise
8 the issue.

9 I think this recent oil leak, I hear that
10 there were disagreements, you know, over what to do.
11 So that wasn't a surprise.

12 You hear about airplane crashes where one
13 of the people in the cockpit was aware, was raising
14 some concerns. And the other person wasn't listening.

15 So it's not like we're not sensing -- what
16 I've always found in a -- and when you walk into any
17 kind of a group or do an inspection, you can almost
18 sense if they've got a good attitude. I think you're
19 right, Bruce. I think attitude is a key component of
20 that. And the ability not to be afraid to speak up.

21 Now the flip side of that is if you open
22 up the gates and you allow everybody to say
23 everything, then you've got so much background noise
24 where you are saying well, what's critical and what's
25 just somebody who is whining and complaining.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I think communication and the culture
2 that people shouldn't be afraid to raise certain
3 issues would help cement that safety attitude. But I
4 think there are things you can do tangibly that would
5 reinforce that.

6 ACTING CHAIR THOMADSEN: Thank you.

7 Dr. Zelac?

8 DR. ZELAC: This is a drilling down
9 observation and question simply for clarity. And so
10 first to the slides that you saw during the
11 presentation, if you look at them, you'll notice that
12 the draft safety culture policy statement includes
13 safety and security. And that followed the November
14 Federal Register notice putting that statement out for
15 public comment, which indicated that these two could
16 be combined into one policy statement.

17 Look next at the workshop results slide
18 and the word security is gone. So my question really,
19 just for clarity and so we all understand where we
20 are, is there now going to be a separate policy
21 statement for security? Or is that somehow embedded
22 in the word safety?

23 ACTING CHAIR THOMADSEN: We have an
24 answer.

25 MR. FIRTH: James Firth, NRC staff.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In the workshop, I mean the workshop
2 definition --

3 ACTING CHAIR THOMADSEN: Yes, keep going.

4 MR. FIRTH: -- yes, the workshop
5 definition was the outcome of the collaborative
6 process. And it was -- basically the way the workshop
7 went was we had groups for materials industrial,
8 materials medical, and then more of a reactor focus.
9 They work separately then come back together.

10 What some groups -- with a lot of groups,
11 security was not resonating in some cases because they
12 felt that they want to keep things simple with -- it
13 would conflict a little bit with some of the other
14 safety culture definitions that they're using.

15 A lot of it -- they didn't feel that
16 security was necessarily unimportant. They just felt
17 that security could be similar to emergency
18 preparedness, environmental protection. That there
19 are attributes that are under safety culture and they
20 felt that there was no need to elevate security as the
21 only one getting that special treatment.

22 So the workshop participants were
23 proposing not include security. They felt that it was
24 important to NRC so they deliberated a little bit in
25 terms of whether to include it or not. But it did not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 resonate with them. So they did not include security
2 in either the definition or the traits.

3 We're still wrestling with how to
4 incorporate security as we move forward towards a
5 final policy statement. At this point, we haven't
6 necessarily be focusing on a second policy statement
7 but we have not made any decision in terms of whether
8 security would end up in the definition or in the
9 traits or if this is going to be discussed elsewhere
10 in the policy statement.

11 So we're still working on that. And we're
12 hoping to get some more feedback as we go forward
13 towards a final policy statement. But at this point,
14 we're not necessarily playing against the second
15 policy statement specific to safety culture.

16 ACTING CHAIR THOMADSEN: That answers the
17 question?

18 PARTICIPANT: Yes.

19 ACTING CHAIR THOMADSEN: Fine.

20 Dr. Howe?

21 DR. HOWE: I know all of these new members
22 bring their own experience into reviewing these
23 questions. And the discussion that I am hearing is
24 you are discussing it from the point of view of large
25 medical facilities or medical facilities with JCAHO

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 oversight or with radiation safety committees. And
2 when you are thinking about these issues, you need to
3 also think about the majority of our licensees, which
4 are not the broad scope licensees but the individual
5 physicians because the safety culture will apply to
6 them also.

7 So if you could bring that to the table as
8 you are doing your deliberations and discussions, it
9 would be very helpful for us.

10 ACTING CHAIR THOMADSEN: Thank you.

11 Yes?

12 MR. FERDA: My name is Mark Ferdss. I'm
13 from Region I and have another regional perspective
14 for you.

15 Just a little bit about my background.
16 Before I got into the medical area, I spent most of my
17 career with the NRC on the reactor sites. So I do
18 have a nuclear power plant experience for multiple
19 years. And that is where safety culture has really
20 shown itself and it has developed to now infiltrate
21 down into the other areas that we regulate.

22 And what I would say that there is not as
23 great a difference as what you might think between a
24 nuclear power plant and the medical community,
25 especially in the bigger hospitals, the bigger

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 facilities. It all comes down to the balance of
2 safety versus production I'll call it. Production
3 meaning more hospitals, they're in for-profits.
4 They're also in for treating patients. So it's a
5 balance. You have an input and you have a throughput.

6 And the saying in the nuclear industry,
7 especially on the reactor side, is if you have a
8 strong safety culture, you'll have a strong
9 production. You'll do things well. You won't be shut
10 down. You're not going to be continuously shutting
11 down to investigate why things are happening. And you
12 can continue to your economic goals.

13 What I would offer is that the key here
14 is, I think, the safety culture statement is to drive
15 down into the characteristics that are mentioned here.
16 You have an overarching statement but if you go down
17 into the characteristics, it gives eight
18 characteristics. So those are the keys.

19 And as was stated, what we're seeing in
20 our inspections is when things happen, event occur and
21 you look at the issues, the contributing causes to it
22 are usually one of these eight characteristics that
23 broke down.

24 For example, if you look here, one of the
25 first ones is proceeding in the face of uncertainty.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 That's very similar to the timeout process. Some
2 facilities use them, some don't.

3 The others are that I would offer up is
4 procedures or work instructions are not up to date,
5 the current practices. So what -- I guess the point
6 I'm trying to make here is that the policy statement
7 here tries to put those types of things in plan
8 language for the industry in all aspects to consider
9 when they are looking at how their process and
10 programs are run to see that they have these types of
11 mechanisms, the checks and balances in place as they
12 go through.

13 They identify things. People raise
14 questions. They fix them. They have bigger events.
15 They do continuous learning. They do root causes.

16 So I wouldn't -- I guess what I would
17 offer up is not just the big policy statement. Look
18 at the characteristics of what they're trying -- of
19 what the policy statement is trying to show. And to,
20 I would say, advise our licensees that these are
21 factors that they want to consider in their decision
22 making because it will balance safety versus the
23 production or whatever their output is and do it in a
24 safe manner that protects everyone, not just the
25 organization but the people around it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So if you have any questions, I'd be very
2 happy to answer them in more detail.

3 ACTING CHAIR THOMADSEN: Thank you very
4 much.

5 Dr. Guibersteau?

6 MEMBER GUIBERSTEAU: I think an element
7 here that I haven't heard exactly but I think
8 underlies all of this is that when you get a
9 regulatory agency in an effort to encourage a culture
10 of safety, which I think is very laudable, that
11 underlying that are the regulations because the NRC,
12 for instance, is a regulatory agency.

13 And in any culture, as opposed to
14 civilization, the laws are, you know, are the
15 regulations should be both reflective of the culture
16 and encourage our, if you will, enforce the culture.
17 So I think part of this effort, we need to be certain
18 that the regulations are perceived as being
19 understandable by those in the culture.

20 And that they also be perceived as having
21 be founded in demonstrable advantages in safety as,
22 for instance, as often quoted, the seatbelt culture.
23 That was very successful. I mean they needed to wear
24 them. They didn't. Well, you need to wear them or
25 you'll get a ticket. They didn't wear them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But buckle up for safety and we
2 demonstrated to people what could happen to them if
3 they didn't, and to their children, people get in cars
4 now and it is the first thing they do.

5 So I do think as part of an effort of any
6 regulatory agency with duties to oversee health and
7 safety, that we need to make certain that the
8 regulations are perceived as understandable and that
9 they demonstrate some safety advantages. And we
10 communicate this, as Orhan has said.

11 ACTING CHAIR THOMADSEN: Thank you.

12 One of the things I don't see in the
13 characteristics, unless it is straight number five,
14 leadership safety behaviors, is adequate resources,
15 five.

16 As I've done analyses on events, and I've
17 probably looked at about 300 now having done root
18 causes analysis, and this is for other institutions,
19 I'll say right away, not for Wisconsin, but the most
20 common problem I find is that there were not adequate
21 resources for what the institution was trying to do.
22 I would certainly add that to one of the traits.

23 Oh, yes?

24 MR. FIRTH: All right to illuminate that a
25 little bit, the NRC characteristics and the draft

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 policy statement did get to resources more explicitly.

2 And what came out of the workshop, you are
3 right. That's not necessarily out front in terms of
4 being explicit in the language.

5 In the discussion in the workshop,
6 leadership safety behaviors would encompass resources
7 in terms of leaders of the organization would make
8 sure that the resources are available for doing things
9 necessary to maintain safety. So that was one of the
10 pieces of leadership safety behavior.

11 What we would also need to be doing as we
12 proceed forward is we're not necessarily going to be
13 stopping at those traits in terms of that high-level
14 description, that as we get into guidance or doing
15 other information, we get more explicit examples in
16 terms of what are the leadership safety behaviors,
17 what would be the examples in terms of work planning
18 and control that would then be used to a more concrete
19 environment that is tailored to a few more types of
20 licensees.

21 ACTING CHAIR THOMADSEN: Thank you.
22 Although if you are subsuming resources under that, as
23 has been pointed out before, you could subsume all of
24 those points under the leadership item.

25 I think it is about time to tie up this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion. Any last comments? Yes? Mr. Mattmuller?

2 MEMBER MATTMULLER: I will just also add
3 that through the Joint Commission at large medical
4 centers or even smaller safety management committees.
5 And that has representatives from throughout the
6 organization a hazardous materials safety officer,
7 security, facility management, emergency, infection
8 control, radiation safety, nutrition services, vice
9 president of administration, nursing representative,
10 surgery, behavioral health, so all areas of the
11 medical center are involved in this safety committee.

12 Now that said, it's -- in some regards to
13 touch on what Dr. Howe mentioned, it's almost easier
14 at a larger facility to have leadership focused on a
15 good safety culture and to drive it down. And to
16 support it and nurture it and keep it going.

17 At a small facility, where it could just
18 be a single physician, that's a much greater challenge
19 because then you are dependent upon their commitment.
20 And how you could regulate that, I'm not sure. That
21 would be a challenge for you.

22 ACTING CHAIR THOMADSEN: Thank you.

23 Thank you very much, Ms. Gilley.

24 Dr. Zelac will now give us an update on
25 grandfathering certified medical physicists. And as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fairly recent grandfather and a medical physicist, I'm
2 very interested.

3 (Laughter.)

4 DR. ZELAC: First of all, I think to allay
5 some key concerns perhaps, I think we will be able to
6 get back on schedule. This is simply a progress
7 report. And I think it is somewhat anticlimactic
8 based on what we all heard from Ed Lohr yesterday
9 concerning the Part 35 rulemaking.

10 On one of his slides indicated what was
11 coming up in the next one. And one of the things
12 mentioned was a specific "plan to include
13 consideration of Ritenour petition for rulemaking,"
14 PRM-35-20).

15 This is a progress report on where we are
16 as a follow up to the resolution of the Ritenour
17 petition, which was filed, as some of you may recall,
18 by the American Association of Physicists in Medicine.
19 And in my first slide, I'll give you a little history
20 of how we got to where we are.

21 October of 2002 was the general revision
22 of Part 35, which covered and followed a considerable
23 amount of effort over multiple years to move from a
24 very prescriptive regulation to one that was more
25 performance-based whenever possible.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 New training and experience requirements
2 were established. But the prior requirements, which
3 were under Subpart J of the prior rule were retained
4 due to concerns expressed by this body as to the
5 appropriateness of the new training and experience
6 requirements.

7 Individuals who had been authorized to the
8 inception date, October of 2002, were grandfathered.
9 In other words, they did not have to meet any new
10 training and experience requirements to continue that
11 for which they had already been authorized.

12 April 2005 was a revision of the portions
13 of Part 35 dealing with training and experience. The
14 Subpart J pathways, which had to do with recognition
15 of Board-certified individuals automatically to become
16 authorized, was eliminated. Also associated with
17 April 2005 was additional grandfathering for those
18 individuals who had been authorized between October of
19 2002 and April 2005.

20 In September of 2006, the AAPM, American
21 Association of Physicists in Medicine petition was
22 filed. It sought grandfathered status under the
23 portion of the regulations dealing with
24 grandfathering, 10 CFR 3557, to permit continued
25 practice of medical physics and for serving as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 radiation safety officers for individuals who had been
2 certified by the American Board of Radiology and the
3 American Board of Medical Physics, boards listed in
4 the former Subpart J but not listed on NRC agreement
5 state licenses. In other words, certified individuals
6 who were practicing but whose names did not appear on
7 licenses. The petition sought to have these
8 individuals grandfathered.

9 Resolution of the petition occurred in May
10 of 2008. NRC concluded that the petitioner had raised
11 a valid concern regarding the impact of these
12 revisions to the training and experience requirements
13 in Part 35.

14 NRC would attempt to develop a technical
15 basis, now in today's parlance called a regulatory
16 basis, to support a rulemaking to address for all
17 authorized individual categories the issues raised in
18 the petition. In other words, the scope of the
19 potential consideration would be expanded from medical
20 physicists only to other certified individuals who may
21 not have now, at this point, have certifications which
22 would permit them to achieve authorized status via the
23 certification pathway, their certifications having
24 been received prior to the recognition date for the
25 particular certification process.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I should probably move on and skip to
2 something else. Here is a summary of what went on in
3 the resolution of this follow up. First, information
4 was gathered through a letter of inquiry. This letter
5 was sent to the recognized medical certifying boards,
6 both past, i.e., whose names appeared in the Subpart
7 J, i.e., those boards whose certification processes
8 had been reviewed and whose certification processes
9 were now recognized by NRC.

10 Nine boards were contacted in October of
11 2008, specifically the American Board of Health
12 Physics, the American Board of Medical Physics, the
13 American Board of Nuclear Medicine, the American Board
14 of Radiology, the American Board of Science and
15 Nuclear Medicine, the American Osteopathic Board of
16 Nuclear Medicine, the American Osteopathic Board of
17 Radiology, the Board of Pharmaceutical Specialties,
18 and the Certification Board of Nuclear Cardiology.

19 The boards were asked for the number and
20 percentage of their currently active diplomates, those
21 certified prior to the posted recognition dates for
22 their certification processes who were not
23 grandfathered and who were or might in the future be
24 seeking authorized status.

25 Five of the boards responded, the American

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Board of Health Physics, the American Board of Medical
2 Physics, the American Board of Radiology, the American
3 Osteopathic Board of Radiology, and the Certification
4 Board of Nuclear Cardiology. Four of them conducted
5 these surveys among their memberships. The CBNC,
6 Certification Board of Nuclear Cardiology did not need
7 a survey in order to respond to the questions since
8 their certification process was based on the
9 regulatory requirements for the certification pathway,
10 therefore all of their diplomates automatically met
11 the requirements.

12 For those four boards that did respond,
13 the survey return rates from their surveys averaged 52
14 percent, quite high. The range also very acceptable
15 from 36 percent to 90 percent.

16 The response, there were, in effect,
17 negatively affected diplomates. The average
18 percentage of negatively affected diplomates was 33
19 and the range was from 14 to 66 percent. And in terms
20 of absolute numbers of negatively affected diplomates,
21 over 10,000. And the range from 77 for one board to
22 nearly 8,000 for another board.

23 The conclusion that was reached from
24 looking at the information that was gathered was that
25 pursuing corrective rulemaking was warranted and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 justified. And on that basis, we in MSSA prepared a
2 technical basis or a regulatory basis document. That
3 document has been reviewed by staff in our rulemaking
4 group. And the conclusion from them was it appeared
5 to be sound and sufficiently robust for us to proceed.

6 The technical basis document, the
7 regulatory basis document, is in review now. And we
8 expect that once received by the management of the
9 rulemaking group to be accepted. And to get to the
10 position where it will be included in the next
11 rulemaking, which will, as you heard earlier, proceed
12 later this year.

13 The option, of course, is that as it
14 continues through the review process, there will be
15 some fatal flaw in what we have put together. And on
16 that basis, if, in fact, it is found to not be an
17 acceptable technical basis, that will conclude NRC's
18 action and activities in this matter.

19 That is not anticipated. But it is still
20 a possibility since we do not have yet a formal
21 acceptance of the regulatory basis document.

22 So in summary, there has been response by
23 NRC, based on the direction from the Commission to
24 this question, and the response has concluded that
25 there is appropriate reason to spend resources and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 time to create a regulatory change that would
2 essentially grandfather certified individuals who were
3 practicing and whose certifications don't match
4 preceded the recognized certifications existing today.

5 I'm open for questions if you have any.

6 ACTING CHAIR THOMADSEN: Yes, Dr.
7 Zanzonico?

8 MEMBER ZANZONICO: The question I have is
9 regarding licensed medical physicists. Certain
10 states, including New York where I'm from, have
11 licensed physicists.

12 Now the eligibility for licensure now
13 includes -- one of the eligibility requirements -- one
14 of the eligibility criteria includes board
15 certification. So that would be subsumed, I think,
16 under what you discussed.

17 But I think a cohort of practicing medical
18 physicists certainly in New York State and I gather in
19 the other licensing states where non-certified, non-
20 board-certified physicists were licensed. So it
21 strikes me that they kind of fall through the cracks
22 because I gather the appropriate board certification
23 would allow individuals to be grandfathered in. But
24 now you have, I think, a small cohort but a finite
25 number of people, non-board-certified but for licensed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 -- were grandfathered in when the licensing programs
2 were implemented.

3 Where do they stand in this situation?

4 DR. ZELAC: With respect to licensure,
5 which is obviously separate and distinct from these
6 regulations, individuals who were practicing and were
7 considered as appropriately qualified to continued
8 practice and, therefore, received licensure, clearly
9 were practicing be it in an agreement state or NRC,
10 meaning that those same individuals have met the
11 qualifications of training and experience that are
12 necessary for them to start that activity.

13 So I think the answer to your question is
14 that these individuals are already or should already
15 be grandfathered. Now if, in fact, they were
16 practicing and licensed and yet they were not listed
17 on the license, this would not apply to them. But
18 they would always have a pathway, if they were to go
19 to another institution, for example, to achieve
20 authorized status by applying via the alternate
21 pathway.

22 If they are not certified anyway, that
23 would be the appropriate way for them to achieve
24 authorized status.

25 MEMBER ZANZONICO: Understood. But my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recommendation is that that be included -- that sort
2 of language be included in the regulation because the
3 problem I see in that, for example in New York City,
4 we're regulated by the Bureau of Radiological Health,
5 and frankly we recently had a meeting, the RAMPS, the
6 Radiological and Medical Physics Society of New York,
7 had a representative from the Bureau of Radiological
8 Health, and frankly they were not clear at all where
9 this stood.

10 And they were citing NRC language. And it
11 sounded like they were not only confusing themselves,
12 they were confusing the audience, you know, the
13 practicing medical physicists that comprised the
14 audience.

15 So I think there needs to be some
16 clarification of where the status as authorized users
17 of licensed medical physicists in those states where
18 there is licensing -- and I understand exactly what
19 you are saying, that they should automatically be
20 subsumed -- that was not clear frankly on the part of
21 the representatives from the New York City BRH.

22 And I think that needs to be clarified for
23 their benefit as well as generally.

24 DR. ZELAC: It's probably appropriate to
25 note that this would be -- what we're discussing now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would be a component of the broader, the big picture
2 rulemaking that is going to be started. And clearly
3 that entire rulemaking and all aspects of it would be
4 put out for public comment.

5 And there will be ample opportunity for
6 people either to raise questions or to raise issues
7 relative to any one of the parts of that rule. So
8 that, I think, would, you know, get it out for public
9 consideration.

10 Anything else?

11 ACTING CHAIR THOMADSEN: Dr. Howe?

12 DR. HOWE: I think in the discussion we
13 just had there probably needs to be some
14 clarification. You may be thinking of licensing as in
15 medical physicists being licensed by a state to
16 practice medical physics.

17 We're talking about whether a medical
18 physicist is on a license or, in a broad scope
19 facility, is recognized as an authorized medical
20 physicists.

21 So we don't recognize medical physicists
22 that are working in diagnostic or manual brachytherapy
23 because they don't come under our authorized medical
24 physics definition. But we do recognize medical
25 physicists that are capable of handling radiation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 safety programs if they come in through the diagnostic
2 medical physics thing.

3 But we're not talking about the licensure
4 of individual medical physicists standalone license
5 but a license of a facility or a practice.

6 MEMBER ZANZONICO: Again, I understand.
7 And I understand your explanation.

8 It's not clear that all local regulators
9 understood that. And we're under their jurisdiction.
10 And so, you know, we would be subject to their
11 misunderstanding of the applicable rules.

12 So either they need to be reeducated, the
13 local regulators. Or the language needs to be
14 clarified so that it is not subject to that kind of
15 misinterpretation. That's the reality.

16 DR. ZELAC: The real issue had come up
17 because clearly, as I mentioned in the presentation,
18 for those individuals who are practicing and named on
19 licenses were grandfathered when the regulations
20 dealing with training and experience were modified.

21 The issue had to do with really those
22 individuals who are certified, practicing, but whose
23 names did not appear on licenses and, therefore, were
24 not grandfathered. And what could be done to, you
25 know, alleviate the difficulties that those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 individuals might experience when trying to get
2 authorized status at another institution in the
3 future.

4 ACTING CHAIR THOMADSEN: Dr. Langhorst?

5 MEMBER LANGHORST: Sue Langhorst. I was
6 just wanting to ask will you be asking this group to
7 help you review this technical basis document?

8 DR. ZELAC: There had not been an intent
9 to do that. What we have done was to put together
10 what we thought was appropriate, meeting all of the
11 regulatory, all of the procedural qualifications for
12 acceptance by our rulemaking group.

13 And there response that we got back from
14 the staff there who had received it was that it was
15 adequate and there shouldn't be any issues. In order
16 to give it to them formally for the formal review and
17 acceptance, we have to put it through various levels
18 of review, including going back to our Office of
19 General Counsel one more time, since we added a bit of
20 explanatory language that they have not yet seen.

21 But, in fact, if there are difficulties,
22 we will bring it back. If it is smooth sailing from
23 this point on, which we anticipate, no need to burden
24 you with it.

25 ACTING CHAIR THOMADSEN: Other questions?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (No response.)

2 ACTING CHAIR THOMADSEN: Thank you very
3 much.

4 I am going to report on a meeting that I
5 attended here for this body on the International
6 Atomic Energy Agency's Safety Standard for Protection
7 against Ionizing Radiation, a proposed document that
8 was sent to the NRC, as a member state of the IAEA,
9 for their comment. This is a document which outlines
10 their recommendations on radiation safety for their
11 member states.

12 The document itself was in fairly good
13 shape. There was a lively discussion for most of the
14 day. I would just pay attention to those features
15 which might effect medical applications since that is
16 what the ACMUI would be interested in.

17 The only problems that I saw with the
18 document, and through the discussion heard that might
19 be appropriate here, first was the concept of
20 potential exposure, which was not discussed much at
21 the meeting. If you are unaware, this is a concept
22 that came up a few years ago, I believe through the
23 ICRP, that users of radioactive materials should
24 include in the exposures to people, the idea of
25 potential exposure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 That is that something might happen. And
2 given the probabilities that something might happen,
3 that they should expect that exposure to eventually
4 hit the personnel. That seems very poorly based and I
5 would not suggest that it be perpetuated in standards.

6 The second is simply the use of the term
7 optimized regarding the use of exposure received
8 performing some function. Through much of the
9 document, this is a very common terminology that the
10 exposure to radiation should be optimized.

11 The term is used quite differently from
12 how it is used both in industry in industrial
13 engineering and safety and in the general population.
14 In fact, it seems to be used quite uniquely in that
15 document. And it probably should be replaced with a
16 more conventional term.

17 Third, they do include medical reference
18 levels, which are not explained how these would be
19 applied. These would be diagnostic exposure levels
20 for various studies. They come from what is an
21 average exposure that a patient would receive for
22 these studies.

23 If those averages are then set as
24 maximums, this can be a problem. As I say, the
25 document is very sparse on discussion on how these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reference levels should be used other than just
2 discussing those.

3 And the final point that does not affect
4 medicine so much is the requirement to measure radon
5 in public places, that is that the government should
6 do that. I personally think that that is a bit of
7 overkill on this document.

8 I will note that the American Association
9 of Physicists in Medicine saw an earlier draft of this
10 document and submitted a number of comments. And to
11 the credit of the writers, all the comments had been
12 addressed very effectively. The documents were pretty
13 innocuous as far as I could tell.

14 With that, I will ask if there are any
15 questions. Mr. Lewis was very instrumental at that
16 meeting. If he has any comments he would like make,
17 they would be welcomed.

18 MR. LEWIS: Sure. And Don Cool is in the
19 audience who helped me with the meeting as well.

20 ACTING CHAIR THOMADSEN: Oh, I didn't seem
21 him there.

22 MR. LEWIS: And, you know, I think that
23 this new document is one input into our next revision
24 of Part 20, which the Committee has been briefed on in
25 the last two meetings, I believe. And it is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 necessarily saying that we would adopt any of these
2 requirements in our regulations.

3 I will say that the comment on
4 optimization, I appreciate the point you are making.
5 But I do believe it is probably not realistic to
6 expect the international community to use a different
7 term on that because they have two terms that are kind
8 of embedded in their approach. And one is practices
9 should be justified. And the second is those
10 practices that are justified should be optimized. And
11 that's just their terminology.

12 It would be like trying to get NRC to take
13 compliance out of our regulations or something. So I
14 think that there is an English aspect that often comes
15 up at IAEA. They try to look at a term that means the
16 same thing in many different countries that use
17 English. And then other countries that will translate
18 it, that it is easily translated. And optimize is the
19 term they have. And it is not just IAEA but that's in
20 the ICRP recommendations as well.

21 So Don, you want to add some thoughts?

22 MR. COOL: Good morning. Don Cool, NRC
23 staff.

24 You've touched on one of the things that
25 has been perhaps most hotly debated as the basic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 safety standards draft has been developed over the
2 past five or six years. And it has been cooking quite
3 a long time.

4 And I agree with Rob. The word
5 optimization, as in referring to the process of
6 looking at trying to -- how to best provide protection
7 is very well embedded. Having said that, there has
8 been an enormous debate about whether you say
9 something is to be optimized or whether you say
10 something should be subject to the process of
11 optimization or should be reduced as low as reasonably
12 achievable, social and economic factors taken into
13 account.

14 Each one of those gets to be progressively
15 longer. And as you might imagine, there is always
16 this tendency to not want long phrases in there that
17 keep getting repeated both because it adds a lot of
18 text and introduces potential difficulties.

19 This isn't the first time and you are
20 certainly not the only one who has suggested can't you
21 say something besides optimized, the principal
22 argument being there that you can never know for sure
23 that something is the optimum at any given moment
24 because circumstances might change.

25 The counter argument in the discussion has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 always been well if you simply say it is subject to
2 the process, nothing ever actually requires you to
3 implement whatever you decided was the best approach
4 to ensuring protection.

5 And so if the Committee or otherwise had
6 some thoughts on a better way to express that phrase,
7 we will have some additional bites at the apple as
8 this continues through the process.

9 And just so the Committee can be aware,
10 the member state comment process is concluding in just
11 a couple of days. The U.S. government comments
12 representing a lot of different agencies, FDA, DoD,
13 HHS, you know lots of people have contributed. Those
14 will be submitted in the next couple of days.

15 The IAEA will be assembling those
16 comments. Rob, as our representative to the Radiation
17 Safety Standards Committee will get some discussion
18 during their upcoming meeting in just three weeks.
19 They will have almost their entirety of their meeting,
20 if I understand it now, devoted to it in the November
21 time frame when the IAEA hopes that they would have a
22 draft that would resolve of these comments from the
23 different member states.

24 So we will have some additional
25 opportunities to try and provide small suggestions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 although certainly things are beginning to gel. And
2 I'll be glad to answer any other questions about that
3 if the Committee has any more suggestions. Thank you.

4 MR. LEWIS: And before you leave the mic,
5 Don, is that -- on the diagnostic reference levels, I
6 do believe within the U.S., the FDA, I think, has made
7 use of the diagnostic reference levels but not in the
8 way that they are required, just in a way of helpful
9 information in selecting an image.

10 MR. COOL: Don, Don Cool, NRC staff again.

11 Yes, you are correct. That is one of the
12 items, amongst many items, that have been placed into
13 the draft basic safety standards by the IAEA. The
14 medical area was the area that was the most
15 significantly changed in this draft from the existing
16 document which dates back to 1996 in their effort to
17 try and strengthen the requirements because of so many
18 different medical events and situations and the fact
19 that these standards are what, in fact, gets used in
20 many of the smaller countries of the world who will
21 simply adopt these standards.

22 And the other thing I would note, and
23 another opportunity that we will have, the basic
24 safety standards, as a requirements document, a shell
25 document, is supported by a number of guidance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 documents, the safety standards guides, which are, in
2 some respects, similar to the 1556 series of guidance
3 that the NRC staff has, regulatory guides and
4 otherwise.

5 And I fully expect that there will be
6 updates or additional documents in that series where
7 they will provide some elaboration. And we may well,
8 hopefully would, have opportunities to provide input
9 on those as the IAEA works on drafting them as well.

10 ACTING CHAIR THOMADSEN: Thank you.

11 Any other comments? Yes?

12 MEMBER ZANZONICO: Yes, Pat Zanzonico.

13 I share your concerns about the possible
14 use or misuse of these medical reference levels,
15 especially if they were to find their way into NRC
16 documentation because, again, I think a number of
17 members of the user community, regardless of how they
18 may be qualified, would interpret those as maximum
19 permissible doses.

20 And one instance where we are finding a
21 problem, this is just, you know, a story from home, is
22 the development of new radiopharmaceuticals for
23 evaluating drug pharmacodynamics. That is
24 radiolabeled drugs and their being applied in the
25 initial stages to patient-customized or patient-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 specific dosing of non-radioactive drugs, the various
2 radiopharmaceuticals.

3 Many of these have very poor tumor-
4 targeting properties because they are not intended for
5 tumor imaging per se. They are intended to measure a
6 response to a drug, measure the tumor-specific uptake
7 of a drug, and plan a dose of the non-radioactive drug
8 accordingly so that in order to get usable images, one
9 might have to administer activities of these non-
10 tumor-targeting radiopharmaceuticals. And, therefore,
11 deliver doses that are in the tens of rads, which are
12 well above what people are used to in diagnostic
13 imaging in any modality.

14 And I know Orhan and a number of people
15 have quoted the RDRC limit of five rad as saying well,
16 we can't do that even though it has no applicability.
17 And it is clearly a misinterpretation, a
18 misunderstanding of the rules and regulations.

19 But, again, if these sorts of values were
20 to find their way into NRC documentation, whether they
21 were regulations, whether they were just for
22 informational purposes, et cetera, et cetera, I worry
23 that that same misinterpretation may apply, that a
24 reference level is interpreted as a regulation and
25 precipitates this kind of misunderstanding and misuse

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 among the user community.

2 ACTING CHAIR THOMADSEN: Thank you.

3 Dr. Suleiman?

4 MEMBER SULEIMAN: Yes. Let me give a
5 brief review of reference level. It actually dates
6 back to the states. I think the State of Illinois
7 back in the `70s actually had what they called
8 exposure limits.

9 The concept was picked up by the
10 Conference of Radiation Control Program Directors, an
11 FDA Program NEXT, Nationwide Evaluation of X-ray
12 Trends, would periodically sample. And you would get
13 a distribution sort of like when you take your child
14 and they say he or she is in the 80th percentile.

15 So the concept here was find out what is
16 going on out there. And if facilities are at one
17 extreme or whatever, 75th, 80th percentile, at that
18 point the concept evolved that something is not right
19 or why are you in that higher percentage. So
20 investigate it.

21 And so from the very beginning, I think
22 the concept was very well accepted that this was
23 intended to be like an investigation level. Why are
24 you doses so high?

25 And over and over again from day one,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 people have been concerned that these creep in and
2 become absolute limits. FDA now is involved with a
3 major radiation initiative, some of it dealing with
4 some of these incidents regarding radiation products.

5 But the reference level issue has come
6 back. Now I say in the '80s and '90s we were in a
7 period of the dark ages, I feel, in the United States.
8 A lot of these concepts were picked up by Europe. And
9 they've come back, the American College of Radiology
10 has been advocating very strongly reference levels.
11 And so the concept has come back in a newer, more
12 improved version.

13 The fundamental premise still is, you
14 know, at this point you investigate and follow up.
15 And why are your doses higher?

16 There have been cases where you can
17 justify a higher dose for a certain procedure if it is
18 warranted. And so the question has come to me
19 recently are we going to do this in nuclear medicine.

20 For some reason people think nuclear
21 medicine is much more optimized. And I said
22 absolutely not, I said, because I hear stories all
23 the time where higher amount of activity are
24 administered strictly to get the exam done quickly,
25 you know.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I share Pat's concerns. I think as
2 long as you have the ACMUI staffed with people of high
3 integrity and professionalism, I think it won't creep
4 into the NRC, you know, at least the wrong approach.

5 How you guard against people taking
6 guidance or taking concepts that are defined for one
7 thing and then turn them into something inappropriate,
8 I think the group that adopts that, it is their
9 responsibility. I think we can -- I think it is a
10 good concept. I think it is an important concept in
11 that it is flexible.

12 So I see this as a way of keeping
13 radiation doses as low as reasonably achievable if you
14 have got a benchmark. You know one of my soapboxes
15 over and over and over again is how do you know you
16 are practicing ALARA if you don't know what the dose
17 is? And if you know the dose, what does it mean?

18 So I think the concept of reference levels
19 helps reinforce the value of knowing what your dose
20 is. And would be a step in the right direction.

21 But I agree with Pat in terms of be
22 careful how these concepts are used.

23 And the term optimization, I don't know
24 what you do about that because different exams may
25 require a different amount of radiation based on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 different types of image quality.

2 ACTING CHAIR THOMADSEN: Yes?

3 MR. COOL: Don Cool of the NRC staff
4 again.

5 I would note that even when you go to the
6 glossary of the document, you will find two components
7 to the definition of optimization, one dealing with
8 the non-medical, if you will, where you are trying to
9 minimize exposure as low as reasonably achievable, and
10 the second piece dealing with medical where it is
11 modified to be the right exposure or the right amount
12 of material to achieve the medical purpose.

13 I'm not able to quote the words exactly
14 but in the drafting process, there was a lot of
15 discussion about the difference, particularly related
16 to patients, and optimization between simply trying to
17 minimize versus making sure that you've got the right
18 amount to do the job.

19 ACTING CHAIR THOMADSEN: Thank you.

20 Yes, Dr. Van Decker?

21 MEMBER VAN DECKER: Two questions if I
22 might. Number one, can you give me some sense for
23 where the raw data came from for the medical reference
24 list that we're talking about? How did we come to
25 this -- or how did this group come to this as the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 medical reference list? Where was the raw data
2 acquired from?

3 And question number two is can you comment
4 a little bit about occupational worker limits that
5 might be in this that I didn't see on the slide?

6 MR. COOL: Sure. Don Cool, NRC Staff.

7 The first question, and I assume are
8 referring to the medical diagnostic reference.

9 MEMBER VAN DECKER: That's correct.

10 MR. COOL: The basic safety standards
11 requirement is that a member state should establish
12 diagnostic reference levels based on a series of
13 things. There are not actually any numerical values
14 for any medical modality or test in the document.
15 During the drafting, there was a clear recognition
16 that it was going to vary, depending on the country,
17 varying depending upon the available technologies and
18 other materials.

19 And so the requirement, at least in my
20 remember what is in the draft, was simply that having
21 such a thing in there to be able to benchmark yourself
22 within your particular circumstance, was an
23 appropriate concept for the requirements.

24 MEMBER VAN DECKER: So my follow-up
25 question to that before you get to part two is if you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were to see that concept propagated forward, how would
2 you see foresee acquiring a benchmark for medical
3 reference standards?

4 MR. COOL: Well, I'll start and Rob may
5 want to add as well. This is Don Cool of the NRC
6 staff again.

7 Quite frankly I don't envision that that
8 piece of the basic safety standards would, in fact,
9 ever enter into the NRC's regulatory structure, that,
10 in fact, the U.S. through things that the FDA may do,
11 to the Joint Commission and other activities which
12 provide a mechanism for looking at, and benchmarking,
13 and understanding where best practices accomplishes
14 that requirement.

15 I would suggest to all of you that one of
16 the important factors is that because it may be a
17 requirement in the International Basic Safety
18 Standards doesn't mean it necessarily needs to be a
19 requirement in the U.S. regulations because some of
20 the things clearly are not any particular agency's
21 activities. And more specifically, there are lots of
22 things in this standard which are not NRC's and which,
23 therefore, wouldn't be EPA, in the case of the radon
24 program, and otherwise.

25 Rob, did you want to add?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. LEWIS: No, you said it. I think --
2 well, first of all, you know, we're not obligated to
3 follow the basic safety standard. We have our own
4 rulemaking process.

5 But I was going to say the same thing.
6 Putting these diagnostic reference levels into the NRC
7 regulations is something that we haven't even talked
8 about on the staff. I think we would have some
9 serious questions about getting into medical practice.

10 And I think the second thing Don said I
11 totally agree with, I think we are closer to meeting
12 this standard in the U.S. than we are for not meeting
13 it. I think that we could make a legitimate case that
14 our government has a system through the FDA program
15 and through the states that we make available these
16 reference levels already. And that's what kind of
17 IAEA would be looking for.

18 MR. COOL: And then if you will permit me
19 to come back to Dr. Van Decker's second question, the
20 occupational dose limits, one of the places where the
21 international standards do differ from the U.S.
22 requirements, one of the things that we have already
23 talked about with this Committee that currently is
24 under discussion with regards to whether or not there
25 should be changes in the United States, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 occupational dose limits are an average of two rem --
2 I'll use the U.S. units -- two rem per year, maximum
3 of five in any one year. That is sometimes referred
4 to as ten rem over five years, maximum of five in any
5 year.

6 The U.S. regulations, of course, are still
7 a single five-year number. Some countries, in fact,
8 have moved to a single two rem number, not wishing to
9 go through all of the averaging process. That is one
10 of the places that is different.

11 That does not mean that the NRC will or
12 will not move towards changing the requirements.
13 That's one of the things that we have been engaging
14 all of the stakeholders in. And there is a wide
15 variety of views on it. So that is open to
16 discussion.

17 But I will note that the U.S. is, I
18 believe, the only country in the world that still has
19 a single five rem occupational dose limit. Everyone
20 else has lowered it.

21 ACTING CHAIR THOMADSEN: Thank you.

22 Dr. Zelac?

23 DR. ZELAC: Since we have about one minute
24 to stay on schedule, I will be very brief. But I had
25 reason to review the draft document. And I found a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 couple of the sections in there, while not
2 objectionable, not necessarily requiring any
3 recommendation for changing them, that were of
4 interest to medical practice.

5 And if you can indulge me for a minute,
6 I'd just like to point those out.

7 There is a section, my number, that says
8 prior to the granting of an authorization for medical
9 radiation use, the person or organization, i.e., the
10 applicant, shall be required to submit a very detailed
11 safety assessment, which they then go on in the
12 document to describe, which shall be reviewed and
13 assessed by the regulatory body.

14 Now it sounded to me like that is more
15 detailed, from what I saw, than what is currently
16 required. So that is something to keep in mind.

17 Another section, prior to clinical use,
18 calibrations of radiotherapy units are to be
19 independently verified. They then went on to describe
20 multiple methods of fulfilling this requirement.

21 And lastly, periodic radiological reviews
22 are to be performed by the radiological medical
23 practitioners at the medical facility, in cooperation
24 with the medical radiation technologists and the
25 medical physicists. The radiological review has to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 examine and critically review the current practical
2 implementation of the radiation protection principles
3 of justification and optimization for the radiological
4 procedures that are being performed in the medical
5 facility.

6 So this is getting down to if it were to
7 be enacted, a requirement for the kind of reviews on
8 procedures relating to safety, patient and otherwise,
9 that we were discussing earlier.

10 ACTING CHAIR THOMADSEN: Thank you, Dr.
11 Zelac.

12 Any other comments? Dr. Welsh?

13 MEMBER WELSH: I apologize for this as it
14 is getting quite a bit off subject but whenever I
15 listen to presentations like this and hear discussion
16 about occupational annual dose limits, et cetera, I
17 can't help but wonder about the artificial
18 categorization that we have set up here at the Nuclear
19 Regulatory Commission.

20 We started out with a discussion about
21 international safety standards. And it is impractical
22 to have some kind of policy for all types of safety.
23 So it makes sense to have radiation separated. So you
24 have radiation safety issues.

25 But ionizing radiation safety standards do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 seem like it forms a natural subgrouping. And what is
2 not natural is the distinction between nuclear and
3 non-nuclear. And from a regulatory perspective, I
4 suppose -- well, it's still ionizing radiation. And
5 things like electronic brachytherapy and radiation
6 oncology, things like PET CT studies in diagnostic
7 radiology, topics such as annual exposure limits don't
8 make a real distinction between whether the radiation
9 is from a natural source or nuclear source or a
10 manmade source.

11 And I know that in recent years NARM has
12 fallen under the purview of the Nuclear Regulatory
13 Commission. But there is this grey zone that
14 continues to expand because of things like PET CT,
15 because of things like electronic brachytherapy.

16 And I wonder how the IAEA, how other
17 countries, and how the states effectively regulate
18 ionizing radiation as a category rather than what I
19 think we perceived here as an impracticality or
20 impossibility of regulating all ionizing radiation,
21 which would seem natural. But is it so impractical
22 that it is impossible?

23 MR. LEWIS: I think that you raised a very
24 good point. And most other countries would regulate
25 radiation within an authority, a regulatory authority,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether it is machine-produced radiation or a
2 byproduct material.

3 Most other countries don't have the scale
4 of the U.S. or the lawyers.

5 (Laughter.)

6 MR. LEWIS: But we have the system we
7 have. And I think our future is bright in that
8 regard, that as we move forward to consider whether to
9 adopt domestically the current international standards
10 for radiation, which apply to machine or sources, we
11 are going to work closely with the CRCPD, the
12 Conference of Radiation Control Program Directors,
13 who, at the state level, does set standards for
14 machine produced.

15 We are going to work closely with the
16 other federal agencies, the EPA, the FDA, and others
17 through the ISCORS, the Interagency Steering Committee
18 on Radiation Standards, which Don Cool chairs.

19 And moving forward with all of the
20 agencies together is something we, as a nation, have
21 never done before. So we think we got passed this
22 time.

23 That said, we are a long ways away. And
24 different agencies are at various states of their
25 thinking on the regulatory requirements for radiation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So it is a long road ahead but we realize the issue
2 that you raised.

3 And I think it is more compartmentalized
4 and easier to deal with in most of the rest of the
5 world than in the U.S.

6 Do you want to add to that, Don or Debbie?

7 MR. COOL: Don Cool with the NRC staff.

8 You raised a very good point, Dr. Welsh.
9 The IAEA, not wanting to speak for them but just
10 observing their behavior over the last few years, has
11 been moving very much in the direction of trying to
12 look at all of the different radiation hazards. So
13 they have been moving more aggressively into the
14 naturally occurring materials area.

15 They have been working very hard to
16 strengthen things in medical where they have been
17 seeing a lot of issues in some of their member states.
18 And they don't have the legal little divisions that we
19 have here that breaks up jurisdiction into bits and
20 pieces. That is, in fact, why you see the basic
21 safety standards covering all of the attributes.

22 They, like the rest of us, still struggle
23 greatly with how you regulate that which is manmade
24 and which we can exert a lot of control over versus
25 some of the naturally-occurring materials which exist

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in fairly significant concentrations at times plus
2 fairly significant doses but for which you can't apply
3 the same sort of controls or achieve the same sort of
4 dose reductions. And that tension will continue.

5 ACTING CHAIR THOMADSEN: Dr. Suleiman, did
6 you have a comment?

7 MEMBER SULEIMAN: Yes. I've wrestled --
8 we have a tendency of wanting one size fits all. And
9 it would be nice to have everything under one
10 radiation agency.

11 But even then, even FDA has multiple
12 statutes and the drugs, the medical devices, generic
13 versus new drugs, different types of products, you're
14 dealing with occupational, the general public you are
15 dealing with patients who are clearly getting a benefit
16 along with the associated risk.

17 I was telling somebody -- the other day
18 the issue came up about one of the imaging procedures.
19 I said well, the non-radiation effects, if they do an
20 alternative procedure, is puncturing the GI tract. I
21 mean like colonoscopy virtual versus -- there are
22 other risks that are not radiation.

23 So FDA, in terms of medical applications,
24 has to deal with all sorts of risks. And the good
25 news is on the radiation side, I had a big argument

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with some of the ethicists and I said just tell me the
2 risk, I'll tell you the dose.

3 And they said no. We don't want any
4 radiation associated with this procedure.

5 I said tell me the risk, I'll tell you the
6 dose.

7 And so here we're dealing with extremely
8 smart, educated, credentialed people. And because
9 they don't understand radiation, it a binomial
10 reaction. They don't want to deal with it all yet we
11 are dealing with all sorts of toxic, dangerous
12 products that -- who is it said that any poison at a
13 low enough dose, you know, can be tolerated?

14 So I'm not sure whether just breaking it -
15 - we work -- I think the states sort of give you the
16 umbrella coverage in terms of regulation but you still
17 have other risks associated with these products.

18 So you can have some safety expert here --
19 we talked about safety this morning -- safety isn't a
20 unique thing for radiation. It covers other aspects
21 as well.

22 So that's why we're here. I don't think
23 you are ever going to have one agency, one profession,
24 one specialty that is going to be able to cover
25 everything. So I think it is just going to have to --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there is a lot of work for us to continue to do.

2 So we're not going to get everything
3 resolved in the next ten years and everything will be
4 happily ever after. You know I don't see a clear
5 differentiation.

6 ACTING CHAIR THOMADSEN: Thank you.

7 If there are no other comments, I'd like
8 to thank the NRC contribution to this discussion and
9 the clarification of the issues involved.

10 We'll take a break. Please return at
11 10:30.

12 (Whereupon, the foregoing matter went off the record
13 at 10:09 a.m. and went back on the record
14 at 10:34 a.m.)

15 13. POST-IMPLANT WRITTEN DIRECTIVES FOR
16 YTTRIUM 90 MICROSPHERES PROCEDURES

17 ACTING CHAIR THOMADSEN: I am coming back
18 again to discuss microsphere postscripts and
19 unintended consequences of some stuff we have done.

20 For permanent implants, the user is
21 supposed to complete the prescription. And what I am
22 referring to as a postscript. And there is the
23 regulation. It says, "For all other brachytherapy,
24 including low, medium, and pulsed," et cetera, you
25 have "before the implantation, treatment site"

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 included in the "radionuclide, and dose; and after
2 implantation but before completion of the procedure:
3 the radionuclide, treatment site, number of sources,
4 and total source strength and exposure times, or the
5 total dose."

6 For the microspheres written directive, as
7 revised in September 2008, the pre-administration, all
8 the typical information that we would write in the
9 directive, including the statement "or dose or
10 activity delivered at stasis" assuming that that
11 happens a significant part of the time.

12 And after the procedure, the written
13 directive has a postscript where "After the
14 administration but before the patient leaves the
15 post-procedural recovery area," you include "the date,
16 the signature of the authorized user, and the total
17 dose or activity delivered to the treatment site."

18 And we'll point out that if the
19 administration was terminated because of stasis, then
20 the total dose or activity to the treatment site is
21 the value of the total dose or activity administered
22 when stasis occurred and the administration was
23 terminated.

24 And we'll note the post-administration
25 entries into the written directive are not an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 amendment to the written directive but, rather, the
2 entries complete the written directive. And that is
3 the language from the regulations.

4 The issue that I would like to discuss
5 right now is, at least as we do this procedure in our
6 facility, all procedures go to completion, almost. We
7 have a handful of procedures that terminate through
8 stasis.

9 We have had one problem with a stopcock
10 very early on. We have had -- well, here I say three
11 because of stasis. We now have had two more.
12 Interestingly, four of these, the two on the slide and
13 the two since were when we had proctors from the
14 vendor working with new interventional radiologists,
15 teaching them the technique as part of their proctor
16 cases, and tend to tell the doctor to stop, rather
17 than at stasis but when you have slowing of the
18 anti-grade flow.

19 We continue discussing the issue. The idea
20 is that the postscript is to complete the written
21 directive. It really makes little sense if the
22 treatment actually completes the written directive any
23 more than it does to write the written directive at
24 the end of a series of treatments using a cobalt
25 teletherapy machine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 If the written directive is a directive,
2 what good is there to have a directive after
3 everything is done? It's not directing anything.

4 Why am I even discussing it? Well, it
5 does cause problems for facilities where the
6 authorized user is not present at the procedure, which
7 is perfectly allowable. And it may be a very lengthy
8 procedure involved, which it often is. The timing is
9 never clear with these because of the catheterization
10 of the arteries.

11 This places an onerous burden on usually
12 the medical physicist, speaking from experience in
13 this case, or somebody else, who then after the
14 procedure has to hunt down the authorized user -- and
15 this may be late in the day or it also may be trying
16 to find an authorized user who themselves may be in a
17 procedure where they can't be interrupted and get the
18 at this point unnecessary completion filled out before
19 the patient leaves because if the procedure has been
20 done according to the written directive, it's not
21 clear that you need to complete that any more than you
22 need to complete the written directive for that cobalt
23 treatment.

24 If we compare this with the prostate
25 implants, where the concept seems to make more sense

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and in a prostate implant, the total strength of the
2 sources to be implanted is not always known until
3 after you are done with the procedure.

4 Particularly in live-time implants as
5 you're doing the implant, you may be doing correction
6 to the implant to make up for where seeds actually
7 ended up, rather than where you intended for them to
8 go.

9 In a microsphere case, the desired
10 activity is known at the time the source material was
11 ordered. You know what you are going to do with that
12 patient. You may have compromises in the delivery
13 that may prevent the total use because of stasis, but
14 it is not because you have changed the desired
15 activity.

16 The postscript and what I would propose is
17 that the postscript should only be necessary for
18 microspheres if there is a clinical need for premature
19 termination of the delivery. If you are just
20 completing the prescribed delivery, you probably don't
21 need to have a second go at the written directive.
22 That is my proposal here.

23 Discussions? Dr. Zanzonico?

24 MEMBER ZANZONICO: Pat Zanzonico.

25 We are performing these procedures as well

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 as Dr. Mattmuller. I think our experience is very
2 similar that almost all of the procedures to date have
3 gone to completion, although obviously the
4 interventional radiologist is aware that there was a
5 possibility of stasis and that may cause a premature
6 termination of the procedure.

7 I would agree. I mean, it seems to me the
8 point of the postscript is for sort of conservation of
9 activity purposes, to make sure that all of the
10 activity that was prescribed and delivered is
11 accounted for and that which was not administered
12 likely is accounted for, so forth.

13 So I would agree there doesn't seem to be
14 any clinical or radiation safety need to redocument
15 the administered activity if the procedure went as
16 planned and all of the prescribed activity was
17 delivered.

18 I mean, as you point out, there are some
19 clinical exigencies which would require terminating
20 the procedure prematurely like I guess most likely
21 stasis. But otherwise I think that is a reasonable,
22 very reasonable, proposal given the clinical realities
23 of this procedure.

24 ACTING CHAIR THOMADSEN: Dr. Suleiman?

25 MEMBER SULEIMAN: I really agree. I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 using external beam therapy is a gold standard. There
2 your precision and accuracy is very good. You know,
3 10 to 20 percent you can quibble a little bit about
4 those numbers. If you get into the seed implants, you
5 get softer, but you still have some idea of the dose
6 you are delivering.

7 To say that you are calculating an
8 absorbed dose and delivering it is probably not true.
9 You are really delivering activity. And the dose
10 distribution is, I mean, the way the particles are
11 going to be distributed.

12 So it would be almost a moot exercise to
13 try to retrospectively -- in fact, I would challenge
14 you as to what is the dose anyway. I mean, it is
15 probably a very difficult task to challenge, to
16 address.

17 So I agree as long as people don't take
18 this and apply it to other procedures, where dosimetry
19 is much more critical and can be done.

20 ACTING CHAIR THOMADSEN: Dr. Gilley?

21 MEMBER GILLEY: Isn't the microsphere a
22 part 1000 procedure?

23 ACTING CHAIR THOMADSEN: Right.

24 MEMBER GILLEY: And aren't we regulating
25 it by guidance document, as we do with part 1000? So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I guess the guidance document has been revised how
2 many times and --

3 ACTING CHAIR THOMADSEN: The last revision
4 was that --

5 MEMBER GILLEY: You put this in the last
6 revision?

7 ACTING CHAIR THOMADSEN: Yes.

8 MEMBER GILLEY: So we may be looking at
9 revising the guidance document again as long as it
10 stays in part 1000. Okay. Because I am not sure that
11 this quota 35.40 for permanent input postscript is an
12 accurate reference for a part 1000 procedure.

13 ACTING CHAIR THOMADSEN: I think we had
14 used that as a guidance for the --

15 MEMBER GILLEY: Guidance document?

16 ACTING CHAIR THOMADSEN: -- the guidance
17 document.

18 MS. COCKERHAM: Yes, 35.40 is mimicked
19 throughout the guidance document.

20 ACTING CHAIR THOMADSEN: That's why I
21 referred to the rule, as opposed to the --

22 MEMBER GILLEY: Right. But we could
23 establish in the guidance document a variation to the
24 written directive because it is a part 1000 procedure.

25 MS. COCKERHAM: Exactly.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ACTING CHAIR THOMADSEN: Correct. Yes.
2 Any comments from -- I see that. They aren't raising
3 their hands, though. Ron? Dr. Zelac, would you care
4 to --

5 DR. ZELAC: I will make a statement, but
6 it's not backed up with fact because I don't have the
7 current version in front of me. I believe that one of
8 the things that is called for in this pre-implantation
9 portion of the written directive was the anticipated
10 shunting of other sites, the liver, the lung, et
11 cetera, in terms of some estimates based on studies
12 that have been done as to what fraction of the total
13 activity to be administered is expected to go there.

14 ACTING CHAIR THOMADSEN: Yes, that is
15 correct.

16 DR. ZELAC: Okay. What I think is
17 anticipated in completion of the written directive is
18 simply the verification, in fact, that that has
19 occurred --

20 ACTING CHAIR THOMADSEN: I don't think
21 that --

22 DR. ZELAC: -- and haven't had additional
23 something to one of these other sites. In other
24 words, what I am saying is in terms of the
25 administration, a total activity administered could,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in fact, match what was stated in the original portion
2 of the written directive, the pre-implantation
3 portion. But the distribution with respect to target
4 versus other sites could differ markedly depending on
5 the circumstances and the outcome from the procedure
6 itself.

7 And I think that is of value in terms of
8 the follow-up or potential follow-up for the
9 particular case in terms of it, in fact, being or not
10 being a medical event.

11 ACTING CHAIR THOMADSEN: We at our
12 institution never do imaging afterwards based on the
13 Bremsstrahlung. The quantification of such images is
14 very poor at best, in which case all of the
15 documentation afterwards says is that the amount of
16 material that we instilled into the patient was the
17 amount of material that was written for in the written
18 directive.

19 MEMBER ZANZONICO: Pat Zanzonico.

20 Yes. The shunting would not cause a
21 change in clinical procedure. And the physician would
22 not be aware of how much shunting would occur if it
23 was different than that predicted during the
24 administration of the microsphere certainly.

25 So I think the only clinical scenario

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 where the procedure would be terminated prematurely
2 is, as you say, there was unexpected pressure on the
3 injection needle or the plunger, which would make them
4 think something is clogged somewhere that they weren't
5 anticipating. But there is no real time indication of
6 shunting above and beyond what was predicted
7 pre-procedure. So I don't think that's a realistic
8 component of the guidance or the regulation.

9 ACTING CHAIR THOMADSEN: Right. Yes, Dr.
10 Zelac?

11 DR. ZELAC: I can add one other thing.
12 And this doesn't relate to the necessity for the
13 completion relative to what actually occurred. But
14 what I think is reflected in the current version --
15 and, again, I can't read it. So I'll just -- I know
16 it's up there. I can't read it.

17 What I'm driving at is I believe that the
18 wording had been changed with respect to what has to
19 be done post, that it can be "an authorized user," as
20 opposed to "the authorized user." So another
21 physician who was authorized for this procedure could,
22 in fact, be found and be the one to complete the
23 procedure.

24 ACTING CHAIR THOMADSEN: Right. But in
25 addressing that in many facilities, there may only be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one authorized user. So that doesn't really change
2 the issue.

3 DR. ZELAC: No. In some, it will help.

4 ACTING CHAIR THOMADSEN: In some, it may.
5 In some, it may not. And you might also say that this
6 may be alleviated in those facilities in which an
7 interventional radiologist who is doing the procedure
8 becomes the authorized user, but in not every
9 institution will that be the case.

10 Can you read that yet? I can read it up
11 there. All right. Can you scroll down just a bit to
12 get the rest of that last sentence on the "After
13 administration"?

14 DR. ZELAC: Well, it looks like it still
15 on this version says, "the AU." I thought it --

16 ACTING CHAIR THOMADSEN: I believe that
17 has been changed.

18 MS. COCKERHAM: To clarify, that revision,
19 it's changing "the," "an AU" to "the AU" or "the AU"
20 to "an AU." It is also included with the IR revisions
21 that we're trying to make. That is the guidance that
22 is currently in concurrence. So that's why you're not
23 seeing it.

24 This is what is posted from the public
25 website right now. So this will be replaced within a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 few months.

2 ACTING CHAIR THOMADSEN: Any comments?

3 Yes, Dr. Welsh?

4 MEMBER WELSH: So if a procedure goes
5 exactly as planned in accordance with the
6 pre-procedural, pre-administration written directive,
7 would it be possible to obviate the need for the
8 post-procedural written directive? Would it be
9 acceptable to have perhaps a checkbox to say
10 "procedure exactly as in accordance to the
11 pre-procedure written directive"?

12 ACTING CHAIR THOMADSEN: My proposal is
13 that you should be able to obviate.

14 MEMBER WELSH: And if there is a
15 difference; for example, stasis, only under those
16 circumstances, which may be a minority of situations,
17 would there be a true need for additional
18 documentation?

19 ACTING CHAIR THOMADSEN: Correct. That
20 any type of note after the procedure, if the procedure
21 goes as planned, would just be a typical completion
22 note, as with teletherapy, rather than part of the
23 written directive.

24 If that is the sense of the Committee,
25 would anybody wish to make a motion supporting that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER SULEIMAN: Just a clarification.
2 So there would be validation documentation that it was
3 finished, checkbox or other?

4 ACTING CHAIR THOMADSEN: Then the question
5 is, do you need to do that before the patient is
6 released if it's completed --- that is the problem
7 that we're trying to get around -- any more than you
8 need with a cobalt treatment? Do you need to do that
9 before the patient is released? The answer is no.

10 DR. HOWE: Dr. Thomadsen?

11 ACTING CHAIR THOMADSEN: Dr. Howe?

12 DR. HOWE: I think the clarifying while
13 that is there --

14 ACTING CHAIR THOMADSEN: Can you speak a
15 little bit louder? I can't hear you.

16 DR. HOWE: I am speaking into the
17 microphone. Okay. A little better now.

18 One of the reasons that it is in there is
19 because the microspheres are manual brachytherapy.
20 And the manual brachytherapy has the ability to
21 complete the written directive before the patient
22 leaves the treatment facility. So that is one reason.

23 I guess one of the things that we hadn't
24 thought about -- and it is something that you need to
25 keep in mind -- is the 35.41 does require you to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 verify that the administration was in accordance with
2 a written directive. So this would not negate your
3 having to have procedures that would provide high
4 confidence that the administration was in accordance
5 with the written directive. So there would be an
6 additional verification at some point.

7 ACTING CHAIR THOMADSEN: Fully concur with
8 that.

9 DR. HOWE: And that may take the place --

10 ACTING CHAIR THOMADSEN: That would have
11 to be part of the procedure.

12 DR. HOWE: A part of it was to really make
13 sure in those cases where you didn't go all the way to
14 completion because you had TheraSpheres that, at least
15 initially, they had at least 30 percent of the cases
16 which didn't go to completion because they went to
17 stasis.

18 Now, maybe there is an improvement on
19 estimating how many microspheres go in now and you do
20 better at not going to stasis, but that was one of the
21 original reasons that we put that provision into the
22 medical written directive.

23 ACTING CHAIR THOMADSEN: Right. Then the
24 proposal would say if that's the case, then you still
25 would need to revise the directive, although it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 doesn't seem like that should be part of revising the
2 directive because it's too late to direct anything at
3 that point.

4 MEMBER ZANZONICO: Pat Zanzonico.

5 I think the practical issue is the timing
6 --

7 ACTING CHAIR THOMADSEN: Yes.

8 MEMBER ZANZONICO: -- correct, that you
9 don't want to have the patient wait in a recovery area
10 or post-procedure area simply for the purpose of
11 getting the documentation by the authorized user,
12 especially in those cases where the procedure went as
13 planned, so even if you just deleted from that passage
14 but before the patient or human subject leaves the
15 procedural recovery area so that it could be done that
16 evening or even the following day, just to document
17 the procedure went as planned.

18 It's the issue of the timing and the
19 availability of the interventional radiologist with
20 respect to the procedure.

21 ACTING CHAIR THOMADSEN: Yes. Dr.
22 Guibersteau, were you raising your hand? No.

23 MEMBER GUIBERSTEAU: No, no.

24 ACTING CHAIR THOMADSEN: Any other
25 comments? Yes, Ms. Pelke?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. PELKE: Patty Pelke, NRC Region III.

2 I just wanted to remind the group that we
3 have had some medical events that have been reported
4 as a result of use of microspheres wherein the
5 material that is delivered had not been prepared
6 appropriately, they're delivered in somewhat of a
7 slurry, and I think the manufacturer indicates to the
8 end user that the vial should be -- I don't want to
9 say shaken or stirred, but you want to make sure that
10 the microspheres themselves remain in suspension. And
11 if those procedures were not followed, then the
12 microspheres had a tendency to clog the delivery
13 system and the material was not delivered as intended.

14 And then we have also seen, not frequently
15 but on occasion, the catheters that are used to
16 deliver the material are very, very small and in some
17 cases the catheter during the preparation process has
18 developed a very, very small kink. But that has also
19 impacted the ability of the microspheres to be
20 delivered as prescribed.

21 So I wanted to make sure that we would not
22 be moving forward, that those events would still have
23 the ability to verify those occurrences if we revise
24 our guidance for 35.1000 units.

25 ACTING CHAIR THOMADSEN: Right. That

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would not be changed at all because those would not,
2 those written directives would not, have been
3 completed as written.

4 Dr. Welsh?

5 MEMBER WELSH: Is there a motion?

6 ACTING CHAIR THOMADSEN: Not unless
7 somebody makes one. As Chair, I can't.

8 MEMBER WELSH: I think that Dr. Zanzonico
9 worded things in a fashion that if he stated that
10 again and put it in the form of a motion, I would
11 second it.

12 MEMBER ZANZONICO: Right. I move that in
13 item 2, the phrase "but before the patient or human
14 research subject leaves the post-procedural recovery
15 area" be deleted from this document.

16 ACTING CHAIR THOMADSEN: All right. Fine.
17 Is there a second?

18 MEMBER WELSH: Second.

19 ACTING CHAIR THOMADSEN: Second.
20 Comments? Discussion?

21 (No response.)

22 ACTING CHAIR THOMADSEN: All in favor, say
23 "Aye"?

24 (Whereupon, there was a chorus of "Ayes.")

25 ACTING CHAIR THOMADSEN: Opposed?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (No response.)

2 ACTING CHAIR THOMADSEN: Okay. That
3 passed. Thank you very much.

4 Dr. Zelac?

5 DR. ZELAC: Would there be any objection
6 on the part of the Committee to there being, as Dr.
7 Zanzonico has suggested, a time factor than simply one
8 that is more relieving of the pressures than --

9 ACTING CHAIR THOMADSEN: I can't see that
10 there would be an objection. Would there be an
11 objection if a time factor that was not so pressing,
12 such as --

13 DR. ZELAC: Yes.

14 ACTING CHAIR THOMADSEN: -- that day, be
15 added? I think the sense of the Committee is that
16 that is fine.

17 DR. ZELAC: That is fine because, as it
18 would be, if this recommendation were followed, there
19 would be no time at all, meaning that it could be two
20 years after the procedure, which really is not
21 acceptable.

22 ACTING CHAIR THOMADSEN: I think it is a
23 difference between verification that the procedure has
24 been completed from completion of the written
25 directive. And as long as you're considering it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 completion of the written directive, I think the
2 written directive was completed at the time of the
3 procedure.

4 And the whole concept of having to redo it
5 to complete it is strange to bizarre, as opposed to
6 which is not entirely stated here, that the
7 verification has to be within a certain time. I think
8 that verification of completion realistically should
9 be done in a timely manner, which that is not spelled
10 out in the current guides.

11 Dr. Welsh?

12 MEMBER WELSH: So I would just like
13 clarification that this will continue to say "the
14 nature of an AU," rather than "the AU."

15 And I would suggest as a practical number
16 if a number is sought, maybe 48 hours. That would
17 give two days for the medical physicist to track down
18 an authorized user. And I don't think that it would
19 be as burdensome as the current situation is.

20 And perhaps amend it to also read "unless
21 the procedure went in exact accordance to the
22 pre-administration written directive," in which case
23 there would be no need for this.

24 ACTING CHAIR THOMADSEN: Dr. Howe?

25 DR. HOWE: As Pat Pelke from Region III

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pointed out, we have had medical events. And in some
2 cases, the authorized user and the facility don't
3 realize they have a medical event until they measure
4 the delivery system.

5 And so if you're going to have a time
6 factor in there, at least the determination of
7 measuring the delivery system has to be fairly soon
8 after the administration so that the material is not
9 sent to waste or disposed of until you can't go back
10 and make those measurements. So we have to be a
11 little bit careful about the time period.

12 We do have some that have not recognized
13 they have had a medical event until they made those
14 measurements. They believed they had all of the
15 microspheres into the person. In some cases, they got
16 stuck on the top of the cap and a significant amount
17 got stuck up there.

18 ACTING CHAIR THOMADSEN: Right. But I do
19 think that that is a different issue.

20 MEMBER ZANZONICO: Pat Zanzonico.

21 I mean, the measurements will be done
22 immediately. No one is going to hold on to the tubing
23 or any other -- the contaminated items for any reason.
24 That will be done immediately. It is just associating
25 the documentation by the AU from the patient leaving

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the recovery area.

2 But, agreed, all of these measurements
3 have and in practice will be done as expeditiously as
4 possible following the procedure or as part of the
5 procedure is just the natural course of doing things.

6 ACTING CHAIR THOMADSEN: Dr. Welsh, did
7 you want to make a motion associated with your
8 comments?

9 MEMBER WELSH: Yes. So if there is
10 agreement that a post-procedural written directive is
11 not truly necessary if things went in exact accordance
12 with the pre-administration written directive, I would
13 suggest the amendment to read in part one, "And if the
14 procedure did not go in exact accordance to the
15 pre-administration written directive, then, two, after
16 administration and within 48 hours, signature of an
17 AU," et cetera.

18 ACTING CHAIR THOMADSEN: Do we have a
19 second to that motion?

20 MEMBER LANGHORST: I second.

21 MEMBER ZANZONICO: Second.

22 ACTING CHAIR THOMADSEN: I have a second.
23 Discussion, please?

24 MS. PELKE: Could you repeat, please?

25 MEMBER WELSH: Try.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. COCKERHAM: Do you want me to try from
2 what I typed?

3 ACTING CHAIR THOMADSEN: There we go.
4 Please?

5 MS. COCKERHAM: Okay. This is messy, but
6 I have "NRC should revise the yttrium 90 microspheres
7 guidance to read, 'If the procedure was not performed
8 in accordance with the written directive, then after
9 administration and within 48 hours, the signature of
10 an AU.'" That's rough, but is it getting close?

11 ACTING CHAIR THOMADSEN: Did that capture
12 your --

13 MEMBER WELSH: It does. And in reference
14 to what is up there on the screen, in section 1, at
15 the end of section 1, it would be "And if the
16 procedure did not go in exact accordance to the
17 pre-administration written directive, then, two," what
18 was just stated.

19 ACTING CHAIR THOMADSEN: Okay. Is that
20 what the seconders thought they were seconding?

21 MEMBER LANGHORST: Yes.

22 ACTING CHAIR THOMADSEN: Very good.
23 Further discussion?

24 MS. COCKERHAM: Who seconded? I'm sorry.

25 ACTING CHAIR THOMADSEN: Well, there was a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tie.

2 MEMBER LANGHORST: You can go ahead, Pat.
3 Give it to Pat.

4 MS. COCKERHAM: Thank you.

5 ACTING CHAIR THOMADSEN: Okay. Let's see.
6 Dr. Langhorst?

7 MEMBER LANGHORST: Sue Langhorst.

8 I would say the 48-hour time frame is
9 consistent with other parts of part 35, where you have
10 verbal changes and then you have to document that
11 within 48 hours. I think that is a consistency that
12 is a good thing.

13 ACTING CHAIR THOMADSEN: Seeing no other
14 hands, all in favor, please say "Aye"?

15 (Whereupon, there was a chorus of "Ayes.")

16 ACTING CHAIR THOMADSEN: Opposed?

17 (No response.)

18 ACTING CHAIR THOMADSEN: Okay. We're
19 fine. I think we're done with this topic. I think
20 Dr. Welsh is the next presenter here.

21 MEMBER WELSH: Thank you, Dr. Thomadsen.

22 14. SUBCOMMITTEE REPORT ON BYPRODUCT MATERIAL EVENTS

23 MEMBER WELSH: I will present the results
24 of the Byproduct Material Events Subcommittee. This
25 is our annual springtime report. Just in the way of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 background, the Subcommittee has again reviewed the
2 Nuclear Materials Events Database, the NMED, and, as
3 usual, tabulated the medical events.

4 The Subcommittee understands the desired
5 goals and aims, which are to identify trends and
6 possible causes and come up with possible solutions
7 and ultimately get the information back to the users
8 so that this information can be implemented in a
9 corrected fashion.

10 The Subcommittee found that, as with
11 previous exercises, the admirable goals are not truly
12 possible with just the raw data that is available in
13 the NMED database. As an example, one of the obvious
14 limitations is the absence of denominators.

15 I don't have to go through the specifics,
16 but I provide an extreme example. If we say that
17 there are 10 events from procedure x and 5 from
18 procedure y, we might think that x is twice as
19 problematic as y. But if the denominator turns out to
20 be a million x procedures and only 100 y procedures,
21 obviously you could draw erroneous conclusions. So
22 unless denominators are available, trends can't be
23 accurately identified.

24 Now, we can make and we have made educated
25 guesses by the clinicians and physicists based on data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from 2006, but these are simply educated guesses and,
2 therefore, could be quite far along.

3 Accurate figures are available, can be
4 obtained through a number of agencies. And Dr.
5 Thomadsen had such figures available for a previous
6 report. But I believe that this was because of a
7 coincidental project that he was working on for
8 another reason and the data was obtained maybe through
9 IMV. And unless that kind of coincidence occurs
10 again, the data is not available and it was not
11 available to us during this exercise. So the data can
12 be obtained but at a price.

13 An obvious question that I have and others
14 on the Subcommittee have is, how do these agencies get
15 this data? Can the NRC and the states obtain the data
16 in a similar fashion?

17 So initially and perhaps naively, I
18 thought that maybe it would be very easy to just
19 request that the licensees provide the numbers of
20 procedures done each year. This led to some internal
21 discussion, debate, and people laughing at the
22 suggestion. And it was pointed out that licensees
23 will likely not provide these numbers unless they are
24 required to do so. Does that become the best use of
25 resources for such regulation?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So the debate ensued about how and at what
2 cost it might be to obtain the denominators that would
3 allow us to calculate true incidence rates. And what
4 would we truly gain from this? And is it worth a
5 thousand dollars? A thousand-dollar figure I think is
6 an estimate based on one of the data bases that I
7 think was perhaps willing to sell the data for \$1,000.

8 MS. COCKERHAM: Dr. Welsh, it is 550
9 because I looked, just to clarify. I think when Dr.
10 Thomadsen mentioned that site, we were thinking it was
11 between 500 or 750 and \$1,000. And so I went to the
12 website because I needed to ask our management about
13 the options for this. So I believe it's 550.

14 MEMBER WELSH: If it's 550, that changes
15 everything.

16 (Laughter.)

17 MS. COCKERHAM: Not everything, but it
18 helps.

19 MEMBER WELSH: Well, it does. It does
20 make a little bit of a difference. But then the
21 broader questioning is, if we have the data and it's
22 cheaply available, will this really help us achieve
23 the goals?

24 So in the option of one Subcommittee
25 member at least, if we learn anything and reduce the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 number of medical events by even one, depending on the
2 severity of the medical event, it might very well be
3 worth it.

4 True identification of incidence rates can
5 help in allocation of resources and training dollars.
6 And, as an example that was provided, if we learn that
7 the incidence of medical events from procedure x is
8 far higher than that of procedure y, the states would
9 be able to direct the training and resources from
10 procedure y to procedure x with justification based
11 on that data.

12 But if the cost in manpower and dollars is
13 more, the resources might be better spent differently.
14 For example, it was suggested that simply assuring
15 that written directives are followed through by some
16 validated tool, which, of course, in itself becomes a
17 cost in terms of manpower and cash.

18 A question raised was, will things become
19 easier in the hopefully near future, when everyone
20 moves to full electronic records? And since that day
21 is most likely coming and coming soon, should we start
22 to position ourselves now for when that day comes? It
23 may not be as hard as we initially thought it was
24 going to be.

25 One of our Subcommittee members identified

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a possible trend in radiopharmaceuticals of failure to
2 carefully and systematically verify that the amount of
3 radiation to be administered was indeed administered
4 just prior to the administration.

5 A suggestion made was that the written
6 directive include a checkbox to verify that the amount
7 of radioactivity to be administered is indeed correct.

8 And then there were other simple ideas to
9 reduce medical events such as checklists. And the
10 question becomes, should such advice become
11 regulation? That might be a bigger question we are
12 prepared to answer here, but I think it provides an
13 example of what kind of information can be gleaned
14 from this type of exercise and how it could be helpful
15 if it's provided to the end users and incorporated in
16 some form or fashion to improve the overall safety of
17 their program and reduce medical events.

18 So, getting on with some of the specifics,
19 nuclear medicine byproduct events reported between
20 October 1st, 2008 and September 30th, 2009, diagnostic
21 nuclear medicine, two events were reported.

22 The 35.300 section, there were 5 events,
23 which was down from 15 the year before and 7 in 2007.
24 Four of them were I-131, no samarium 153, no yttrium
25 90, strontium-90, and one iodine-125 monoclonal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 antibody.

2 Thirteen shipment reports were tabulated.
3 In part 600, HDR brachytherapy, it was a total of 13.
4 And this compares with 17 in '07 and 10 in '08. Seven
5 of these were HDR brachytherapy, three wrong location,
6 three wrong sites, and one low-dose.

7 It was commented that, in fact, all of
8 these medical events were probably truly wrong
9 location. And two of them involved cylinders, which
10 underscores the fact that this procedure, while deemed
11 simple, is, in fact, a challenging procedure that
12 needs to be taken quite seriously and is subject to
13 medical event if not.

14 Six gamma knife medical events were
15 recorded versus one in the previous period. Two were
16 involving the wrong side. Two were wrong location.
17 One was secondary to mechanical failure, but the team
18 decided to proceed anyway. One was a locator box
19 slippage. And another was wrong collimator size.

20 Overall comments included the observation
21 that these gamma knife medical events were largely due
22 to lack of proper oversight. No teletherapy events or
23 intravascular events were recorded.

24 As far as 400 -- actually, I guess this
25 includes the 1,000 since we're talking about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 microspheres, yttrium 90 microspheres here, too.

2 Twenty-six events involving 27 patients.
3 And this contrasts with 10 events involving 114
4 patients in the prior year.

5 Nine of these were y-90 microspheres, 17
6 permanent prostate brachytherapy, one event from 2005
7 at DVA in L.A. reported in this period and involved
8 two patients with seeds located outside the target.

9 Some of these recorded medical events were
10 based on dose; the D90, for example, and the number of
11 seeds outside of the prostate.

12 And an obvious question that comes up and
13 has been discussed in greater depth yesterday is
14 whether these medical events would still be so labeled
15 if we had the more modern proposed definition
16 involving activity or source strength, rather than
17 relying on something like the D90. But we don't have
18 the answer to that and couldn't get the answer to that
19 from the NMED Database.

20 The majority of the y-90 microsphere
21 medical events were underdosings, and they were caused
22 by things like technical failures, such as the
23 stopcock leakage, catheter occlusion due to a blood
24 clot in one situation, leakage at the puncture site of
25 the vial septum.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And several were due to microspheres not
2 getting into the patient because they adhered to the
3 vial septum after inversion. And we heard about that
4 earlier today.

5 One of them was attributed to the vial
6 being inverted during transport. And it's possible
7 that the microspheres become adherent to the septum of
8 the vial, the rubber stopper at the top, and are
9 difficult to disengage from that.

10 So, rather than invert the vial, as one
11 might instinctively do, the manufacturer suggested
12 shaking and tapping the vial, especially if it was
13 previously inverted, to make sure that the
14 microspheres are no longer adherent to the septum.

15 So the conclusions are that the
16 Subcommittee again suggests improvements to the NMED
17 searching to make it more efficient. And this has
18 been brought up in the past.

19 But to achieve the real goals of drawing
20 conclusions about trends and identifying truly
21 high-risk procedures and ultimately providing feedback
22 to the NRC and to the end users, dominators are really
23 necessary. And without these denominators, the value
24 of this exercise is questionable.

25 It is a fair amount of work. It is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interesting work. But does it duplicate what Dr.
2 Donna Beth Howe does in the form meeting? Is it
3 really adding anything if we don't have these
4 denominators and can't put things into perspective?
5 That is a question that comes up.

6 In terms of but if we could get those
7 denominators and improve the effectiveness and
8 efficiency of the NMED Database, this in my opinion is
9 still a very valuable exercise.

10 In context of some of the discussions we
11 have had during this ACMUI meeting, we have talked
12 about ways to improve safety. We have talked about
13 safety culture. And if we could get feedback to the
14 end users, it will indeed become a very valuable
15 source of information for these end users to improve
16 their own safety and the safety of their patients and
17 reduce medical events.

18 So at this point I will just stop and give
19 it back to Dr. Thomadsen.

20 ACTING CHAIR THOMADSEN: Thank you very
21 much.

22 Comments from the Committee?

23 MEMBER ZANZONICO: Pat Zanzonico.

24 I agree that probably the optimum amount,
25 the maximum amount of data could be divided if the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 denominator were available, needless to say, as you
2 illustrated. I still think there is considerable
3 value in the data as is.

4 For example, this issue with the
5 underdosing of the microspheres due to sticking of the
6 spheres to the septum, I personally had not heard of
7 that phenomenon in the past. And just by cataloguing
8 it, hopefully there is some mechanism that the NRC has
9 for publicizing that kind of finding to the user
10 community because unless you have encountered that
11 issue at your particular site, you might be unaware of
12 it. And it seems like a very simple measure that
13 could avoid at least that and just by being aware of
14 it and, in turn, promptly making the user community
15 aware of it.

16 So I agree it is useful or would be better
17 to have a denominator, but it sounds like just
18 cataloguing it in terms of raw numbers if that
19 information is publicized and not simply filed
20 somewhere within the NRC, it makes it a very useful
21 exercise.

22 ACTING CHAIR THOMADSEN: Ms. Gilley?

23 MEMBER GILLEY: I have to ask NRC, do you
24 have a mechanism for doing outreach for reporting that
25 information? Because NMED is not allowed. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 licensees don't have that.

2 MR. LEWIS: Information notice or generic
3 letter or things like that. We issue many per year
4 based on NMED data, yes.

5 MEMBER GILLEY: In the event of an urgent
6 situation, is there a mechanism for hot bring-down,
7 for lack of a better term for it?

8 MR. LEWIS: If there is an urgent
9 situation, we can issue a bulletin or an order if it's
10 a safety-significant issue, which will require
11 licensee action. We could issue those very quickly,
12 yes.

13 MEMBER GILLEY: Is that passive, requiring
14 the licensee to reach out and touch NRC or is that
15 active, where you would distribute that information
16 directly to the end user?

17 MR. LEWIS: We would in the bulletin
18 specify what we're asking the licensee to do. So it's
19 directly to the licensee. And, of course, the states
20 would have to do something parallel.

21 Donna Beth had wanted to add a thought to
22 that.

23 DR. HOWE: Yes. I just wanted to expand
24 on that. We also through our -- if it happens to be a
25 device issue and also if it's an FDA issue, we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the ability to go back to manufacturers. And in many
2 cases, like for the microspheres sticking up in the
3 septum, the manufacturer supposedly put out directions
4 to its users that this was an issue. And we can
5 follow up on those things. We can also pass over
6 information to FDA for them to look at if we think
7 there's a drug issue.

8 So we have a number of different avenues
9 of looking at things.

10 MR. LEWIS: And one more that we didn't
11 mention, which is very important, is our medical list
12 server. We can send out information.

13 MEMBER GILLEY: Just a suggestion that we
14 would look at trying to capture e-mail addresses to at
15 least our high-level activities in order to be able to
16 vastly disseminate information on equipment
17 malfunction.

18 Having had an incident in Florida with a
19 device and working with FDA, we found that they are
20 required to notify FDA. They are required to notify
21 the end user, but there is no urgency in that
22 requirement.

23 And we didn't feel that that happened to
24 be adequate at the time that this particular event
25 went on, that we felt the need to have another

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mechanism to adequately distribute information in an
2 active environment, instead of waiting for somebody to
3 come to a website or look at an information notice.

4 MR. LEWIS: See, for safety-significant
5 issues, the NRC has part 21, which applies to vendors.
6 And it's not an issue for compatibility for states.
7 So some states don't have a comparable modification
8 regulation.

9 ACTING CHAIR THOMADSEN: Dr. Fisher?

10 MEMBER FISHER: Yes. I am looking at your
11 last slide on final conclusion. As a member of this
12 Committee, I think one of the most valuable
13 experiences that I have had is the annual review of
14 the NMED Database of experience. I think it tells us
15 a lot of information about the success of procedures
16 and the causes of some of the procedure failures.

17 The real impact of these difficulties in
18 delivering radio isotope therapy to patients is that
19 each of these events represents a patient not
20 adequately treated for whatever disease the patient is
21 being treated for. And those are real impacts that
22 have life-saving implications.

23 As we look at these events, we see a
24 number of events in common. They are human factors.
25 They may have to do with a lack of skill by the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 persons performing the procedure. They may be due to
2 the lack of experience in the person performing the
3 experience [sic.] or setting up the apparatus. They
4 may be due to lack of pre-procedural quality
5 assurance.

6 Examples of these are wrong site gamma
7 knife. It's like the problem of wrong site surgery,
8 taking out the wrong kidney, which is really a
9 terrible event.

10 Many of these are wrong location
11 treatments or use of wrong collimators that could have
12 been prevented by improved pre-procedural quality
13 assurance.

14 With the limited experience I have
15 supporting two local hospitals in brachytherapy
16 quality assurance, I have observed that as the level
17 of quality assurance goes up, the number of these
18 events goes down. And I think -- so improvements if
19 there are to be improvements would be in efforts to
20 improve the skill of the people involved, making sure
21 that mistakes are prevented in advance, by ensuring a
22 greater degree of experience if that is possible, and
23 improved quality assurance.

24 So my feeling is that this exercise is
25 extremely important and the database is one of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 most illuminating things that we see as we meet here.

2 ACTING CHAIR THOMADSEN: Dr. Suleiman?

3 MEMBER SULEIMAN: FDA does have a program
4 called Med Watch. It's all encompassing. It involves
5 medical devices. It involves drugs. It involves --
6 first off, manufacturers are required to report to us
7 if there is a problem, but patients not necessarily.
8 But they can use this system to report.

9 The lack of denominator has always been
10 troublesome to me because some of the biggest columns
11 are picked up because of a difference in rate. And I
12 think the inherent safety of a lot of these procedures
13 will become even more evident if we had a better idea
14 of how often they are used. Also, it would be an
15 internal check.

16 This information is available. I mean,
17 you may just go to the manufacturer. They may be
18 willing to tell you how much of those products were
19 sold during the course of a year.

20 I also find trends analysis important. I
21 mean, basically this looks like to me it's down to
22 background level. The one thing that I picked up
23 across the different products -- and I'm really glad
24 you picked up on it, Dr. Fisher, because we discussed
25 it on the Subcommittee -- was the absence of a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mandatory checklist or pre-operational quality
2 assurance.

3 I think not only do you possibly have
4 people that are not completely qualified. You may
5 have very qualified people that are too busy that are
6 not at the site. They're in a hurry and just don't
7 take the necessary time.

8 I mean, we have seen this with airline
9 pilots. We have seen this with anesthesiology. I
10 understand it is somehow in a lot of other
11 applications and a lot of industry, a checklist. It's
12 so simple. It's so obvious that it's beneath people
13 to actually require it. But how else could you verify
14 that what you are about to do, everything is in place?

15 And I think some of the mistakes that we
16 have seen could very well have been eliminated by
17 requiring that. And you don't want to be overly
18 prescriptive, but I think the whole concept of quality
19 assurance wouldn't be of any value if the fact that
20 you're testing certain things regularly catches
21 problems ahead of time.

22 So in terms of your safety culture, I
23 think if you were to do one thing that would sort of
24 cover all things, it would be to require some sort of
25 validation right before something was done. I mean,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we are thinking about that at FDA right now in terms
2 of some of the equipment. Before somebody pushes a
3 button, has somebody made sure that everything is
4 proper before we hit the button?

5 So I do find it useful, but I think we are
6 missing some critical information. I think the
7 denominator issue, we should make an effort to fill it
8 up.

9 ACTING CHAIR THOMADSEN: Dr. Welsh?

10 MEMBER WELSH: So, then, I would say I do
11 agree with Dr. Fisher, although my last sentence here
12 says that this exercise may be of questionable value.
13 After we completed our exercise and looked back and I
14 looked back objectively at what we had learned from
15 this particular specific exercise, I questioned my own
16 slide, my final slide, because of exactly what Dr.
17 Zanzonico pointed out.

18 I was not aware of the frequency with
19 which microspheres adhere to the septum of the vial
20 and how this occurs when people might be inverting the
21 vial and shaking it or if it's upside down during
22 transport. That was valuable information to me.

23 To find a suggested solution from the
24 manufacturer I think is an example of how this
25 information can be disseminated, gotten back to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 provider, the manufacturer. And a solution is thereby
2 generated to prevent this from happening more
3 frequently next year. So hopefully we'll see fewer of
4 these types of events in 2010-2011, thanks to this.

5 But I do agree with Dr. Suleiman that the
6 denominator is an important component of this
7 particular goal. And if you don't have true incidence
8 rates, you are missing something.

9 If we were to compile this data and try to
10 get it back to the end users, say, radiation oncology
11 and publish something in the International Journal of
12 Radiation Oncology Biology and Physics, it would be
13 promptly rejected because without denominators, the
14 peer reviewers would say, "This is not truly
15 scientific. This is not perhaps as valuable as it
16 should be for publication in a scientific journal."

17 But if we did have the denominators and we
18 had true incidence rates and we could publish genuine
19 trends that could be published in a peer review
20 journal, it could become very valuable and widely
21 disseminated to the end users.

22 So I would like to raise the question
23 about whether or not we think that the denominator is
24 -- as a group, do we think that the denominator is as
25 important as I and maybe a couple of the Subcommittee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 members think? And if the answer is yes, how can we
2 get it?

3 ACTING CHAIR THOMADSEN: Dr. Mattmuller?
4 And then a member of the public.

5 MEMBER MATTMULLER: I have to admit I have
6 always been a proponent of giving the denominator
7 because I have thought --

8 ACTING CHAIR THOMADSEN: Can you speak
9 more into the microphone?

10 MEMBER MATTMULLER: I'm sorry. I have to
11 admit I have always been a proponent of giving the
12 denominator, but through our discussions in previous
13 meetings, I got to thinking that, well, if we did have
14 an actual denominator, would we then argue over what
15 action rate or action level are we going to start
16 looking at incidents?

17 You know, if 7 gamma knife incidents
18 represents only .001 percent incident rate, are we
19 then going to say, "Well, that is so low we don't have
20 to worry about it" or the same for the microsphere
21 incident. I would suggest we would still look at
22 them, regardless of what the incident rate is.

23 So in a way, I guess I am reversing my
24 previous thoughts that it would be nice, but I am not
25 sure it would change what we still actually do and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 consider during this discussion, which I agree is
2 valuable.

3 ACTING CHAIR THOMADSEN: Thank you.

4 Please identify yourself.

5 MS. BUKOVCAN: I'm Janet Bukovcan. And
6 I'm with MDS Nordion, manufacturer of TheraSpheres. I
7 just thought that it would be relevant for me to talk
8 to you about what we do when we hear about incidents
9 like spheres getting trapped onto the rubber septum of
10 our TheraSphere dose files.

11 So every time that we get a complaint, it
12 gets logged and we do a thorough investigation. We
13 notify FDA if necessary. And we trend the complaints
14 that come in; so, for instance, all of the complaints
15 that we had, spheres potentially getting trapped onto
16 the septum.

17 So we trended those. And then after we
18 had several of them, we decided that we needed to
19 improve our instructions for use. So we did an update
20 to our package insert, notified the FDA. And then
21 once that got approved through the FDA, we actually
22 sent out a bulletin to all of our users to notify them
23 of the changes to the package insert.

24 At that time, we also made some other
25 improvements to our package insert that we notified

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 our customers of. And one was that we actually
2 converted the format of our instructions for use into
3 a checklist.

4 So I know that you were talking about a
5 checklist earlier. And so we did put our instructions
6 for use into a checklist format to make it easier for
7 the users to follow.

8 ACTING CHAIR THOMADSEN: Thank you.

9 Yes, Dr. Van Decker?

10 MEMBER VAN DECKER: Just a couple of
11 general comments, I guess, from an overall performance
12 improvement basis. Denominators are great in life
13 because they give us exact scientific data. And I
14 would agree with you there, Jim.

15 I would also say in this perspective,
16 denominators may not be as helpful to us as we would
17 like to believe. I mean, these numbers tend to be
18 very small compared to the amount of activities that
19 are going out procedure-wise.

20 If all of us took a pencil and paper and
21 put guesses as to what realm of order we thought these
22 procedures were being done in, we would probably be
23 relatively close for what's millions, what's hundreds
24 of thousands, and what's a few thousands.

25 I think that the real goal here is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those people where an event occurs, probably the most
2 worrisome one is the one where it is a sporadic event
3 in a less used item because then communication and
4 education become how do you get out into that
5 community?

6 And those are the ones where you can
7 probably make the biggest difference of all, rather
8 than one where there's a lot of people doing it and
9 there's a lot of communication going on.

10 And the last comment I would obviously
11 make as far as your last question goes is if there is
12 are porting database around, someone is going to look
13 at it and make comments about it. Clinicians involved
14 obviously should be in the first and foremost of
15 looking at this stuff and trying to control where the
16 data is coming from and where we think things are
17 going. So just a few thoughts.

18 ACTING CHAIR THOMADSEN: Dr. Welsh?

19 MEMBER WELSH: I would reply by saying I
20 agree, that maybe the biggest impact is getting
21 information on those lesser-used procedures. But what
22 are they? That's where the denominator is essential.

23 I can guess about how many Y-90
24 microsphere procedures are done, similarly for
25 prostate implant brachytherapy. But without really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 knowing, knowing that there were nine Y-90 microsphere
2 events last year doesn't tell me whether or not this
3 is a higher rate than I would have thought.

4 Eight in the whole year, well, it's eight
5 too many. But is it 8 out of a million, 8 out of 100?
6 I'd like to specifically and scientifically.

7 MEMBER VAN DECKER: You don't think it's a
8 million, right?

9 MEMBER WELSH: I don't, but I am not going
10 to share my exact guess.

11 (Laughter.)

12 MEMBER VAN DECKER: Okay.

13 ACTING CHAIR THOMADSEN: Other comments
14 from the Committee?

15 MEMBER WELSH: Well, I would like to
16 propose that if some of us do agree that the
17 denominator is valuable, unless there are objections,
18 I would like to raise the question about whether or
19 not \$500-\$550 would make this exercise even more
20 valuable than it currently is.

21 ACTING CHAIR THOMADSEN: Would anybody
22 from the NRC care to comment on that?

23 MR. LEWIS: I would be open to looking
24 into that issue. I mean, the denominator of the event
25 equation is something that comes up a lot at NRC. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we have to ask, if we really need the information,
2 first of all, why wouldn't we require it?

3 Second of all, if it's available through
4 some other means that we can, that is very efficient.
5 It is something that we would want to do.

6 We don't want to have an unnecessary
7 regulatory burden to collect the denominator if it's
8 truly unnecessary for the trending analysis. So there
9 are a lot of issues in there to explore.

10 In terms of this particular database that
11 may be \$550, I don't know much about it. Literally
12 the first I heard about it was sitting here. So I
13 think that I'm willing to look at what it is and what
14 it could do.

15 To be honest, I have my doubts for that
16 cost that we're going to get actual statistics on all
17 of the diagnostic and therapeutic procedures that are
18 performed around the country, but if it's a data point
19 and it's \$500, it's not too much in the grand scheme
20 of things. So I'm willing to look at it. I don't
21 think we need a Committee motion or anything to do
22 that, but if I can get that --

23 ACTING CHAIR THOMADSEN: That cost would
24 be for therapeutic. It would not be diagnostic.
25 Those would be separate. That would be a separate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 report.

2 MR. LEWIS: Okay.

3 ACTING CHAIR THOMADSEN: I would just call
4 attention to the cost. If you were to gather that
5 data yourself and pay for somebody's time, it would
6 probably very quickly exceed \$550.

7 MR. LEWIS: Yes.

8 ACTING CHAIR THOMADSEN: Ms. Gilley?

9 MEMBER GILLEY: I would encourage not to
10 go that route with the agreement states with
11 unnecessary regulatory burden to assist with trying to
12 collect the denominator in this case. Sorry.

13 ACTING CHAIR THOMADSEN: Dr. Suleiman?

14 MEMBER SULEIMAN: I know we have a lot of
15 that information, but a lot of it is proprietary. I
16 remember an exercise once a bunch of years ago where I
17 was about to show some information and somebody says,
18 "That's proprietary."

19 I said, "I got it off their public
20 website."

21 So a lot of this information may very well
22 be simply there for the asking. We're not dealing
23 with hundreds of companies. I mean, you could
24 probably just make an effort with somebody who knows
25 what they are doing, even the contractor who pulls the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 NMED annual report together.

2 Some of the information may be just there
3 for the asking. And other information may not be so
4 readily available. And then you could decide if it's
5 worth pursuing.

6 ACTING CHAIR THOMADSEN: Last year I will
7 point out that I contacted a number of companies,
8 including those making microspheres and brachytherapy
9 sources, and found that while I did get some numbers
10 eventually from some people, most companies were not
11 happy about giving them out. And my success rate was
12 quite low.

13 MEMBER SULEIMAN: Yes.

14 ACTING CHAIR THOMADSEN: I'm sorry. Ms.
15 Gilley?

16 MEMBER GILLEY: I would also caution the
17 reliability of manufacturer data on both medical
18 events or issues and their number of their procedures
19 they have done. I just don't know that that is a
20 valid place to get data that you want to base any
21 scientific experience with.

22 ACTING CHAIR THOMADSEN: Dr. Welsh?

23 MEMBER WELSH: The follow-up question is,
24 Dr. Thomadsen, a couple of years ago, you did the
25 similar exercise when you were the Chair of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Subcommittee. The data that you presented that year I
2 thought was very illuminating because it did include
3 denominators.

4 We're talking about this subject now. And
5 I guess the question is, how accurate and how reliable
6 are those figures that you obtained in the past? And
7 how accurate will they be if we cough up the \$550?
8 The data is going to be useless.

9 ACTING CHAIR THOMADSEN: The data we used
10 was from the IMV surveys, which have an incredibly
11 high return rate. And it was -- we had those surveys
12 because it was for the writing of an NCRP report.

13 Where we also correlated the numbers with
14 information from Medicare; from the VA system, a very
15 large national employer; and several other smaller
16 databases that I can't quite recall. And the
17 correlation was done through the American College of
18 Radiology's statistics group and found that there was
19 a surprising consistency between projections from the
20 different databases that we used.

21 However, only the one, the IMV, covered
22 all patients. And the others, such as Medicare and
23 the VA, only covered a portion of the patients, which
24 had to be accounted for in their comparisons.

25 I don't know if that answered the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question.

2 MEMBER WELSH: So it is pretty reliable
3 data?

4 ACTING CHAIR THOMADSEN: It seemed to be,
5 yes.

6 Any other comments from the Committee?

7 (No response.)

8 ACTING CHAIR THOMADSEN: It sounds like we
9 don't need to make any motions unless, Dr. Welsh, you
10 suggest that a motion of some sort is necessary about
11 something.

12 MR. LEWIS: We will take an action item.
13 I mean, if you want a motion, it's up to the --

14 ACTING CHAIR THOMADSEN: It is not
15 necessarily about obtaining the database since you
16 have already told us you will be looking into that if
17 there is something else that you would like to move.

18 MEMBER WELSH: So perhaps I would like to
19 make a motion that NRC staff consider looking at means
20 of obtaining a denominator to improve the overall
21 value of our annual exercise.

22 ACTING CHAIR THOMADSEN: Okay. Although I
23 think that they said that they were going to do that
24 --

25 MEMBER WELSH: Maybe it's not necessary.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ACTING CHAIR THOMADSEN: -- without a
2 motion.

3 MS. COCKERHAM: Dr. Thomadsen?

4 ACTING CHAIR THOMADSEN: Yes?

5 MS. COCKERHAM: Do you want me to read
6 what I wrote just as NRC staff action -- and, Robbie,
7 you can correct me or Dr. Welsh -- that the NRC staff
8 should consider the necessity and evaluate options to
9 collect or obtain data for the denominator for medical
10 events to improve the overall value -- I was writing
11 what you were finishing -- of the Subcommittee's
12 report.

13 Does that capture accurately what we would
14 like to do?

15 MEMBER WELSH: Second it.

16 (Laughter.)

17 ACTING CHAIR THOMADSEN: So we can pass
18 this. And then you can put it on your list and
19 hopefully just write "Accepted and completed" soon.

20 Do we have a second for that?

21 MS. COCKERHAM: It is an action. So I
22 don't need a motion.

23 ACTING CHAIR THOMADSEN: No motion, no
24 action. Fine. Oh, it's an action. No second.

25 MS. COCKERHAM: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ACTING CHAIR THOMADSEN: Fine. Thank you
2 very much, Dr. Welsh.

3 Dr. Langhorst?

4 MEMBER LANGHORST: Sue Langhorst.

5 I would like to say a few words about Dr.
6 Fisher, if I may. Dr. Darrell Fisher is an
7 exceptional radiobiologist and dosimetry expert. He
8 has served the role of patient advocate in other
9 organizations. And his volunteer work in support of
10 cancer patients is laudable.

11 Dr. Fisher's active involvement in ACMUI
12 deliberations is invaluable in discussing sometimes
13 highly technical issues and helping us all focus on
14 patient impacts.

15 So I would like to make a motion that
16 ACMUI fully supports Dr. Darrell Fisher as the patient
17 rights advocate and that we express our appreciation
18 and honor to serve with him.

19 MEMBER MATTMULLER: Second the motion.

20 ACTING CHAIR THOMADSEN: We have a motion.
21 We have a second. Discussion?

22 MEMBER MATTMULLER: No need for
23 discussion.

24 ACTING CHAIR THOMADSEN: I don't think so
25 either.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER FISHER: I should probably abstain
2 from voting.

3 (Laughter.)

4 ACTING CHAIR THOMADSEN: Let's take a
5 vote. All in favor, say "Aye"?

6 (Whereupon, there was a chorus of "Ayes.")

7 ACTING CHAIR THOMADSEN: All opposed,
8 "Nay"?

9 (No response.)

10 ACTING CHAIR THOMADSEN: Hearing none --
11 and I cannot vote, but I would personally support the
12 -- as Chair, I'm not supposed to vote on that. And we
13 have the one abstention by Dr. Fisher.

14 Dr. Fisher?

15 MEMBER FISHER: I didn't expect this, Sue.
16 I'm sorry. I really appreciate the words and the
17 motion by the Committee.

18 I have reflected over the last three years
19 over these events and wondered what should be my
20 appropriate response when I knew that I was being
21 accused of something that was sort of far out and
22 untrue.

23 And so I chose to let the NRC handle it in
24 the most appropriate way. And I think that they have.
25 You can never please all people all the time and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 especially in the case of intervenors. You just have
2 to expect that these things come up as part of doing
3 business.

4 I admire the work of the Committee, and I
5 have always been committed to helping ensure that the
6 work that we do is helpful to the Nuclear Regulatory
7 Commission in its work.

8 I am a watchdog for patient rights. And I
9 monitor each and every statement made by not only
10 members of the Committee but the staff and the members
11 of the public to make sure that the rights of those
12 persons not present at these meetings is always taken
13 into account because they are the victims of cancer
14 who need these treatments the most.

15 And so I really do sincerely appreciate
16 the motion that was just passed. Thank you.

17 ACTING CHAIR THOMADSEN: And thank you.

18 Ms. Cockerham, it is time for you.

19 MEMBER MATTMULLER: Excuse me?

20 ACTING CHAIR THOMADSEN: Oh, I'm sorry.
21 Dr. Mattmuller?

22 MEMBER MATTMULLER: Yes. In consideration
23 of yesterday's presentation by Ms. Mary Jane Ross Lee
24 in the NRC's efforts for new domestic producers of
25 molybdenum 99 and her efforts and the NRC's efforts

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with the interagency group, I would like to make the
2 following motion, "ACMUI strongly recommends the NRC
3 provide maximum staff and support to facilitate the
4 licensing process for new domestic producers of the
5 medical isotope molybdenum 99."

6 MEMBER GILLEY: Second.

7 ACTING CHAIR THOMADSEN: We have a motion.
8 We have a second. Do we have discussion? Mr. Lewis?

9 MR. LEWIS: Well, I question the use of
10 the word "maximum." I mean, we can't -- it's like --

11 PARTICIPANT: What about "optimize," you
12 want to "optimize"?

13 MEMBER MATTMULLER: "Optimal." I'll be
14 happy to use "optimal."

15 ACTING CHAIR THOMADSEN: That means
16 nothing.

17 (Laughter.)

18 MEMBER GILLEY: I think maybe the intent
19 is to prioritize when those applications come through,
20 --

21 MEMBER MATTMULLER: Yes.

22 MEMBER GILLEY: -- to give them the
23 essential manpower, resources that are needed in order
24 not to delay the possibility of domestic reduction.

25 MEMBER MATTMULLER: Yes. And maybe more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 importantly, before they get here, that NRC staff
2 spends enough time to guide them through the process
3 and to make them aware of their weaknesses in their
4 potential application before it gets here so when it
5 does get here, it is a very strong application that
6 you can legitimately process very quickly and
7 efficiently.

8 ACTING CHAIR THOMADSEN: Other discussion?
9 No?

10 (No response.)

11 ACTING CHAIR THOMADSEN: So the actual
12 wording that you have moved now reads what?

13 MS. COCKERHAM: Would you like me to read
14 it?

15 MEMBER MATTMULLER: Yes, please.

16 ACTING CHAIR THOMADSEN: Has it been
17 altered with --

18 MEMBER GILLEY: Maximum.

19 ACTING CHAIR THOMADSEN: Okay. And it
20 reads?

21 MS. COCKERHAM: "NRC staff should provide
22 optimal staff and support to facilitate the licensing
23 process for new domestic producers of the medical
24 isotope molybdenum 99."

25 ACTING CHAIR THOMADSEN: Okay. Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Suleiman?

2 MEMBER SULEIMAN: I would like to clarify
3 that this is not to stimulate them because I think the
4 NRC has been doing a good job anyway. So it shouldn't
5 be interpreted as --

6 MEMBER MATTMULLER: Right.

7 MEMBER SULEIMAN: -- they're asleep at the
8 wheel and we're trying to prod them.

9 ACTING CHAIR THOMADSEN: Good point.

10 MEMBER SULEIMAN: Just a clarification --

11 ACTING CHAIR THOMADSEN: Thank you.

12 MEMBER SULEIMAN: -- so somebody doesn't
13 read this later on and say, "Oh," you know.

14 ACTING CHAIR THOMADSEN: Yes. Good. With
15 no other hands showing, all in favor, say "Aye"?

16 (Whereupon, there was a chorus of "Ayes.")

17 ACTING CHAIR THOMADSEN: Opposed?

18 (No response.)

19 ACTING CHAIR THOMADSEN: Passes
20 unanimately.

21 Any other issues before we have Ms. Ms.
22 Cockerham's closing?

23 (No response.)

24 ACTING CHAIR THOMADSEN: No.

25 15. ADMINISTRATIVE CLOSING

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. COCKERHAM: Okay. While I am getting
2 started, Gretchen, can you go to the G drive and pull
3 up the recommendations folder? There's one file, and
4 it will be a recommendations that they just made
5 during this meeting. Okay.

6 So while Gretchen is getting that, I was
7 going to discuss the next meeting dates with you,
8 which I know everyone has already received a flurry of
9 e-mails about last week.

10 So I think right now the input that we
11 have from the Commission is that they are tentatively
12 looking at a briefing date on October 20th, which is a
13 Wednesday. And so what we would try to do is
14 coordinate our regular meeting with that October 20th
15 briefing if that does happen. If that is the case,
16 October 20th and 21st I believe would work with the
17 entire Committee with a few modifications and movings
18 of meetings. Does that still stand?

19 ACTING CHAIR THOMADSEN: Yes.

20 MS. COCKERHAM: Yes?

21 ACTING CHAIR THOMADSEN: Yes, yes. There
22 is another meeting in Washington that two of us are
23 involved in, but I am actually working with the people
24 doing the schedule. And it's Monday, Tuesday, and
25 Wednesday. And we can make sure that it's --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. COCKERHAM: You can support
2 Wednesday-Thursday?

3 ACTING CHAIR THOMADSEN: Right.

4 MS. COCKERHAM: Are there any other
5 conflicts or anything that weren't put into the e-mail
6 space?

7 (No response.)

8 MS. COCKERHAM: Okay. So I'm going to put
9 in October 20th and 21st as our first preference. I
10 think for this meeting I'm not going to pick any
11 backup dates because we're really just going to wait
12 to hear back from the Commission on confirming this.
13 And that may take some time. So I'll get back to you
14 via e-mail if these dates change as soon as I know
15 something.

16 Okay. It looks like Gretchen has the
17 recommendations up. So I just want to verify that
18 these are worded correctly. The first one was where
19 you created a subcommittee to evaluate patient release
20 issues, to objectively review and analyze available
21 data, which may include regulations and guidance and
22 international recommendations, to provide a statement
23 on the issue, to provide recommendations for
24 improvements to existing NRC rules and guidance. If
25 necessary, we should include the issue of patient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 release to hotels.

2 So this is a Patient Release Subcommittee,
3 which includes the named individuals.

4 ACTING CHAIR THOMADSEN: We didn't put
5 into that but sort of inherent should be the
6 subcommittee should report at the next meeting, I
7 would think.

8 MEMBER LANGHORST: That is what I thought
9 would be the best.

10 ACTING CHAIR THOMADSEN: Okay.

11 MS. COCKERHAM: Okay. I will make that
12 revision.

13 And then for the second one, this is the
14 Permanent Implant Brachytherapy Subcommittee. We will
15 revise the draft subcommittee report, resubmit it to
16 the full ACMUI for a formal vote. And then the ACMUI
17 will submit that final report to the NRC.

18 MEMBER SULEIMAN: I thought it was an
19 e-mail vote.

20 ACTING CHAIR THOMADSEN: Yes. I think
21 that was the case.

22 MS. COCKERHAM: You're going to clarify an
23 e-mail vote?

24 MEMBER SULEIMAN: Because it's near-term.

25 MS. COCKERHAM: Okay. Number 3, this is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an action item. NRC staff should provide inspection
2 reports that describe safety culture problems as
3 contributing factors to violations. I believe Mark
4 Ferdus from Region I might have answered this question
5 and given the Committee specific examples that they
6 asked for, but is there still an open action for this?

7 ACTING CHAIR THOMADSEN: Yes. I don't
8 believe that the information given actually addresses
9 what was required here. And I don't know that we need
10 the complete inspection reports. I'll leave that up
11 to Mr. Lewis and how he would like to provide the
12 information.

13 MR. LEWIS: Just say, "and provide
14 information."

15 ACTING CHAIR THOMADSEN: Yes.

16 MS. COCKERHAM: Okay. So remove
17 "inspection reports" and add "information." Okay.

18 Number 4, "NRC staff should revise the
19 Y-90 microsphere brachytherapy guidance to delete 'but
20 before the patient or human research subject leaves
21 the post-procedural recovery area'" under item 2 of
22 the written directive section. I think that's right.

23 Okay. Next one, "NRC staff should revise
24 yttrium 90 microsphere brachytherapy guidance to read"
25 -- and this is under number 1 for written directives

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 -- "And if the procedure was not performed in
2 accordance with the pre-administration written
3 directive, then, two, after administration and within
4 48 hours, the signature of an AU."

5 ACTING CHAIR THOMADSEN: Is number 5
6 superseding number 4? Is that --

7 MS. COCKERHAM: No. They would both
8 stand. One would be deleting. And then the second
9 one is adding a piece, saying --

10 ACTING CHAIR THOMADSEN: I see. Okay.

11 MS. COCKERHAM: I separated them as two
12 separate ones, separate questions.

13 MEMBER ZANZONICO: I have a question
14 having to do with the actual wording of item 5. It
15 should say, "And within 48 hours of the procedure."
16 Then there's no "Oh, yeah," benchmark event for the
17 48-hour time frame.

18 MS. COCKERHAM: I'll add that.

19 ACTING CHAIR THOMADSEN: "Accordance is
20 spelled wrong."

21 MS. COCKERHAM: Yes. Excel doesn't do
22 word checks. This is me frantically typing while you
23 guys are talking and trying to listen at the same
24 time. Yes. So I'll fix it up. These will all be
25 fixed before they go in a Word document memo to you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So that was number 5, correct? Yes. So
2 number 6, "the NRC staff should consider the necessity
3 and evaluate options to collect or obtain data for the
4 denominator for medical events to improve the overall
5 value of the Medical Event Subcommittee report."
6 We're taking that as an action item.

7 MEMBER LANGHORST: Sue Langhorst.

8 Did we want them to collect the data or
9 just obtain data? I don't think we had any intent of
10 having you collect data.

11 PARTICIPANT: No.

12 MR. LEWIS: It says, "evaluate options."

13 MS. COCKERHAM: Okay. I worded it very
14 vaguely.

15 MEMBER FISHER: Yes. I think it means
16 that we're trying to better understand the relative
17 frequencies of events.

18 MEMBER LANGHORST: Yes, but I think the
19 point is we weren't asking them to go out and start
20 soliciting.

21 MEMBER FISHER: Collect raw data, right.

22 MEMBER LANGHORST: To collect rats.

23 MS. COCKERHAM: I see Debbie shaking her
24 head frantically.

25 MEMBER FISHER: I am not sure how we do,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but I think the motion is a good one. There might be
2 things we can do. I'm not sure what it is.

3 MEMBER LANGHORST: Okay.

4 ACTING CHAIR THOMADSEN: Would that be
5 included under just saying options to obtain the data
6 and just deleting the two options to collect?

7 MR. LEWIS: Read options to collect the
8 data would pay \$500 and collect it from --

9 ACTING CHAIR THOMADSEN: Oh, I see. Yes.
10 That's fine. That's fine.

11 MEMBER LANGHORST: The point is we weren't
12 asking you to put rulemaking in to "Now as licensees,
13 you have to report this number."

14 ACTING CHAIR THOMADSEN: Okay.

15 MS. COCKERHAM: Okay.

16 ACTING CHAIR THOMADSEN: I think we're
17 fine.

18 MS. COCKERHAM: We understand the intent.

19 MEMBER GILLEY: The states will revolt if
20 you --

21 (Laughter.)

22 MS. COCKERHAM: All right. So number 7,
23 "The ACMUI fully supports Dr. Darrell Fisher as the
24 patient rights advocate. The Committee expressed
25 their appreciation and honor to serve with him."

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And, number 8, "NRC staff should provide
2 optimal staff and support to facilitate the licensing
3 process for new domestic producers of the medical
4 isotope molybdenum 99."

5 Okay? And I think I have one --

6 ACTING CHAIR THOMADSEN: With the
7 understanding that we think they have been doing a
8 bang-up job up to this point.

9 MS. COCKERHAM: Thus far. And I think
10 have only one question. It's not on the screen, but,
11 Gretchen, do you see a question mark where it said,
12 "Who made the first? Who made the second?" and there
13 is a question mark by a motion Dr. Zanzonico made?

14 MR. LEWIS: It's all the way to the right.

15 MS. COCKERHAM: Yes, far right. And then
16 what number is that? Number 5. Do you know who that
17 is?

18 PARTICIPANT: I think we agreed it was me.

19 MS. COCKERHAM: You made the motion, but
20 who seconded that?

21 PARTICIPANT: Dr. Welsh made the motion.

22 MEMBER ZANZONICO: Welsh made the motion.
23 I made the second.

24 MS. COCKERHAM: And you seconded. Okay.
25 So that was for number 5, Welsh motion and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Zanzonico second. Sometimes these don't come up.
2 Sometimes it doesn't matter, but sometimes I get
3 questions like "Well, who made that motion?" Then we
4 go read the transcript. So I keep track of them here.

5 All right. So that covers it. The next
6 meeting is tentatively set for the 20th and 21st.
7 These are all the recommendations from the meeting.
8 Please take off your name tags, put them on the table.

9 And Shayla will e-mail you regarding your
10 time and travel. She will give you the example forms.
11 And you will submit it like we always do. And then
12 time will be due next week since we just had our pay
13 period end last week.

14 ACTING CHAIR THOMADSEN: As long as you
15 are up there, can we see who needs to go to the
16 airport when?

17 PARTICIPANT: Oh, sure.

18 (Whereupon, the foregoing matter was
19 concluded at 12:05 p.m.)
20
21
22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701