

Official Transcript of Proceedings
NUCLEAR REGULATORY COMMISSION

Title: Advisory Committee on the Medical
 Uses of Isotopes

Docket Number: (n/a)

Location: (telephone conference)

Date: Monday, December 13, 2010

Work Order No.: NRC-613

Pages 1-131

NEAL R. GROSS AND CO., INC.
Court Reporters and Transcribers
1323 Rhode Island Avenue, N.W.
Washington, D.C. 20005
(202) 234-4433

1 UNITED STATES OF AMERICA
2 NUCLEAR REGULATORY COMMISSION

3 + + + + +

4 ADVISORY COMMITTEE ON THE
5 MEDICAL USES OF ISOTOPEs

6 + + + + +

7 TELECONFERENCE

8 + + + + +

9 MONDAY,

10 DECEMBER 13, 2010

11 + + + + +

12 The meeting was convened, at 1:00 p.m. Eastern
13 Standard Time, Leon S. Malmud, M.D., ACMUI Chairman,
14 presiding.

15 MEMBERS PRESENT:

16 LEON S. MALMUD, M.D., Chairman

17 BRUCE THOMADSEN, Ph.D, Vice Chairman

18 DARRELL FISHER, Ph.D, Member

19 DEBBIE GILLEY, Member

20 MILTON GUIBERTEAU, M.D., Member

21 SUE LANGHORST, Ph.D, Member

22 STEVE MATTMULLER, Member

23 CHRISTOPHER PALESTRO, M.D., Member

24 JOHN SUH, M.D., Member

25 MEMBERS PRESENT (CONT'D):

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ORHAN SULEIMAN, Ph.D., Member

2 WILLIAM VAN DECKER, M.D., Member

3 JAMES WELSH, M.D., Member

4 PAT ZANZONICO, Ph.D, Member

5 NRC STAFF PRESENT:

6 ROB LEWIS, Director, Division of Materials Safety and
7 State Agreements

8 CHRISTIAN EINBERG, Designated Federal Officer

9 MICHAEL FULLER, Alternate Designated Federal Officer

10 NEELAM BHALLA

11 JAMES BIGGINS

12 ELVA BOWDEN BERRY

13 LISA DIMMICK

14 ASHLEY COCKERHAM, ACMUI Coordinator

15 JACQUELINE COOK

16 SAID DAIBES, Ph.D

17 JAMES FIRTH

18 CINDY FLANNERY

19 SARA FORSTER

20 SOPHIE HOLIDAY

21 DONNA BETH HOWE, Ph.D

22 PENNY LANZISERA

23 ED LOHR

24 GRETCHEN RIVERA-CAPELLA

25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 NRC STAFF PRESENT (CONT'D) :

2 MARIA SCHWARTZ

3 DIANE SIERACKI

4 DAVID SOLORIO

5 KATIE STREIT

6 CATHERINE THOMPSON, Ph.D

7 GLENDA VILLAMAR

8 DUANE WHITE

9 RONALD ZELAC, Ph.D

10 MEMBERS OF THE PUBLIC:

11 JAMES D. ALBRIGHT, NC Dept. of Environmental and
12 Natural Resources

13 DAVID J. ALLARD, PA Dept. of Environmental Protection

14 CHRISTOFER ALSTON, Georgetown University Hospital

15 MAXWELL AMURAO, Georgetown University Hospital

16 SUE BUNNING, Society of Nuclear Medicine

17 ROBERT E. DANSEREAU, NYS Department of Health

18 WILLIAM DAVIDSON, University of Pennsylvania

19 DEIRDRE ELDER, University of Colorado Hospital

20 NANCY FARRINGTON, Iowa Department of Public Health

21 THOMAS HUSTON, Ph.D, Department of Veterans Affairs

22 KAREN LANGLEY, University of Utah

23 ANDREW MAUER, Nuclear Energy Institute

24 CANDI MCDOWELL, Georgetown University Hospital

25 HERB MOWER, Lahey

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBERS OF THE PUBLIC (CONT'D):

2 JOSEPH OCH, Geisinger Medical Center

3 MIKE PETERS, American College of Radiology

4 MELANIE RASMUSSEN, Iowa Department of Public Health

5 GLORIA ROMANELLI, American College of Radiology

6 GEORGE SEGALL, M.D., Society of Nuclear Medicine

7 MICHAEL SHEETZ, University of Pittsburgh

8 CINDY TOMLINSON, American Society for Therapeutic
9 Radiation and Oncology

10 RICHARD VETTER, Ph.D, Health Physics Society

11 MICHELLE WHITE, DMS Health Technologies

12 JENNA WILKES, Society of Nuclear Medicine

13 SANDY WOLFF, Sentara

14

15

16

17

18

19

20

21

22

23

24

25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

CONTENTS

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25
26

Agenda Item	Page
Policy and Procedure.	6
Patient Release Following Iodine-131 Therapy.	33
Rulemaking and Implementation Guidance for Physical Protection of Byproduct Material.	68
Impacts of the Draft Safety Culture Policy Statement for Medical Licensees	89
Adjourn	

P R O C E E D I N G S

1:36 p.m.

1
2
3 MR. EINBERG: As the Designated Federal
4 Officer for this meeting, I am pleased to welcome you
5 to this public teleconference of the meeting of the
6 Advisory Committee on the Medical Uses of Isotopes.

7 My name is Chris Einberg. I am the chief
8 of the radioactive materials safety branch, and I have
9 been designated as the Federal Officer for this
10 Advisory Committee in accordance with 10 CFR part
11 7.11.

12 This is an announced meeting of the
13 Committee. It is being held in accordance with the
14 rules and regulations of the Federal Advisory
15 Committee Act, and the Nuclear Regulatory Commission.
16 The meeting was announced in the November 19th, 2010,
17 edition of the Federal Register, Volume 75, Page
18 70955.

19 The function of the Committee is to advise
20 the staff on issues and questions that arise from the
21 medical use of byproduct materials. The Committee
22 provides counsel to the staff, but does not determine
23 or direct the actual decisions of the staff or the
24 Commission.

25 The NRC solicits the views of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Committee, and values their opinions. I request that
2 whenever possible, we try to reach a consensus on
3 issues that we will discuss today. But I also
4 recognize that there may be, there may be minority or
5 dissenting opinions.

6 If you have such opinions, please allow
7 them to be read into the record. At this point, I
8 would like to perform a roll call of the ACMUI
9 participating today. Dr. Malmud, ACMUI Chairman,
10 hospital administrator?

11 CHAIR MALMUD: Here.

12 MR. EINBERG: Dr. Bruce Thomadsen, Vice-
13 Chairman, therapy medical physicist?

14 VICE CHAIR THOMADSEN: Here.

15 MR. EINBERG: Dr. Darrell Fisher, patients'
16 rights advocate?

17 MEMBER FISHER: Here.

18 MR. EINBERG: Ms. Debbie Gilley, State
19 Government representative?

20 MEMBER GILLEY: Here.

21 MR. EINBERG: Dr. Mickey Guiberteau,
22 diagnostic radiologist?

23 MEMBER GUIBERTEAU: Here.

24 MR. EINBERG: Dr. Sue Langhorst, radiation
25 safety officer?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER LANGHORST: Here.

2 MR. EINBERG: Mr. Steve Mattmuller, nuclear
3 pharmacist?

4 MEMBER MATTMULLER: Here.

5 MR. EINBERG: Dr. Christopher Palestro,
6 nuclear medicine physician?

7 MEMBER PALESTRO: Here.

8 MR. EINBERG: Dr. John Suh, radiation
9 oncologist?

10 MEMBER SUH: Here.

11 MR. EINBERG: Dr. Orhan Suleiman, FDA
12 representative?

13 (NO RESPONSE)

14 MR. EINBERG: Okay. Dr. William Van Decker,
15 nuclear cardiologist?

16 (NO RESPONSE)

17 MR. EINBERG: Okay. Dr. James Welsh,
18 radiation oncologist?

19 MEMBER WELSH: Here.

20 MR. EINBERG: Dr. Pat Zanzonico, nuclear
21 medicine physicist?

22 MEMBER ZANZONICO: Here.

23 MR. EINBERG: Okay. We have a quorum, so we
24 can start, or, proceed. I would also like to note that
25 Dr. Guiberteau and Dr. Palestro do not have voting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 privileges at this time, but they will listen and
2 speak on behalf of the diagnostic radiologists, and
3 the nuclear medicine physicians, respectfully.

4 I would now go around the table here, here
5 at headquarters, and introduce the NRC staff members
6 and, I'm Chris Einberg. I'm the Branch chief of the
7 radioactive materials safety Branch, as I've said
8 earlier.

9 MS. DIMMICK: Lisa Dimmick, licensing
10 Branch.

11 MR. LOHR: Ed Lohr, rulemaking.

12 MR. BIGGINS: James Biggins, Office of
13 general counsel, reactor and materials rulemaking.

14 MR. LEWIS: I'm Rob Lewis, Director for
15 materials safety and state agreement.

16 MS. BHALLA: Neelam Bhalla, from
17 rulemaking.

18 DR. HOWE: Dr. Donna Beth Howe, and I'm
19 in the radioactive materials Branch.

20 MS. HOLIDAY: Sophie Holiday from the
21 radioactive materials Branch.

22 MS. RIVERA-CAPELLA: Gretchen Rivera-
23 Capella, radioactive materials safety Branch.

24 MR. EINBERG: Okay. Well, also, do we have
25 anybody from the NRC headquarters on the phone? I know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we have Ashley Cockerham, do we have anybody else?

2 DR. DAIBES: Yes, Said is here, as well.

3 Said Daibes here from headquarters.

4 MR. EINBERG: Okay.

5 DR. ZELAC: Also, Dr. Ron Zelac, medical
6 radiation safety team.

7 MR. EINBERG: Okay, thank you.

8 MS. COCKERHAM: Guys, this is just
9 confirming I'm on the line. This is Ashley.

10 MR. EINBERG: Thank you.

11 MS. FLANNERY: Cindy Flannery, FSME.

12 MR. EINBERG: Thank you. Anybody else from
13 headquarters? Okay. Nobody else from headquarters.

14 Now, I'd like to go to Region 1. Can Region 1 identify
15 who is participating, please?

16 MS. LANZISERA: Penny Lanzisera, from
17 Region 1.

18 MR. EINBERG: Penny, are you there by
19 yourself, or, anybody else with you?

20 MS. VILLAMAR: I am. This is Glenda. I just
21 signed in.

22 MR. EINBERG: Okay. Thanks, Glenda. Okay,
23 I'd like to go to Region 3 now. Region 3, do we have
24 any, anybody on the line?

25 MS. STREIT: This is Katie Streit with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Region 3.

2 MR. EINBERG: Okay. Anybody else from
3 Region 3? Okay. Let's go to Region 4 now. Who's on the
4 line from Region 4?

5 MS. COOK: Jackie Cook from Region 4.

6 MR. EINBERG: Thank you. Okay. Next, we, we
7 will identify members of the public who notified us
8 that they will be participating with the
9 teleconference. When I call your name, please answer.
10 Let me go through that list. James Albright?

11 MR. ALBRIGHT: I'm here, thank you very
12 much.

13 MR. EINBERG: Very good, thank you. And
14 Dave Allard, Pennsylvania Department of Environmental
15 Protection?

16 MR. Allard: Yes, I'm here.

17 MR. EINBERG: Okay, thank you. Chris--
18 Christofer Alston, Georgetown University hospital?

19 MR. Alston: Here.

20 MR. EINBERG: Okay, and Maxwell Amurao,
21 Georgetown University hospital as well?

22 MR. AMURAO: Yes.

23 MR. EINBERG: Sue Bunning, from SNM?

24 MS. BUNNING: I'm here.

25 MR. EINBERG: Randy Dahlin, Iowa Department

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of Public Health?

2 (NO RESPONSE)

3 MR. EINBERG: Robert Dansereau, New York
4 State Department of Health?

5 MR. DANSEREAU: I'm here.

6 MR. EINBERG: William Davidson, University
7 of Pennsylvania?

8 MR. DAVIDSON: Here.

9 MR. EINBERG: Deirdre Elder, University of
10 Colorado Hospital?

11 MS. ELDER: Here.

12 MR. EINBERG: Nancy Farrington, Iowa
13 Department of Public Health?

14 MS. FARRINGTON: Here.

15 MR. EINBERG: Dr. Michael Hagan, Department
16 of Veteran's Affairs?

17 (NO RESPONSE)

18 MR. EINBERG: Dr. Thomas Huston, Department
19 of Veteran's Affairs?

20 DR. HUSTON: Here.

21 MR. EINBERG: Karen Langley, University of
22 Utah?

23 MS. LANGLEY: Here.

24 MR. EINBERG: Dr. Edward Maher, HPS?

25 (NO RESPONSE)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. EINBERG: Andrew Mauer, NEI?

2 MR. MAUER: Here.

3 MR. EINBERG: Candi McDowell, Georgetown
4 University Hospital?

5 MS. MCDOWELL: Here.

6 MR. EINBERG: Janette Merrill, Society of
7 Nuclear Medicine?

8 (NO RESPONSE)

9 MR. EINBERG: Janette Merrill, Society
10 of Nuclear Medicine?

11 (NO RESPONSE)

12 MR. EINBERG: Herb Mower, Lahey?

13 MR. MOWER: Here.

14 MR. EINBERG: Joseph Och--Och?

15 MR. OCH: Here.

16 MR. EINBERG: Was that a yes, here?

17 MR. OCH: Here.

18 MR. EINBERG: Yes, okay. Melanie
19 Rasmusson?

20 MS. RASMUSSON: Here.

21 MR. EINBERG: Dr. George Segall?

22 DR. SEGALL: Here.

23 MR. EINBERG: Michael Sheetz?

24 MR. SHEETZ: Here.

25 MR. EINBERG: David Switzer? Minneapolis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Radiation Oncology?

2 (NO RESPONSE)

3 MR. EINBERG: Cindy Tomlinson?

4 MS. TOMLINSON: Here.

5 MR. EINBERG: Dr. Richard Vetter?

6 DR. VETTER: Here.

7 MR. EINBERG: Gerald A. White?

8 (NO RESPONSE)

9 MR. EINBERG: Michelle White?

10 MS. WHITE: Here.

11 MR. EINBERG: Jenna Wilkes?

12 MS. WILKES: Here.

13 MR. EINBERG: And, Sandy Wolff.

14 MS. WOLFF: I am here.

15 MR. EINBERG: Very good. We have another
16 person who joined us also. Can you please identify
17 yourself?

18 MS. BOWDEN BERRY: I'm Elva Bowden Berry,
19 and I'm from OGC.

20 MR. EINBERG: Okay, thank you.

21 MS. ROMANELLI: Gloria Romanelli with ACR,
22 as well.

23 MR. EINBERG: Okay. Thank you. Is there
24 anybody else who we didn't call?

25 MEMBER SULEIMAN: Yes, Orhan Suleiman, I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with the ACMUI and FDA Center for drugs.

2 MR. EINBERG: Okay, thanks for joining us,
3 Orhan. This is, double check--do we have Dr. Van
4 Decker on the line? We do not. At this time, I ask
5 that everyone on the call who is not speaking to place
6 their phones on mute or pause. If you do not have the
7 capability to mute your phone, press star-6 to utilize
8 the conference line mute and unmute functions.

9 I would ask everyone to exercise extreme
10 care to ensure that the background noise is kept to a
11 minimum as any strange background sounds can be very
12 disruptive on conference calls this large.

13 Following a discussion of each agenda
14 item, the ACMUI chairperson, Dr. Leon Malmud, at his
15 option, may entertain comments or questions from
16 members of the public who are participating with us
17 today.

18 And, at this point, I'd like to turn the
19 meeting over to Rob Lewis. He has a few opening
20 statements before he turns it over to Dr. Malmud.

21 MR. LEWIS: Thank you, Chris. Thank you
22 everyone for participating. I will keep this very
23 short. There's four topics on today's agenda. Our
24 first is trying to improve our interactions with the
25 Committee between the Committee and the NRC staff,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 something we always need to focus on.

2 Also, we have an extended discussion on
3 patient release issues. Our Part 37 Rulemaking, which
4 is our new regulation to have security requirements
5 for sources of radioactive materials. And last we have
6 a discussion on, ongoing discussion on our safety
7 culture policy statement.

8 Those are four issues for which we are
9 very eager to collect the Committee's views so we can
10 move those forward within NRC. So, so I won't speak
11 much longer. I just wanted to mention, since it is a
12 phone call, please be mindful to identify yourself
13 when you speak. It's a little more difficult
14 to manage especially for the transcriber than the,
15 typical meeting.

16 And also, one management change here of
17 note. Since the Committee last met, Cindy Carpenter,
18 who is the Deputy Office Director under Charlie
19 Miller, has gone to do a special project for another,
20 for the EDO within the agency, the Executive
21 Director of Operations, and that project is focused on
22 reducing the NRC's overhead and budget space.

23 So, she'll be gone doing that for at least
24 six months. It's an agency wide project, and back
25 filling for her during that time is Mr. Scott Moore,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 who many of you may remember came from NMSS. His
2 current full time job is the Deputy Director for NRC's
3 Office of International Programs, but at least for the
4 next six months, he'll be the Deputy Office
5 Director here in FSME.

6 So, with that, I will turn the meeting
7 over to Dr. Malmud. And, and thank you very much.

8 CHAIR MALMUD: Thank you, Rob. This is Leon
9 Malmud. I was introduced, first item on the agenda is
10 that of the NRC interactions with staff for major
11 medical policy. In plain English, it really is an
12 opportunity to express our thoughts about how we, the
13 ACMUI, interact with NRC.

14 In the past, there were some very
15 constructive comments made regarding ways of improving
16 that interaction, and currently, the, some of the
17 problems that existed then have been resolved.
18 However, this is an opportunity for those of us
19 currently on the Committee to express our feelings and
20 make recommendations regarding ways in which the
21 communication can be improved.

22 So, rather than introducing it myself, I
23 would invite any Member of the Committee to open the
24 discussion, if he or she wishes to. If not, I'll open
25 the discussion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 VICE CHAIR THOMADSEN: I think that--this
2 is Bruce Thomadsen. I had been involved with
3 coordinating some work on the official policy that
4 would guide the relation between the Committee and the
5 NRC staff.

6 The members of the Committee have looked
7 at the policy and have commented, I believe, that all
8 of the communication about this have been made
9 available and there has been a document that
10 incorporates all of the comments from the Committee
11 that we would propose sending to the NRC staff on
12 formalizing the arrangement.

13 CHAIR MALMUD: Malmud again. Thank you,
14 Bruce. That document was emailed, I believe, to all
15 the members of the Committee. Is there anyone on the
16 Committee who has not received it?

17 (NO RESPONSE)

18 CHAIR MALMUD: Hearing no response, I
19 assume that everyone has received it. I would like to
20 therefore follow up on Bruce's comments and the email
21 with the following. I, I have met, following the last
22 meeting, I, which I could not remain at due to a short
23 term illness, I had the opportunity to meet with the
24 Commissioners.

25 I met with three and one staff person. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would make the following comments. Number one, the
2 Commissioners are very well informed about what we're
3 discussing within ACMUI. I was quite frankly surprised
4 that they were as knowledgeable as they are,
5 considering the breadth of their portfolios.

6 But, they are well informed. They also
7 appreciate the work that the ACMUI does, and feel that
8 if we are concerned about getting the message that we
9 wish to get to them, delivered, more promptly and
10 perhaps more effectively, that they are, they remain
11 available for direct meetings with me as the Chairman
12 or any subsequent Chairmen in order to effect that.

13 The, the issues that I raised with them
14 were several. The first, of course, was that the ACMUI
15 has felt that when we make a recommendation, and it
16 isn't adopted, that we would appreciate knowing why it
17 wasn't adopted and hearing about it in a timely
18 fashion.

19 A lot of effort goes into our
20 recommendations. They are often discussed over the
21 period of a year or more at our biannual meetings and
22 therefore there is a sensitivity with respect to
23 whether or not the advice is accepted. Clearly, we do
24 not assume the responsibility for the final decision,
25 the Commissioners do.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But we would like to know why
2 recommendations that were not accepted were rejected.
3 We are satisfied, I believe I spoke on behalf of the
4 Committee, that we are satisfied in knowing why, when
5 the Committee, when the Commissioners choose to accept
6 a recommendation from us. It's the ones where we
7 differ that we seem to have a need for more feedback.

8 That was one issue. The other issues that
9 were raised had to do with the staffing of the ACMUI
10 and the feelings of some of the Committee members that
11 we might be better off reporting in a more direct line
12 than, as the Committee, we are. I discussed this
13 with each of the Commissioners, and they are
14 interested in hearing more about it from us.

15 I think that there are some realities
16 which I brought up to them and which they did not deny
17 existed, and that is that it would be more costly,
18 certainly, to staff up in the way another body does.
19 And, it would be more costly for us to have fixed
20 conference rooms dedicated just for us, which was one
21 of the requests which had come from some of the
22 Committee members, who I believe have subsequently
23 rotated off of the Committee.

24 And therefore, in this, in the current
25 budget mood of the entire country, adding expenses is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 probably not something that would finally be approved,
2 but they were not negative about hearing our concerns.
3 The turnaround time if we used a method similar to the
4 ACRS, would not be improved.

5 I, I--perhaps I shouldn't use the term
6 improved. It would not be very different from the
7 turnaround time now, and that has to do with the
8 frequency with which we meet, as well as the need to
9 go through staff. So, that, I'm not certain that the
10 concerns about turnaround time would be met by
11 changing us to a reporting mechanism similar to ACRS.

12 I did say, and I think I spoke for all of
13 us, I, I did say very strongly that we do feel that
14 the ACMUI needs more staff, that it's been my pattern,
15 since I've been Chair, to delegate most discussions to
16 subcommittees, and these subcommittees do
17 extraordinary amounts of work with most of the work
18 being done by the members of the ACMUI, with the need
19 for more support staff.

20 Currently, our support person, at least,
21 the person we look to for our support, is Ashley, who
22 seems to be omnipresent and an extraordinary
23 individual who is, who handles perhaps more work than
24 we've ever asked any other person in her position to
25 handle, and she does it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But it's quite clear to us that the
2 subcommittees need some more staff support in
3 producing their documents, particularly, I said that
4 this might be addressed by even having someone to
5 assist with email communications, since we don't have
6 that now.

7 The--those were the major issues. The, the
8 feelings of the Commissioners are very supportive of
9 us, they are very appreciated of the work that we do,
10 and I would ask for comments from members of the
11 Committee at this point.

12 So, I discussed the ACMUI recommendations,
13 and our concern about hearing back when they're not
14 accepted. I discussed the turnaround time, the support
15 staff, and the concern that at least one or two of the
16 previous members of the Committee had that our
17 recommendations were quote, filtered, end quote,
18 before reaching the Commissioners.

19 I must tell you that from my initial
20 conversation which each of them--with each of them, I
21 did not have the feeling that anything has been
22 filtered. They seemed extraordinarily well informed.
23 I am therefore inviting comments from members of the
24 ACMUI.

25 DR. ZANZONICO: Well, this is Pat

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Zanzonico. I was glad to hear, Dr. Malmud, about your
2 opportunity for direct communications with the, with
3 the Commissioners and the only suggestion I had, and
4 I think I had communicated this previously over the
5 draft document is if that could be incorporated
6 formally into that document, that, that a mechanism
7 exists or is available for direct communication
8 between the ACMUI, whether it's through the Chair or
9 otherwise, with the Commissioners. That kind of
10 formal inclusion of that variability doesn't seem to
11 be incorporated into the draft of the policy.

12 CHAIR MALMUD: Thank you. We certainly
13 could include that, if you wish. We do have the
14 opportunity now, already, for a, I think they call it
15 a drop in, and I've been invited to do a drop in again
16 whenever we feel a sense of urgency about something.
17 From my perspective, I, I'm hesitant to drop in on a
18 Commissioner with something that the ACMUI itself has
19 not reached some sort of a consensus about.

20 I don't believe that we will, that the
21 members of the ACMUI in total, because of the
22 diversity of the Committee and our own parochial view
23 of things- though we all agree about our mission- that
24 we'll ever be necessarily unanimous in anything. But I
25 am hesitant to bring to the Commissioners something

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that we haven't fully discussed.

2 However, if we've fully discussed it, it's
3 quite clear to me that I, I would, I would be
4 comfortable bringing it to them and they would be,
5 they would be receptive to one or several of the
6 Commissioners giving us audience. I would ask a member
7 of the NRC staff if my interpretation of my meetings
8 was, is pretty correct or not.

9 MS. BHALLA: Yes. Dr. Malmud, this is
10 Neelam Bhalla from NRC.

11 CHAIR MALMUD: Yes.

12 MS. BHALLA: The, what happened was, back
13 in July when Commission had a meeting, a briefing on
14 the ME Rule, as part of that, that meeting, the
15 Commission directed the staff--the Commission directed
16 the staff to prepare internal staff guidance, actually
17 a procedure whereby we would convey to the Commission
18 ACMUI's views including dissenting views on major,
19 major medical policy issues and rulemaking, including
20 rulemaking.

21 So, we have the procedure that has been
22 provided, actually provided to all the members is
23 actually the procedure which, which is an attempt to
24 do just that, where we would be, whenever we send
25 something to the Commission, maybe a rulemaking on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other policy issues where we are looking for
2 Commission direction, that we do include ACMUI's views
3 on that, including dissenting views, if any.

4 So, in October, we have provided the draft
5 guidance and, at that time, the procedure was endorsed
6 by the ACMUI. And as it moved up the chain here, after
7 October, we were asked to put a few clarifications in
8 there, specifically what determines or what makes a
9 major policy issue.

10 So, in that, we made the change to the
11 document and then we also discussed a little bit about
12 the predecisional nature of the document and how we
13 can make it for ACMUI for potentially make it, you
14 know, a publically available document because there
15 are procedural issues involved.

16 So, right now, what the Committee, what
17 you have in front, or with you is this revised version
18 of the draft, and we are looking for, for ACMUI to
19 endorse it. This is precisely, you know, the, the
20 procedure that we'll be using, where we would be
21 conveying to the Commission ACMUI's views and how
22 staff is going to, if we took them in, or if we
23 didn't, then what's the reason that we didn't.

24 CHAIR MALMUD: Thank you. You're correct,
25 of course. The term that I had used in my discussions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with the Commissioners was that we would submit a
2 minority report as well, in those instances in which
3 the Committee was, was not unanimous in it's
4 recommendation, so that those on the Committee who,
5 who had a minority opinion would have the opportunity
6 to have it seen, although it was not the majority
7 vote.

8 The issue that, that I have on behalf of
9 the ACMUI is this. When we do something in the
10 Subcommittee, it is not official until it's presented
11 to the Committee in a public meeting. And
12 therefore, I am concerned about discussing issues that
13 have not yet been fully discussed at ACMUI in a formal
14 public meeting.

15 Because, the Subcommittee report, though
16 it's where a good bit of the work is done, is still
17 not officially presented until it's at a full meeting
18 with the public--in a public forum. But, having said
19 that, the document that was prepared I think covers
20 the, the concerns that I had heard from the members of
21 the Committee.

22 And what I am asking at this moment is, is
23 there anyone on the Committee who feels that the
24 document does not sufficiently incorporate the
25 concerns that have been discussed? I'm presenting the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question to the members of the Committee.

2 MEMBER LANGHORST: Dr. Malmud, this is Sue
3 Langhorst.

4 CHAIR MALMUD: Yes?

5 MEMBER LANGHORST: I don't have a concern
6 about that but I do have a question on how we bring
7 our opinion that, that we would like to voice on a
8 certain issue that maybe has not been identified or
9 presented to us by the NRC.

10 And, and I'm thinking, it's very obvious
11 that Part 35 rulemaking comes to us. That just has,
12 you know, little question to it. But, if there are
13 issues that are in Part 20, or for instance, in
14 part--the proposed Part 37 that we're going to be
15 discussing here soon, how do we--how's the best way
16 for us to--to you and the NRC staff that we would like
17 to weigh in on, on certain topics?

18 CHAIR MALMUD: Thank you for the question.
19 The way that I would approach it, and I'll, I'll ask
20 a parliamentarian or someone from legal to confirm
21 this, would be for any Member of the Committee to
22 bring it before the public meeting of the Committee
23 and request that it be brought before the Commission
24 in some fashion.

25 At which point, it would have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 expressed publically and the Committee would make
2 comments about it and then we would or would not take
3 it forward according to the wishes of the Committee.

4 MR. LEWIS: Dr. Malmud, if I could. It's
5 Rob Lewis.

6 CHAIR MALMUD: Yes, please, Rob.

7 MR. LEWIS: I would agree 100% with
8 everything that you just said, although I'm certainly
9 not from legal department. But, but I think that also
10 if there's any, any issue that someone feel strongly
11 about, please raise it immediately, especially if it's
12 a safety concern.

13 Because, we can get working on it, the NRC
14 staff, especially if we don't yet have a full
15 Committee meeting to bring--bring the issue to. Well,
16 we would bring it to the Committee eventually but we
17 shouldn't sit out for six months, for example, on a,
18 on a big issue.

19 So, we do look for the Committee members
20 to bring those up to us just as we would any other
21 licensee and, and the process is simple. Just email
22 Ashley or, or me or any one of our staff here and
23 we'll get it in the process.

24 The second thing I would like to raise in
25 that regard, if it's an issue, and I want to reiterate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because there's several members of the, of the
2 Committee that are new. I want to reiterate something
3 that I promised several times, and, and also that
4 Charlie Miller feels very strongly about, and he
5 promised when he had my position, is that if there is
6 ever any issue that the Committee or even some sub-
7 part of the Committee feels that the Commission needs
8 to know about, we have an open door.

9 We can--you can just write up the issue
10 and send it in, and we have several very vehicles to
11 get that before the Commission for information very
12 quickly. And that--and again, that's an open
13 invitation to do that. As Dr. Malmud said, every issue
14 doesn't need to go to the Commission, so we may
15 certainly want to talk to you a little bit and make
16 sure your feelings are understood, your, your views
17 are understood.

18 And, and that it's appropriate to go to
19 the Commission at, at a certain time. But at the end
20 of the day, the call would be the Committee members.
21 And, and that also, that promise also goes for any
22 meeting. If, if a, if a Committee Member is going to
23 be in town and, and feels the need to meet with a
24 Commission or a Commissioner, we're very happy to set
25 those up.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 NRC staff wouldn't be further involved
2 beyond just setting them up. And the third option that
3 the Committee always has at it's disposal, and that,
4 and this comes up in a couple of instances in the
5 past, is the Committee can, can certainly write a
6 letter to the Commission, the Chairman and the
7 Commission.

8 And, and that's come up in the past, and
9 that is always a vehicle open. That of course has to
10 happen in, in the letter writing session has to be
11 part of the public ACMUI meeting to follow the FACA
12 requirements. But, but that's very, we're very
13 familiar with that process. The ACRS does that all the
14 time.

15 So, so if that's ever something that
16 someone wants to pursue, or any particular issue that
17 anybody wants to pursue, that, the right time to
18 interject it, as, as Dr. Malmud said, is during the
19 agenda planning, right before each meeting. But, but
20 certainly don't wait until then if it's a big issue.
21 Thank you.

22 CHAIR MALMUD: Thank you, Mr. Lewis. Does
23 that address the concern that you've raised?

24 MEMBER LANGHORST: That helps me greatly,
25 and, and as one of those newer members, that, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 helps me have the process a little bit better in my
2 mind.

3 CHAIR MALMUD: Thank you. I think that
4 there, there are really two parallel issues. One is,
5 a matter of bringing a subject that's been discussed
6 within the Committee before the Commissioners, and the
7 other one is the recognition on the part of anyone in
8 ACMUI of a potential risk to patients or members of
9 the public that needs to be addressed immediately.

10 And that should, to NRC staff,
11 immediately. It does not require a process other than
12 bringing it to their attention if it's an issue of
13 either patient or public safety. Or security, for that
14 matter, since we now have other issues to be concerned
15 about.

16 By the way, I, I neglected to mention that
17 I also expressed our concern to the Commissioners
18 about the issue of fingerprinting and a new security
19 regulations which are going to be costly for
20 institutions. However, these, these issues are
21 governed by Homeland Security and not directly by the
22 NRC.

23 But I did express the frustration and
24 concerns of the members of the Committee. Is there a
25 motion to approve the document that's been prepared

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and distributed to you by email?

2 VICE CHAIR THOMADSEN: Mr. Chairman, Bruce
3 Thomadsen. I make that motion.

4 CHAIR MALMUD: Is there a second to Dr.
5 Thomadsen's recommendation?

6 MEMBER GILLEY: Debbie Gilley. Second.

7 CHAIR MALMUD: Thank you. Is there further
8 discussion?

9 MEMBER MATTMULLER: Yes. This is Steve
10 Mattmuller. We're discussing, or, preparing to vote on
11 the document that Bruce edited and circulated?

12 CHAIR MALMUD: That's correct.

13 MEMBER MATTMULLER: Okay. Great.

14 CHAIR MALMUD: Is there further discussion
15 of the document, or the need, or a concern about
16 appending it in any fashion? If not, all in favor?

17 MEMBER: Aye.

18 MEMBER: Aye.

19 MEMBER: Aye.

20 MEMBER: Aye.

21 MEMBER: Aye.

22 MEMBER: Aye.

23 MEMBER: Aye.

24 MEMBER: Aye.

25 MEMBER: Aye.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR MALMUD: Any opposed?

2 (NO RESPONSE)

3 CHAIR MALMUD: Any abstentions?

4 (NO RESPONSE)

5 CHAIR MALMUD: It passes unanimously. Thank
6 you. With that, we'll move onto item number two, if
7 that's acceptable. And item number two is the patient
8 release following I-131 therapy for thyroid doses.

9 MEMBER LANGHORST: I'll take that on. This
10 is Sue Langhorst.

11 CHAIR MALMUD: Thank you.

12 MEMBER LANGHORST: First off, I, I do want
13 to say that it is the report, it concerns patient
14 release in general, and not just limited to I-131
15 therapy. However, that was one instance that we
16 focused upon, so the, it should be patient release
17 report.

18 CHAIR MALMUD: Thank you.

19 MEMBER LANGHORST: First off, I want to
20 thank all for the Subcommittee members, especially for
21 their patience, as we did some reworking of the report
22 following our presentation of it at the October
23 meeting and following discussions, great discussions,
24 in, with the Commissioners.

25 One issue that came up during the October

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion, which was somewhat surprising to me, was
2 the confusion over whether the current 10 CFR 35.75
3 criteria was on a per release or per year basis. As a
4 result of that, of that identification and especially
5 the, my understanding that there was confusion over
6 this, we re-reviewed the final rulemaking notice for
7 the current part 35.75 criteria.

8 And, added much more reference to that in
9 our report. When you read the final rulemaking in that
10 January 29th, 1998 final rulemaking, it is clear that
11 the current 35.75 Rule is on a per release basis.

12 Confusion I think may have come up with the release of
13 the regulatory issue summary 2008/07 that was issued
14 in March of 2008, that said that NRC had met that
15 criteria to be on a per year basis.

16 And the NRC staff considered, or, or
17 commented at the Commission hearing, that they
18 believed the current Rule was on a per year dose
19 limit. After that discussion and, and Dr. Thomadsen
20 very wisely suggested that we take some of the
21 comments that we heard in October and do some rework
22 on our report, we determined that even in our
23 Subcommittee's opinion at that point in time that
24 there was a 50-50 split in really what the current
25 Rule meant.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And, our Subcommittee came to conclusion
2 that the basis for the per release limit should not be
3 changed from that final rulemaking document notice
4 without further rulemaking and public discussion. We
5 also discussed that we, what our current opinion was,
6 and that the vast majority of us believe that the
7 current 35.75 release criteria should remain as a per
8 release limit.

9 We did have one dissenting vote in our
10 Subcommittee who felt that an annual--that a dose
11 limit without a time frame was not appropriate, but
12 felt that if any discussion were to happen with a per
13 year dose limit for release criteria that the amount
14 of dose, the dose limit itself, should be discussed
15 and perhaps, increased.

16 So, that discussion was added, and
17 recommendation added, to the summary item two in the
18 first bullet in that on page two. And on the second
19 section on annual versus per release on pages 13
20 through 15. Dr. Malmud, I'll ask if you want to
21 discuss this point, or should I go through further
22 changes in the report?

23 CHAIR MALMUD: Thank you, I'll ask the
24 members of the Committee if they wish to--anyone
25 wishes to comment at this point. If not, we'll move on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to the next item.

2 MEMBER LANGHORST: Okay.

3 CHAIR MALMUD: Thank you.

4 MEMBER LANGHORST: We also, our
5 Subcommittee also had further discussions on patient
6 release to non-private resident locations, like
7 hotels. And added that discussion to that section of
8 the report, which is on page 12. We added a, a
9 paragraph or actually clarified a paragraph and that
10 goes on page 12 from lines 400 to 423.

11 Now, as we had this discussion, the
12 Subcommittee did have different opinions on how best
13 to address patient release to locations like hotels.
14 You'll see in the report we had one of our
15 Subcommittee members who felt it should not be
16 allowed, another who was questioning whether it should
17 be allowed.

18 Six of the members felt that it should be
19 allowed and differed only in how licensees should
20 control that release. Is there any other--any
21 questions on that, or shall I continue?

22 CHAIR MALMUD: The items that you're
23 referring to are on page 12 and lines 400 to
24 approximately 417?

25 MEMBER LANGHORST: 23. 423. So, let me go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ahead and finish what we have changed. As part of that
2 added discussion, we expanded what was formerly known-
3 -called Appendix one, and now is just called the
4 Appendix. We added a realistic dose calculation column
5 to tables 1 and 2.

6 And expanded that Appendix discussion to
7 hopefully be a more standalone section for people to
8 use in the future. And that Appendix is page, is on
9 page 20 through 24. We, in our past draft report we
10 presented to the Committee in October, we had an
11 Appendix two that was a short summary responding to
12 specific questions that were raised in what we've been
13 calling the Markey report.

14 The Subcommittee decided to drop that
15 short Appendix from this current report, and discussed
16 developing a separate report with a point by point
17 response to that Markey report. We added a few
18 emphasis items on the negative medical impact of the
19 past 30 millicurie Rule, and that's in several parts
20 of the report.

21 We strengthened discussion on benefits to
22 family members for patient release, and that is in
23 section exposure to other individuals from patients
24 released from licensee control. That goes from page 3
25 to 4. There are a few edits throughout the report on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just a little bit different wording.

2 I'm not sure where that comes from, but it
3 wasn't me. If there's--that's--summary of the changes
4 that we made in the report.

5 CHAIR MALMUD: Thank you. Are there
6 comments regarding the report or those changes that
7 were made?

8 MEMBER GUIBERTEAU: This is Mickey
9 Guiberteau. I, I just would like to ask Sue, in terms
10 of the Appendix 2 in response to the Markey letter. I
11 think the, if I understood what you said, that it was
12 a recommendation that this be removed and expanded
13 separately. Is, I mean, what, what was, what was your
14 intent in terms of separating this from the report?
15 Did you think this was not extensive enough, or do you
16 think it served separate emphasis?

17 MEMBER LANGHORST: There has been a
18 parallel path going on doing, putting together a draft
19 as far as point by point of all issues for the, for
20 the Markey report. Quite frankly, we felt that this
21 report needed to get out, and we weren't sure how
22 quickly we could finish the, the full response to the
23 Markey report, although we feel that the issues that
24 we raise in this current patient release report
25 addresses all of the concerns as far as release

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 criteria goes. It doesn't necessarily deal with
2 process, NRC process concerns that were raised in the
3 Markey report.

4 MEMBER GUIBERTEAU: Okay. And, and, and was
5 it also a feeling that this might separate some of the
6 more controversial areas of I-131 as the report has
7 essentially been refocused to address patient release
8 in, in, you know, multi radiopharmaceutical
9 radioisotopic settings?

10 MEMBER LANGHORST: No, I don't believe that
11 was one of the considerations.

12 MEMBER GUIBERTEAU: Okay.

13 MEMBER LANGHORST: I'll ask my Subcommittee
14 members if they thought it was.

15 VICE CHAIR THOMADSEN: This is, this is
16 Bruce Thomadsen. And I do not believe that was case.
17 I think it was, it was just a matter of space in the
18 report available for a more extensive reply.

19 MEMBER GUIBERTEAU: Okay. Fair enough. I
20 was just curious.

21 MEMBER MATTMULLER: Could somebody please
22 repeat the question from Dr. Guiberteau?

23 CHAIR MALMUD: Dr. Guiberteau, would you
24 please repeat your question?

25 MEMBER GUIBERTEAU: My, my question was the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 motivation for, in, you know, how, how this might be
2 enhanced or actually presented as presenting Appendix
3 two as a separate report, rather than separating these
4 issues from, you know, from the present document.

5 I presume that meant not leaving the, you
6 know, and I'm sort of clarifying my thought there, is
7 that I presume that this would actually disappear from
8 the report, Appendix two, and be with, with a thought
9 of having a more extensive separate report addressing
10 these, these points. Or, would you keep this Appendix
11 two and just expand on it in another document?

12 MEMBER LANGHORST: Mickey, the plan was to
13 have a, to, to drop Appendix two from this report and
14 to have a separate report address point by point the
15 Markey report. It would probably highly reference
16 current patient release report.

17 MEMBER GUIBERTEAU: Okay. I just was
18 unclear when you said just recommended that it be
19 separated, I wasn't sure exactly what that meant as
20 well.

21 VICE CHAIR THOMADSEN: This is, this is
22 Bruce Thomadsen again. At the last meeting, it was, it
23 was decided by the Committee to have the subgroup that
24 was writing the response to the Markey report include
25 a response to the last letter and have that be a, a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 full report.

2 CHAIR MALMUD: This is Malmud. Thank you,
3 Bruce. Does that mean that the issues relating to the
4 concerns of, of the congressman will not be included
5 in the final recommendation for the advisory
6 Committee?

7 VICE CHAIR THOMADSEN: Of, of this--the
8 report that you have in front of you, that would be
9 correct. Although, actually, all of the issues that
10 were of concern are addressed in this report, just not
11 in a line by line response, and that's what the other
12 report's going to be.

13 CHAIR MALMUD: Yes, thank you. I understand
14 that, that means though that the response to the
15 Markey concerns will be delayed in a separate report?

16 VICE CHAIR THOMADSEN: Yes, that is
17 correct.

18 CHAIR MALMUD: Thank you. Just wanted to
19 understand that fully.

20 MEMBER GILLEY: Dr. Malmud, this is Debbie
21 Gilley. I think also that Subcommittee was going to be
22 looking at the October 16th or 17th letter also that
23 comes from Representative Congressman Markey's Office,
24 maybe the 19th of October, and combining those.

25 VICE CHAIR THOMADSEN: That is correct.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This is Bruce again.

2 CHAIR MALMUD: So, that--this is Malmud
3 again--so that the, what is considered the Appendix
4 in this report will be deleted and instead submitted
5 as a separate report incorporating with it the
6 responses to the concerns of Chairman Markey, that are
7 more, more recently received.

8 VICE CHAIR THOMADSEN: The only correction
9 is, that when you say the, the Appendix to this
10 report, that is, what was Appendix two, what is
11 Appendix one is still in the current report.

12 CHAIR MALMUD: Thank you for that
13 clarification, Dr. Thomadsen.

14 MEMBER GUIBERTEAU: This is Mickey
15 Guiberteau again. I, I think this is, I understand
16 the plan here. I just want to express a concern, that
17 if we should get a third and a fourth letter during
18 this process, I think we need to be certain that there
19 is some end to, to a specific report, and it not be a
20 never-ending report.

21 Because, I think there are some issues
22 here that are not only specific but generic that need
23 to be, that are of concerns, I think, to, to more than
24 Congressman Markey, to the public. And I think we
25 should be certain that at some point we do get this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 report out and that we not have, you know, a
2 continuing response.

3 So, I'm not sure how we would do that but
4 I do think that some consideration should be giving--
5 should be given to that, so that we do have a timely
6 response to the most cogent of these, of, of these
7 concerns, with respect to, to the broader interests of
8 the public.

9 CHAIR MALMUD: Thank you, Dr. Guiberteau,
10 for expressing that concern. May I make the following
11 suggestion? And that is, that we, a vote on approval
12 or not of the current report, including Appendix one,
13 and then we recommend, to, we recommend to the
14 Subcommittee that Appendix two be presented for
15 approval at the next meeting of the AC--the next
16 public meeting of the ACMUI, incorporating in it the
17 concerns of the Committee Chairman, Congressman
18 Markey, and that we call closure on it at that time.

19 MR. LEWIS: Dr. Malmud, it's Rob Lewis.
20 Could I make a comment?

21 CHAIR MALMUD: Please do.

22 MR. LEWIS: Just, that plan would be fine.
23 I would note that the NRC's formal response to
24 Congressman Markey will have been sent long before the
25 next ACMUI meeting. So, I'm sure there will be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 subsequent communications on this issue, as Dr.
2 Guiberteau mentioned. But, the, the current letter we
3 have before us, I believe we have to, we've been asked
4 by his Office to respond on a very short time frame.

5 MR. EINBERG: And, I believe--and this is
6 Chris Einberg--and I believe the plan was to include
7 the ACMUI's report on patient release to the
8 Congressman Markey, as one of the attachments.

9 VICE CHAIR THOMADSEN: This is, this is
10 Bruce Thomadsen again. Just with a question to Mr.
11 Einberg or Mr. Lewis, do we have a, a schedule that,
12 that we can use for the ACMUI's reply to the
13 Commission that would keep it in line with the, with
14 that goal of getting the, attaching the Appendix to
15 the response?

16 MR. LEWIS: I don't have his letter before
17 me but, but I do remember it had a, had a please reply
18 to me by a certain date. If anybody on the phone has
19 that letter. But I, we're trying to meet that date,
20 and, and logically, that means this is the only
21 opportunity of ACMUI to provide any input before that
22 date.

23 MR. EINBERG: And, and--this is Chris
24 Einberg, once again--and, I would just add that the
25 two dates are in the type of congressional letters are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 usually very short, on the order of two weeks to a
2 month usually to respond. In this particular case, we
3 did ask for a, an extension from the Congressman's
4 Office, which was granted, and I believe one of the
5 justifications for the extension was that we will be
6 providing this Subcommittee report, or this Committee
7 report, to the congressman's Office.

8 CHAIR MALMUD: Thank you, this is Malmud
9 again. Perhaps I misunderstood. I thought that
10 additional inquiries were from the Congressman's
11 Office. Were they not?

12 MR. EINBERG: Yes, we've, we've received
13 numerous inquiries from the Congressman's Office, and
14 it, just as recently as late last week we received an
15 additional letter pertaining to the release of
16 patients that have been treated with radioactive
17 iodine.

18 CHAIR MALMUD: But, is it my understanding
19 that these letters are not bringing up new issues, but
20 simply expressing continuing concern about the old
21 issue?

22 MR. EINBERG: That would be a fair
23 characterization.

24 CHAIR MALMUD: If that's so, would the
25 members of the Committee be agreeable to just leaving

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Appendix two in the report and submitting it, since we
2 are facing somewhat of a deadline and we don't intend
3 to change the content, simply the presentation, if I
4 understood the discussion earlier?

5 VICE CHAIR THOMADSEN: Dr. Malmud, Bruce
6 Thomadsen again.

7 CHAIR MALMUD: Yes?

8 VICE CHAIR THOMADSEN: I think that I was
9 the most vocal Member of the Subcommittee to remove
10 the Appendix. My feeling was that it as, it did not
11 really address the issues that were raised, and it did
12 very little to clarify the situation. I thought it was
13 probably less than useful to have the one page
14 response included in the, in the report.

15 CHAIR MALMUD: Thank you, Dr. Thomadsen.
16 Was yours a, a single objection, or was that, the
17 Member, or did the majority of the members of the
18 Subcommittee feel the same way?

19 VICE CHAIR THOMADSEN: There was a vote on
20 the, on the exclusion of that, of that Appendix, which
21 obviously carried, and since that's in the, in the
22 document.

23 MEMBER LANGHORST: This is Sue. Yes, that
24 was--Sue Langhorst--this was a, a, the majority of the
25 Subcommittee decided to remove Appendix two and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discuss about doing a point, point report.

2 CHAIR MALMUD: Thank you for the
3 clarification. So is, therefore, is there a motion to
4 approve the advisory Committee report from the
5 Subcommittee, for excluding Appendix two, would be the
6 first motion.

7 VICE CHAIR THOMADSEN: Excuse me, can you
8 clarify what the--

9 MEMBER LANGHORST: This is--

10 VICE CHAIR THOMADSEN: --can you clarify
11 what the motion is?

12 CHAIR MALMUD: For acceptance of the report
13 absent the Appendix two.

14 VICE CHAIR THOMADSEN: So, the, the motion
15 is to accept the report as presented to the ACMUI?

16 CHAIR MALMUD: Correct.

17 VICE CHAIR THOMADSEN: Thank you.

18 MEMBER ZANZONICO: This is Pat Zanzonico.
19 But, can we get some clarification from the NRC staff?
20 I infer from what was just said, and I may be
21 completely wrong about this, but that there might not
22 be, if it were not included in the Subcommittee's
23 report, the current report, there, there would or
24 would not be another opportunity to submit a detailed
25 response from the ACMUI? Can that be clarified,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 please?

2 CHAIR MALMUD: I'll, I'll try to clarify it
3 if I can. This is Malmud again. If we approve the, the
4 advisory Committee, Subcommittee report, it would go
5 forward. The response of NRC staff to the congressman
6 would also go forward without our having submitted
7 Appendix two.

8 The recommendation would have been made by
9 the NRC staff to, to the congressman. We would then
10 submit our recommendation after that recommendation
11 had been made.

12 MEMBER GILLEY: Excuse me, this is Debbie
13 Gilley. I think the report that we have on the table
14 before us now addresses Congressman Markey's concern.
15 It's just not a point by point, item by item rebuttal.
16 I think all of the information in that report is
17 there, and therefore, NRC could reference and include
18 an attachment to that report. Thank you.

19 CHAIR MALMUD: Thank you--

20 MEMBER SULEIMAN: This is Orhan Suleiman.
21 I think the Committee was pretty much, had a strong
22 consensus on our response to [sic Congressman] Markey,
23 but I don't think
24 we agreed on exactly how to present it in a quick way,
25 or whether we needed more time to go into more detail.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I think we really disagreed more on process and how
2 much detail and justification was warranted.

3 I think I agree with, with Debbie, I think
4 if someone were to study this report, they're going to
5 get a good sense of exactly where we're coming in on
6 this. To me, personally, I don't think it makes any
7 difference whether we did or didn't include it.

8 I think the intent is, is obvious. There
9 isn't, for your purposes, Dr. Malmud, there wasn't any
10 major controversy over to accept the level of detail
11 and preparation and more documentation, but I don't
12 think it's changing our conclusions any.

13 CHAIR MALMUD: No, the sub--I agree, the
14 substance hasn't changed. What I'm trying to find out
15 from the Committee is, given the fact that the
16 congressman is expecting a report from the NRC, we do
17 have a deadline, which we have not established but
18 which is necessary in order to respond to a Member of
19 Congress in a timely fashion.

20 Therefore, we can either approve the
21 current report with Appendix--with a second Appendix
22 or without it. It seems to me that the members of the
23 Committee are moving forward to approve the report
24 without Appendix two, and if, and then Appendix two
25 will not go forward in a timely fashion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 It will go forward after NRC has already
2 responded to the Member of Congress. Those are the
3 realities. So, we really have a choice, of either
4 approving it with Appendix two or without, agreeing
5 that there's no substantive change, it's only the
6 issue of line by line addressing the concerns.

7 With that background, the Chair would
8 still seek a motion for approval of this report, with
9 or without Appendix two, from a Member of the
10 Committee.

11 VICE CHAIR THOMADSEN: Mr. Chairman, a
12 point of question here. If we decide, if we decide
13 today to have another meeting of the ACMUI, a tele, a
14 teleconference, how far ahead, or how far from now
15 would that have to be?

16 CHAIR MALMUD: Well, of course, public
17 meetings need to be announced in advance. Then, there
18 would be the discussion and, it, we haven't gotten a
19 clear deadline date from NRC staff as to when the
20 congressman expects the report from NRC. But, from my
21 last meeting with the Commissioners, I had the feeling
22 that the deadline was coming close. Does anyone on the
23 NRC staff know when the response was promised to
24 Chairman Markey?

25 MR. LEWIS: No, sir. We're, we're trying to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 find that. We're not at our desks, so.

2 MR. EINBERG: And, and--this is Chris
3 Einberg--if I'm not mistaken, the due date actually
4 probably passed already, and it's, it's at the highest
5 levels right now being reviewed. And I think the thing
6 was that we were waiting for this report to be
7 finalized, so I don't think there's much time left
8 here before it's going to go out.

9 MEMBER LANGHORST: Mr. Chairman, this is
10 Sue Langhorst.

11 CHAIR MALMUD: Yes, Sue?

12 MEMBER LANGHORST: I believe in, and Mr.
13 Lewis and Mr. Einberg can correct me if I'm wrong,
14 that the NRC did a point by point response to the
15 Markey Report earlier last spring, and I believe Dr.
16 Guiberteau, if, if you could point out if there's any
17 issues other than NRC process that we have not
18 addressed in this Subcommittee report, those should,
19 that should be included.

20 But, we believe we, we touched on all of
21 the scientific merit of the issues raised by the
22 Markey Report in our current patient release report.

23 MEMBER GUIBERTEAU: This is Mickey
24 Guiberteau. I would certainly concur with that, and it
25 wasn't my intent to imply that it had not. It is just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that, you know, from a non-subcommittee member point
2 of view, and in fact from a non-voting Committee ACMUI
3 Member, I, I like Appendix two even though I think
4 that, you know, a separate document expanding on this
5 and including some of the other items would be, and,
6 and the latest letter, would be excellent.

7 I'm just not certain what the advantage is
8 of removing this and, and, and, as, as opposed to just
9 expanding in another, these same issues in another
10 document unless you feel that these might be accepted
11 as, you know, the answers rather than the expanded
12 answers. It, it seems to me that they're concise
13 and very clear.

14 VICE CHAIR THOMADSEN: Can I, can I address
15 that point? This is Bruce Thomadsen again. And the
16 feeling was, at least, my feeling on that was that
17 they are concise. They are not very persuasive at all,
18 whereas the complete document response was much more
19 persuasive. And that if somebody looks at the Appendix
20 two as it was an earlier version, they would get the
21 feeling that there was not very strong arguments
22 against the points that they're being addressed.

23 MEMBER GUIBERTEAU: Well, then, then, if,
24 again, I'm a bit confused. Is it your intent to write
25 a, an expanded Appendix or a separate document?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 VICE CHAIR THOMADSEN: It would be a
2 separate document, that's what was approved by the
3 Committee at the last meeting. Mr. Mattmuller may be
4 able to tell us what the status of that document is.

5 MEMBER MATTMULLER: At this point--

6 CHAIR MALMUD: Is this Mr. Mattmuller?

7 MEMBER MATTMULLER: I'm sorry, this is
8 Steve Mattmuller.

9 CHAIR MALMUD: Thank you.

10 MEMBER MATTMULLER: I mean several drafts
11 of the point by point have been worked on, although
12 additional comments in regards to the most recent
13 letter from October, Congressman Markey have not been
14 included into it.

15 CHAIR MALMUD: Thank you. May I make the
16 following suggestion? That we approve of the document
17 without Appendix two, and that the Subcommittee
18 continue working on the material in Appendix two into
19 a separate document in anticipation of questions from
20 the Congressman's staff for further clarification,
21 should those questions arise.

22 MEMBER WELSH: So moved.

23 MEMBER FISHER: Darrell Fisher, second the
24 motion.

25 CHAIR MALMUD: It's been moved and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 seconded. Any further discussion?

2 VICE CHAIR THOMADSEN: One more question.
3 I did not, this is Bruce Thomadsen again. I did not
4 get a reply from the NRC staff as far as what the,
5 what delay has to be for notification of another
6 meeting of the ACMUI.

7 MR. LEWIS: The, the meeting of the ACMUI
8 I believe would require a minimum of 14 days posting
9 and, and the other main schedule driver is
10 availability of everyone that we need to be involved.

11 VICE CHAIR THOMADSEN: Yes.

12 MR. LEWIS: So, so that's the, the response
13 to that.

14 PARTICIPANT: I do think we need to know
15 who was the seconder of that motion. Who made the
16 motion and who seconded it.

17 MS. HOLIDAY: James Welsh proposed the
18 motion.

19 CHAIR MALMUD: And it was seconded by?

20 MEMBER FISHER: Darrell Fisher.

21 PARTICIPANT: Darrell Fisher?

22 CHAIR MALMUD: Fisher. Thank you.

23 MR. LEWIS: If we're in the point of
24 discussion, Dr. Malmud, I did have a question for the
25 Committee.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR MALMUD: Yes, please.

2 MR. LEWIS: And, and, I think the
3 Committee, this motion would endorse this report, as
4 I understand, so within the report, are several
5 suggestions or recommendations to NRC and I think we
6 would need maybe, at a, at a future meeting, to have
7 Committee discussion of whether any of those are
8 intended by the Committee as actions for NRC.

9 Because, I think that the summary
10 statement and recommendation section doesn't always
11 have the recommendations that are in the full text,
12 behind it. For example, the one on hotels, there are,
13 in line 423, there's a comment that we should hold
14 broader community discussions on this topic, but that
15 doesn't appear in the summary statement and
16 recommendations.

17 So, so if there are actions embodied for
18 the NRC staff, I think we, we should, I would suggest
19 the Committee should have further discussions so we
20 can put them on our action item list that Ashley has,
21 but endorsing this report will give us the basis to
22 send it along with the NRC response, as a separate
23 matter.

24 MEMBER LANGHORST: This is, this is Sue
25 Langhorst.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR MALMUD: Yes?

2 MEMBER LANGHORST: Rob, let me ask you how
3 best to, I mean, we need to specifically say action
4 items, is that right?

5 MR. LEWIS: Yes.

6 CHAIR MALMUD: Yes.

7 MEMBER LANGHORST: Okay, I, and that is my
8 fault, as being a first time Chairman of one of these
9 Subcommittees.

10 MR. LEWIS: It's not a fault, I think it's
11 still a good report, and there's a lot of good
12 recommendations in there. But, may, maybe a point by
13 point, if you will, when speaking of point by points,
14 to know which ones of these the full Committee says
15 the NRC should take as an action item.

16 MEMBER LANGHORST: This is Sue. I
17 understand now, thank you.

18 CHAIR MALMUD: There is a motion which has
19 been seconded. Is there further discussion of the
20 motion?

21 MEMBER WELSH: This is Jim Welsh.

22 CHAIR MALMUD: Yes, Jim.

23 MEMBER WELSH: I'd just like to point out
24 that Dr. Guiberteau's point about this being a
25 potentially, ongoing, never-ending process is well

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 taken, and that's part of the reason why I make the
2 motion of this, this particular issue, to, to move it
3 along.

4 As an example, we just discussed last week
5 the difference, the discrepancies, perhaps, between
6 veterinary patients and human patients. And, it opens
7 up a whole new chapter, but if we were to try to stop
8 and address that inconsistency in this report, it
9 would delay it further, and then there could be
10 another letter from a congressman or another issue in
11 a newspaper that would slow us down further and
12 further, so I think that we do have to heed Dr.
13 Guiberteau's advice and, and move, move things along,
14 and we have something that we can move along right
15 now.

16 CHAIR MALMUD: Thank you for that comment.

17 MEMBER SULEIMAN: This is Orhan Suleiman.

18 And, and I've been a Member of the Subcommittee and my
19 biggest frustration with the entire process all the
20 way from the NRC Directive of what we need to do to
21 the Committee to the Subcommittee I think our roles
22 haven't been clearly defined.

23 And, I've been concerned that the
24 Subcommittee has spent an, an awful lot of extra time
25 on a report that could have gone to full Committee for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 further discussion. And I too agree that we have a lot
2 of other things to do, and I think our intent is
3 obvious. I'd like to see this closed, and move onto
4 some of the other issues, because I, the Subcommittee
5 charge I think is just a subset of the overall
6 Committee's responsibilities, and I think the other
7 Committee members need to partake in some of the
8 discussion and bring closure to this. We can't afford
9 to really discuss this issue for another year.

10 CHAIR MALMUD: Thank you, Dr. Suleiman. So
11 you are speaking in favor of approving the motion?

12 MEMBER SULEIMAN: That's what I would,
13 what--the motion is to include both--

14 PARTICIPANT: No--

15 MEMBER SULEIMAN: --Appendix two--

16 CHAIR MALMUD: No, the motion is to
17 include--exclude Appendix two. And, if I am, if the
18 items which are clarified in Appendix two in kind of
19 a line by line way, require a response, it will have
20 been prepared and readied when those questions arrive.

21 MEMBER SULEIMAN: Okay. My concern is,
22 frankly, to get this over sooner rather than later. I,
23 I, I agree that if we delay, you know, we're going to,
24 the NRC is going to respond to [sic Congressman]
25 Markey and you'll have this other report and what's to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 prevent other questions or other letters to the NRC
2 and, you can continue this for a long time without
3 bringing closure, and--

4 CHAIR MALMUD: Well--

5 MEMBER SULEIMAN: --report what--

6 CHAIR MALMUD: --Dr. Suleiman, I agree with
7 you and with your concern about the time being spent.
8 However, if a Member of Congress and a Committee
9 Chairman remains concerned about the issue, we will
10 continue to receive inquiries and the NRC will be
11 obligated to respond, and we will be asked to comment.
12 So, that, perhaps the way that you're goal
13 could be best achieved is to approve the document with
14 Appendix one, but not Appendix two, and then continue,
15 have the Subcommittee continue on with it's effort to
16 expand Appendix two into a separate document in
17 anticipation of questions which we are rather certain
18 will continue to come in. We serve, we don't, we don't
19 dictate process.

20 MR. EINBERG: Dr. Malmud, this is Chris
21 Einberg. I, going to say this point is up for
22 discussion. I have a couple things I wanted to bring
23 to your attention. First, email here on my BlackBerry
24 to find out what the due date for the latest letter
25 is.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We have an internal due date of January
2 3rd for the latest letter from Congressman Markey. So,
3 we'll be working on that. Then, this is the one that
4 deals with the release of pets that have been treated
5 with radioactive iodine.

6 Secondly, I also have a letter from two
7 members of the public that has to be read into the
8 record, and I will put it in when the appropriate time
9 in your opinion would be, to do that, before the vote
10 or after the vote.

11 CHAIR MALMUD: If they relate directly to
12 the content of that which we are voting on, it might
13 be in the best interest of the public to have them
14 read before we vote.

15 MR. EINBERG: Okay, and with your
16 permission, then, I'll go ahead and read that into the
17 record now, then.

18 CHAIR MALMUD: Please do.

19 MR. EINBERG: Okay. This letter is dated
20 November--it's actually an email. It's an email dated
21 November 30th, 2010, to the Advisory Committee on
22 Medical Uses of Isotopes, from David Switzer, M.S.,
23 and Walter Roberts, M.D. The subject is, written
24 statement regarding patient release following iodine
25 131 therapy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Thank you for the opportunity to provide
2 a written statement with regard to patient release
3 following the administration of therapeutic quantities
4 of iodine 131. We have provided services for many
5 years for thyroid cancer patients using iodine 131.

6 We have observed that a large number of
7 these patients have been young mothers who, if
8 released, would have had to contend with maintaining
9 separation from their children. A few patients have
10 been incontinent. A few patients have been confined to
11 psychiatric institutions, and not compliant with the
12 usual requirements for release, had it been feasible.

13 Other instances could be enumerated. Under
14 good practice, there are patients who do indeed
15 qualify for release during their post administration
16 period. At the same time, there are many patients whom
17 we may better serve by confinement in minimal care
18 circumstances.

19 Certainly, many studies have been
20 undertaken that confirm the low risk involved for
21 appropriate release of patients and the information
22 generated by these studies should be taken into
23 consideration. The bottom line is, the authorized
24 users with competent medical physics support when
25 needed should be independently determine when and if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it is appropriate to confine a patient and there
2 should be appropriate reimbursement for any and all
3 such instances where confinement is indicated.

4 Guidance provided by the NRC guide on
5 radionuclide therapy has been very useful in
6 determining length and need of confinement. And that
7 concludes the statement.

8 CHAIR MALMUD: Thank you for reading that
9 statement to the Committee. Is there a second one?

10 MR. EINBERG: No. It's, it's signed by both
11 David Switzer and Walter Roberts, MD.

12 CHAIR MALMUD: Thank you. It's, having
13 heard what you just read, it seems to me that what
14 they're saying is the judgment should be that of the
15 physician who is treating the patient and I think
16 they're also suggesting there should be reimbursement
17 for the inpatient stay.

18 That seems to be something that's
19 determined by hospital, individual hospital policy and
20 by individual insurers willingness to pay for that.
21 That's my only comment in response to hearing it. Do
22 other members of the Committee have comments regarding
23 the communication from these two professionals?

24 MEMBER ZANZONICO: This is Pat Zanzonico.
25 I think it's, it sounds perfectly consistent with what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the, the Subcommittee and the Committee are
2 recommending and it's consistent with best practice
3 based on a case by case evaluation there will be some
4 patients who are suitable for releasability and others
5 who are not, and, and this letter seems to simply
6 reinforce that, that position.

7 CHAIR MALMUD: Thank you. Any other
8 comments from members of the Committee?

9 MEMBER MATTMULLER: This is Steve
10 Mattmuller. I, I concur with Pat. I mean, that was my
11 take on the letter also, that it's very consistent
12 with, with our report. Thank you.

13 CHAIR MALMUD: Thank you. Any other
14 comments? If not, may we call the vote? All in favor
15 of the report?

16 MEMBER: Aye.

17 MEMBER: Aye.

18 MEMBER: Aye.

19 MEMBER: Aye.

20 MEMBER: Aye.

21 MEMBER: Aye.

22 MEMBER: Aye.

23 MEMBER: Aye.

24 MEMBER: Aye.

25 MEMBER: Aye.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR MALMUD: Any members of the Committee
2 opposed to the report?

3 (NO RESPONSE)

4 CHAIR MALMUD: Are there any abstentions?

5 (NO RESPONSE)

6 CHAIR MALMUD: Having heard the vote, the
7 vote is unanimous in favor of submitting the report.
8 Thank you. If we may, we'll move onto the next item on
9 the agenda.

10 MEMBER MATTMULLER: Excuse me, Dr. Malmud,
11 this is Steve Mattmuller.

12 CHAIR MALMUD: Yes, Steve?

13 MEMBER MATTMULLER: First, I'd like to
14 thank Sue Langhorst. She did an incredible job in
15 putting this report together, and I daresay she's
16 probably working harder than an NRC Commissioner right
17 now in trying to balance her normal job and the work
18 she did on this report.

19 So, she did a terrific job. The second
20 question would be, and I suppose this would be to the
21 NRC staff. Since it appears that we are out of time to
22 get an official ACMUI response on a line by line basis
23 to the Markey report, do, what other options do we
24 have, if any?

25 CHAIR MALMUD: Oh. If I may, may I first

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interject that it's my assumption that, that we will
2 continue refining what was Appendix two into more of
3 a line by line response in anticipation of further
4 communications from the Chairman, meaning--
5 Congressman, because I, I'm not certain that this will
6 be the last that we'll hear of this issue.

7 And, an enormous amount of work has gone
8 into the document as well as in the refinement in the
9 Appendix and it's, I believe it's a worthwhile item to
10 address in anticipation of further questions. From the
11 rate of correspondence that the NRC has been
12 receiving, I don't think it's going to end with this
13 recommendation.

14 And, I would second what you've said with
15 respect to thanking Sue Langhorst, but I, I would, as,
16 as Chairman of the ACMUI, wish to thank not only Dr.
17 Langhorst, but Dr. Fisher, Debbie Gilley, Mr.
18 Mattmuller, Dr. Suleiman, Dr. Thomadsen, Dr. Welsh,
19 and Dr. Zanzonico, all of whom have participated in
20 this process.

21 We are truly appreciative of it. It is, it
22 is a lengthy, complete, and well thought out document.
23 Thank you. May we move on, or does, do you wish to
24 discuss anything relevant to this, and--

25 MEMBER MATTMULLER: I'm sorry. This is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Steve Mattmuller.

2 CHAIR MALMUD: Steve.

3 MEMBER MATTMULLER: I guess this would be
4 to Chris and Rob. Do you concur with Dr. Malmud's
5 analysis, of, that, we can still--I, I guess--one
6 would, maybe I should phrase it this way. When would
7 it be most helpful to you to have the line by line
8 response to the Markey report into the NRC?

9 CHAIR MALMUD: Is that--you're asking a
10 Member of the NRC that question, am I correct?

11 MEMBER MATTMULLER: Yes, either Rob, or, or
12 to Chris.

13 MR. LEWIS: This is--I'm going to, I'm
14 going to dodge the question.

15 CHAIR MALMUD: I, I think that they have to
16 dodge the question because they haven't been asked for
17 information yet.

18 MR. LEWIS: Right.

19 CHAIR MALMUD: What I'm saying, as Chairman
20 of the ACMUI, not a Member of the NRC staff is that I
21 think we should anticipate further questions. That,
22 I'm just saying that from, from the correspondence
23 that's occurred up until now. And, and I think that
24 the Committee has thought it out, Subcommittee, excuse
25 me. The Subcommittee has thought it out in detail, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it's worth putting that document together in
2 anticipation of what I believe is most certain to be
3 presented to us as further questions.

4 MR. LEWIS: Yes, and I, and I would agree.
5 This is Rob Lewis. I think that the timeline of
6 getting a, a document, I think we can wait until the
7 next full meeting, is my personal opinion, because it
8 will be very useful as these discussions continue.

9 It will be a useful tool. The timeline,
10 if, if the Committee were to request or, or expect the
11 NRC would include this document in, in whatever the
12 NRC response to the congressman, I think that the
13 timeline is, is very short, even, even, you know,
14 basically, it would have to be voted on today.

15 So, so I think that, in a, in a, in a
16 future correspondence with a, with the congressman, we
17 may be able to, to work this in. But in any event it
18 would be a very useful tool for the NRC staff to have
19 in preparing any response to any congressman on, on
20 this issue that keeps coming up. So--

21 CHAIR MALMUD: Thank you.

22 MR. LEWIS: Thank you.

23 CHAIR MALMUD: Does that address your
24 concern?

25 MEMBER MATTMULLER: Yes. This is Steve.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Yes, it does. Thank you.

2 CHAIR MALMUD: Thanks again, Steve. If we
3 may, then, we'll move onto the third item on the
4 agenda, which is the rulemaking and implementation
5 guidance for physical protection of byproduct
6 material, that's the 10 CFR part 37.

7 VICE CHAIR THOMADSEN: Mr.--Mr. Chairman?
8 Mr. Chairman?

9 CHAIR MALMUD: Essentially, security
10 requirements.

11 VICE CHAIR THOMADSEN: Mr. Chairman?

12 CHAIR MALMUD: Dr. Thomadsen?

13 VICE CHAIR THOMADSEN: Yes. Well, one last
14 thing before we get off that. If, if we would be able
15 to get the, the ACMUI, to get on a call at the end of
16 December, that would give us time for a, a notice of
17 the meeting and it could give some time for the NRC
18 staff to include our recommendations to, in their
19 response. Would you like to, to see if the ACMUI could
20 make such a call? Could attend?

21 MS. COCKERHAM: Dr. Thomadsen, this is
22 Ashley. Just to kind of give you an idea on time
23 lines, it takes three days to publish something in the
24 Federal register, and it has to be published 15 days
25 before the meeting. So if it was published today the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 meeting could be on December 29th at the very
2 earliest. And it does take time, you know, at least a
3 day or so, to coordinate when the Committee's
4 available, what time, draft the notice, get that
5 through the paperwork process.

6 VICE CHAIR THOMADSEN: Okay, so that's in
7 addition to the, to the time that we were given
8 earlier, as far as how much lead time we need?

9 MS. COCKERHAM: Yes.

10 VICE CHAIR THOMADSEN: Okay. I retract
11 that.

12 CHAIR MALMUD: Thank you. And, and we will
13 therefore move onto the next item on the agenda, which
14 is 10 CFR part 37, which is the security requirements.
15 Who's going to lead off on that discussion?

16 DEBBIE GILLEY: This is Debbie Gilley, and
17 I brought this up for the Commissioner's briefing in
18 October on behalf of the ACMUI. And we're very busy
19 with patient release criteria and this kept getting
20 pushed further back and further back.

21 And so, when I spoke at the Commissioner's
22 briefing, I always spoke on items that may be of
23 interest to the medical community from the Part 35--37
24 security proposed regulations. I have a, a, started a
25 report. I've had help from Sue Langhorst and Darrell

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Fisher to help start the process.

2 Part 37 is a rather expansive piece of
3 regulation out there, and based on the comments that
4 were made at the Commissioners briefing, the report is
5 at a, a higher level, maybe, not as detailed as the
6 patient release criteria report is, and it really
7 focuses on the security regulations and the impact
8 they may have on the access to medical care.

9 In the briefing that was done, there were
10 four topics that were discussed, that was the
11 expansion of the background checks that would be
12 required with the regulations over the orders. The
13 expansion of security requirements based on possession
14 limits versus the exact activity that was on hand, and
15 then the third one was the coordination with law
16 enforcement and how much regulatory oversight a
17 licensee might have in getting compliance with local
18 law enforcement.

19 The report is in, still in a draft stage.
20 I would appreciate it if I could get a little feedback
21 from the ACMUI Committee if there are, this is the
22 route they wish to go, and then I'll be glad to send
23 the draft report out.

24 The recommendations of this report, it's
25 not very long. It's only four pages long, is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ACMUI would be interested in having the orders that
2 are currently enforced be codified into Rule and not
3 expanded, and that any additional requirements from
4 the proposed regulations would have an accumulative,
5 negative impact on the access to medical care,
6 basically because of cost.

7 And that licensees have stepped up to the
8 plate on these orders and have expended already lots
9 of limited resources to meet the compliance of the
10 orders. And the other component was the National
11 Source Tracking and the licenses--the licensees
12 activities with that.

13 And, considering that most of the medical
14 community only has to deal with annual inventory,
15 because only the sources that of, that are of, are the
16 major sources of concern are cobalt 60 and gamma
17 stereotactic units and blood irradiators, then maybe
18 they should be allowed to have the most efficient
19 licensee notification process and maybe not
20 necessarily the notification process of choice by NRC.
21 I would like feedback of any of you that
22 have had or read those proposed regulations or other
23 things that need to be included in that report.

24 CHAIR MALMUD: I thank you for the
25 request. Is there any feedback at this time from a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 member of the ACMUI?

2 MEMBER LANGHORST: Mr. Chairman, this is
3 Sue Langhorst.

4 Debbie has tried her best to get our
5 attention, and bless her heart, we've had a few other
6 things on our plate with the patient release report.
7 But with regard to NRC's justification of the new
8 proposed Part 37, they give very good explanation of
9 why it's not ideal to have this kind of regulatory
10 authority reside in license orders. And I am fully
11 supportive of the move to regulatory space, as I call
12 it, of those requirements that are in license orders.
13 But there's not been a good justification of why there
14 is the expansion of requirements being proposed here.

15 One of the issues that I did not fully
16 grasp until I went to -- I think it was the September
17 20th workshop at Headquarters, NRC Headquarters, was
18 that this rule expands this security requirement to
19 licensees who have not had to address it in the past.

20 If a licensee has radioactive material
21 possession limits that are in excess of the quantities
22 of concern, even though they don't have that excess in
23 one space, they will still have to be able to develop
24 an authorization program and do background checks, as
25 Debbie was explaining. And that is a license that may

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not even realize that they will be coming under this
2 rule as it's being discussed.

3 The idea of licensees doing credit checks
4 on all individuals who request unescorted access is
5 quite a substantial requirement. And it is not clear
6 why or what that gains for licensee in being able to
7 evaluate security issues.

8 I understand if people are under a
9 financial pressure that may lead to being coerced to
10 do something. But if a licensee has to do all these
11 various credit checks, it may negatively impact their
12 security program because of the resources they're
13 having to apply to areas of personal information that
14 licensees don't get into at all.

15 Debbie has made clear to several of us
16 that this is a big impact to the Agreement States that
17 may not be able to even do credit checks or evaluate
18 these things on a reviewing officer.

19 So, there's a lot to take in with this
20 proposed rule that it is just yet another upheaval to
21 us licensees with these types of materials that impact
22 our security program just once more. And it is a big
23 effort in a medical environment to keep much needed
24 medical devices that come under this rule. Thank you.

25 CHAIR MALMUD: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Are there other members of the Committee
2 who wish to comment about this issue? No one?

3 MEMBER GILLEY: Dr. Malmud, would you take
4 any comments from the public? I know there are
5 several medical institutions RSOs that are on the
6 call?

7 CHAIR MALMUD: We are always inviting
8 comments from the public. Are there comments from
9 members of the public?

10 All right. If there's anyone on this call
11 who is a member of the public wish to make a comment
12 with regard to this issue?

13 MS. LANGLEY: This is Karen Langley from
14 the University of Utah. And I would second what was
15 just said.

16 CHAIR MALMUD: Thank you. You're seconding
17 the concern about the cost of doing credit checks on
18 individuals seeking authorized user status for
19 radioactive material?

20 MS. LANGLEY: That is correct. It creates
21 quite an additional burden.

22 CHAIR MALMUD: Thank you. Are there other
23 members of the public who wish to comment?

24 MR. OCH: This is Joe Och from Geisinger.

25 CHAIR MALMUD: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. OCH: I second what has just been said
2 considering that a credit check, well you just said
3 that, about an authorized user. I don't think this
4 same level of scrutiny applies to people that are
5 actually going to be doing surgery or medicine.

6 We'll allow them into the OR with no
7 problem. I think we can allow them access here.

8 CHAIR MALMUD: Thank you for that comment.

9 Other comments from members of the public?

10 MR. ALLARD: Mr. Chairman, this is Dave
11 Allard, Pennsylvania. We're going to be submitting
12 some formal comments. But on the credit check aspect,
13 I would think you know in these economic times it's
14 probably fairly common for people to have bad credit.
15 That doesn't really apply to whether their trustworthy
16 and reliable. So, I'd just take that under
17 consideration. Thank you.

18 CHAIR MALMUD: Thank you for the comment.

19 MEMBER LANGHORST: Mr. Chairman, this is
20 Sue Langhorst again.

21 CHAIR MALMUD: Yes, Sue?

22 MEMBER LANGHORST: I've had just a little
23 opportunity to look at the transcript from the cesium
24 chloride workshop that occurred at the beginning of
25 November. And I would just encourage the other ACMUI

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 members to maybe take a look at that at some of the
2 public discussions that's going on with regard to even
3 Part 37. Thank you.

4 CHAIR MALMUD: May I ask you what
5 conclusions you drew from your experience?

6 MEMBER LANGHORST: I can't say that I've
7 drawn any conclusions yet, because I've only just
8 looked at them briefly and have not had time to study
9 them. I don't know if any of our members of the
10 Committee went to that workshop in November

11 CHAIR MALMUD: Are there any other members
12 of the Committee who attended that workshop.

13 MR. LEWIS: Dr. Malmud, it's Rob Lewis.

14 I don't believe any ACMUI members were
15 there. But I could offer a point of view. I was
16 there.

17 CHAIR MALMUD: Please do.

18 MR. LEWIS: We had two medical licensees
19 there, there were several but two gave presentations
20 in some of the sessions. And I believe a gentleman
21 from Harvard and a gentleman from the Mayo Clinic in
22 Jacksonville, Florida.

23 And many of the same issues that we have
24 just heard were raised, particularly credit checks was
25 a big issue. But I will say that those people also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 made some positive comments about some of the things
2 in Part 37. In particular the new requirement to have
3 a security plan. In their case, at least, that's
4 something that they have anyway, so they don't see
5 that as an additional burden to do even though the
6 increased controls orders did not require a security
7 plan in writing.

8 Other aspects of the regulation. The
9 possession limits, I think that it was the -- the
10 possession limits were always the key factor, not what
11 was actually possessed. At least the way the NRC did
12 the increased control orders. We issued them to
13 anybody who had a possession limit who could possess
14 that material, whether they possessed it or not. And
15 the question in the orders was whether you needed to
16 implement. And all people were ordered based on
17 possession, and only a subset of those had to
18 implement based on actual possession.

19 And I think it is true there is some
20 different language in the new rule, although I think
21 the rule was never that different. That possession
22 limit defines certain things to do, but actual
23 possession defines many more things to do.

24 And the transcript for the cesium chloride
25 workshop is available on the NRC website. If you just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 go to -- and I think click key issues and cesium
2 chloride, you'll find the transcript. There's a page
3 dedicated to the cesium chloride policy statement.

4 The other aspect of that meeting, of
5 course, it was very narrowly focused on cesium
6 chloride, but the take home message that we got from
7 the entire workshop was the policy statement on cesium
8 chloride, which is a little different issues than Part
9 37. Most people think the draft policy statement hit
10 the mark very well.

11 So, and that's a summary of some of the
12 Part 37 things that were said at the cesium chloride
13 workshop.

14 When the time is right, I do have a
15 logistical problem to bring up before the Committee
16 about the Part 37 comments. Thank you.

17 CHAIR MALMUD: Are there any other comments
18 from members of the public or from members of the
19 Committee? I have a question for NRC Staff. Does this
20 item on the agenda require action on our part?

21 MR. LEWIS: No, I don't believe it does.

22 CHAIR MALMUD: For information only?

23 MR. LEWIS: Well --

24 MEMBER GILLEY: Not exactly. This is
25 Debbie Gilley. I think the NRC wants a report from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ACMUI on Part 37, am I correct?

2 MR. LEWIS: I don't recall that we've
3 asked for a report on Part 37. But the Committee, of
4 course, is very welcome to submit public comments.
5 And that was my logistical problem. Maybe the time is
6 right now, Mr. Chairman.

7 CHAIR MALMUD: The time is now. Please
8 present your logical problem or the logistical problem
9 has already been presented.

10 MR. LEWIS: Yes. I think the comment
11 period for this rule, which has already been extended
12 post January 18th, and I think that if the Committee
13 as a group were looking to submit a public comment
14 letter on the rule, this may be the last opportunity
15 today to do something. But if the Committee as
16 individual Committee members were to submit comments,
17 of course, then that issue is solved. But we do have
18 a January 18th deadline for comments, public comments,
19 on this proposed rule.

20 CHAIR MALMUD: If I may, I'll ask a
21 question then. Who bears the economic expense of
22 doing the credit check on an individual at a
23 particular institution? Is this going to be borne by
24 a federal agency or by the mother institution?

25 MR. LEWIS: Yes, the licensee.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR MALMUD: The licensee? So this is
2 an additional expense for the licensee?

3 MR. LEWIS: Yes.

4 MEMBER LANGHORST: Mr. Chairman, this is
5 Sue Langhorst.

6 CHAIR MALMUD: Yes, Sue?

7 MEMBER LANGHORST: We have estimated that
8 we currently for our need-to-know access, people spend
9 about \$1100 per person on the current review under
10 increased controls and about \$1200 per person on
11 unescorted access.

12 We estimate because of the time involved
13 in the discussions with individuals about credit
14 reports and other background checks that those costs
15 will about double per person. And so effectively
16 diminish the number of people that we will have who
17 can have access to these much needed research
18 instruments and medical equipment.

19 CHAIR MALMUD: Those are the kinds of data
20 that I was hoping you would present. And how many
21 individuals currently would you have to document?

22 MEMBER LANGHORST: We believe of the order
23 of around a couple of hundred.

24 CHAIR MALMUD: So if it were several
25 hundred and it's about another \$200,000, give or take,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from what you said, it's a substantial expense?

2 MEMBER LANGHORST: As with any research
3 university, medical use university, we have people
4 coming and going all the time. And so it is we
5 estimate about a 100 or so that go through both those
6 different levels in any one year. And the new
7 regulations will require us to redo background checks
8 every ten years, although that should be a little
9 easier because people will have tended to stay here
10 for ten years and have fewer local law enforcement
11 checks required on them.

12 CHAIR MALMUD: So for your institution it
13 would be about \$200,000 per year?

14 MEMBER LANGHORST: At least.

15 CHAIR MALMUD: And one could extrapolate
16 that to other larger research institutions as well.

17 So the answer to the question from NRC
18 Staff is that the ACMUI Committee members and the
19 public is concerned about the expense entailed in
20 meeting these requirements. It's essentially a mandate
21 without funding. Does that answer your question, Rob?

22 MR. LEWIS: Yes.

23 MR. EINBERG: Chris Einberg once again.

24 CHAIR MALMUD: Yes.

25 MR. EINBERG: If the Committee wanted to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 make a formal comment or discuss this further and to
2 solidify the Committee's view on this, there may be an
3 opportunity to have another teleconference in the
4 January time frame. As we had discussed about a week
5 ago, we discussed having a teleconference to discuss
6 the reporting structure of the Committee.

7 CHAIR MALMUD: Yes.

8 MR. EINBERG: And this could be an
9 opportunity to use that same teleconference in the
10 January time frame to solidify the ACMUI views on this
11 and to formally submit something to the NRC.

12 CHAIR MALMUD: So your suggestion is that
13 our teleconference in January we discuss two issues
14 thus far. One is the ACMUI reporting mechanism versus
15 the ACRS reporting mechanism, that's item 1. And
16 number two is the concern about members of the public
17 and the Committee members themselves regarding the
18 unfunded mandate for a census of doing credit checks
19 on individual who will be handling radioactive
20 material?

21 MR. EINBERG: Yes, that is correct.

22 However, you would need to have something that the
23 ACMUI would be voting on. So, one of your members
24 would need to put together some type of document that
25 you'd be voting on and endorsing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER GILLEY: Dr. Malmud, Debbie Gilley.
2 There is a draft document that's circulating around a
3 Subcommittee. It's just we did not have time to
4 finalize it as a Subcommittee report to bring it
5 before the Committee today.

6 CHAIR MALMUD: Thank you, Debbie. I don't
7 believe I've seen it. Am I correct that I have not?
8 It's not been sent to me yet?

9 MEMBER GILLEY: No, sir.

10 CHAIR MALMUD: So that might be a good
11 basis for discussion. May I ask a question of a member
12 of the public? Dr. Vetter, are you still with us from
13 the Mayo Clinic?

14 DR. VETTER: I am still with you, but I
15 represent the Health Physics Society.

16 CHAIR MALMUD: Oh, okay. Do you have any
17 concerns about this additional mandate with regard to
18 credit checks?

19 DR. VETTER: The Health Physics Society
20 has not taken a position on that particular issue.

21 CHAIR MALMUD: Thank you. Have you any
22 other comments?

23 DR. VETTER: The only other comment I
24 would make is that the Health Physics Society would be
25 in favor of expanding security to include Category 3

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sources, but they would not be in favor expanding
2 increased controls.

3 CHAIR MALMUD: Could you elaborate on
4 that, please?

5 DR. VETTER: Well the increased controls
6 are those regulations that require the credit
7 checks, fingerprinting and so forth. And they are
8 currently required for Category 1 and 2 sources.

9 CHAIR MALMUD: Yes.

10 DR. VETTER: But the Health Physics
11 Society would not be in favor or expanding increased
12 controls to include Category 3, but they do believe
13 that increased security would be appropriate for
14 Category 3.

15 CHAIR MALMUD: Thank you. Has the Society
16 sent anything by mail yet to the NRC regarding their
17 position?

18 DR. VETTER: They have not, but they do
19 plan to submit comments by the January 18 deadline.

20 CHAIR MALMUD: Thank you. My inquires are
21 intended to invite you to do so.

22 DR. VETTER: Thank you for the opportunity
23 to share our comments.

24 DR. VETTER: Thank you.

25 MEMBER FISHER: Dr. Malmud?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR MALMUD: Yes.

2 MEMBER FISHER: Darrell Fisher.

3 CHAIR MALMUD: Yes.

4 MEMBER FISHER: I think of more concern in
5 terms of cost may be the proposed requirements under
6 subpart (b) access authorization program, which would
7 require a background investigation on persons having
8 access to these Category 1 and Category 2 sources.

9 My understanding is that a full background
10 investigation could be much more expensive than a
11 security -- then a credit check.

12 MEMBER GILLEY: That is actually kind of
13 correct. There are nine items that are required for
14 the background check. The credit report is just one
15 of those.

16 CHAIR MALMUD: This is Malmud. I have a
17 question for Debbie Gilley. When you approximated
18 1100 dollars for one item and 1200 dollars for
19 another, I rounded it off to 2000 dollars. Didn't
20 that include the background, the 2000 dollars?

21 MEMBER GILLEY: Dr. Langhorst is the one
22 who provided you those numbers based on her
23 institution.

24 CHAIR MALMUD: Excuse me. Then I'll
25 address my question to Sue Langhorst.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER LANGHORST: Yes. That includes
2 credit checks, background checks and so on, and the
3 time it takes to gather all those from every state or
4 foreign nation that the person has resided in.

5 CHAIR MALMUD: Thank you. Thank you.
6 So the number that I rounded off very approximately to
7 2000 dollars did include the background check. It
8 really boils down to, other into the intrusion into
9 one's privacy, it boils down to an expense which is
10 not minimal in a large institution. Would that cover
11 the spirit of your concern?

12 MEMBER LANGHORST: Yes, that's correct.
13 And also, this is not the only security requirement
14 that is being imposed on us. There are select agents,
15 chemical security and so on. And anytime that the
16 Federal Government adds these things if they can
17 coordinate the effort so that there is a combination
18 that if you could pass with one, you could pass with
19 the other, that would also be helpful. But that's not
20 currently being looked at by all these different
21 federal agencies adding these security requirements.

22 CHAIR MALMUD: Yes, I have to agree with
23 you. But, hopefully, these things will be coordinated
24 at some time in the future.

25 Well, all right. Then we will have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Committee meeting in January to discuss this item as
2 well. And if that document could be presented to the
3 members of the Committee in advance, the draft of the
4 document, we'll have something to work with before the
5 Committee actually meets in January. And I thank you
6 for that.

7 MR. EINBERG: Dr. Malmud, could we ask
8 Ashley to coordinate the dates for this next
9 teleconference?

10 CHAIR MALMUD: Yes, of course.

11 MR. EINBERG: Ashley, would you be so
12 kind?

13 MS. COCKERHAM: I'm sorry. I'm just making
14 sure it's before January 18th.

15 MR. EINBERG: Okay. Did you want to do
16 that right now while you have all the Committee
17 members on the line?

18 MS. COCKERHAM: I think it may be easier
19 if I pick like three different days, three different
20 times. I don't know, unless everyone has their
21 calendars right now, we can do it.

22 MR. EINBERG: However you normally do, it
23 Ashley.

24 MS. COCKERHAM: For teleconference I would
25 typically send out options in an email and ask for a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 response within a couple of days as to everyone's
2 availability to pick the date that works best for
3 everyone.

4 MR. EINBERG: Okay. That's fine with me
5 if it's fine with Dr. Malmud.

6 CHAIR MALMUD: Yes, it's fine with me.
7 The best days would be probably be Wednesday
8 afternoons. If not, we could try a Monday again, but
9 obviously not the 3rd.

10 MS. COCKERHAM: Okay. Monday morning
11 option.

12 CHAIR MALMUD: Afternoons I think are --
13 my experience has been that afternoons are better for
14 most members of the Committee.

15 MS. COCKERHAM: Okay. And we do have
16 people on the West Coast, so I know it's still morning
17 for them.

18 CHAIR MALMUD: All right. We'll look
19 forward to hearing from you about that.

20 MS. COCKERHAM: Okay.

21 CHAIR MALMUD: And if we may, we'll move
22 on to the next item.

23 MEMBER GILLEY: Thank you.

24 CHAIR MALMUD: Thank you. The next is just
25 the impact of the draft safety culture statement for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 medical licensees. And who is going to lead off on
2 that?

3 MS. SCHWARTZ: That is Maria Schwartz.
4 I'm with the Office of Enforcement. I'm here with
5 Kitty Thompson and Dave Solorio from the Office of
6 Enforcement as well.

7 CHAIR MALMUD: Okay.

8 MS. SCHWARTZ: I don't know what you would
9 like us to do. Whether you'd like us to do a little
10 presentation of what we've done since we last met with
11 you.

12 CHAIR MALMUD: Yes, please.

13 MS. SCHWARTZ: Okay. Well, all right. Let
14 me do that then. What we're looking for today is a
15 motion in support of the revised draft safety
16 Statement of Policy. And since we last met with you we
17 have made a few revisions to the Statement of Policy,
18 two of which were made in response to suggestions or
19 comments that you made at our last meeting. So,
20 specifically I'd like to go over those.

21 First, we added language indicating that
22 the Commission is aware that the Statement of Policy
23 is applicable to a diverse group of organizations and
24 that the Commission will take that what various
25 organizations have already done during implementation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 so that there will be awareness that some
2 organizations have already expended significant time
3 and resources.

4 And then the second thing we added was a
5 ninth trait questioning attitude. And we did that to
6 address concerns about complacency.

7 Then based on other comments from the
8 September 17th Federal Register notice, we added
9 additional language regarding the use of rewards as a
10 means for encouraging certain behaviors. We wanted to
11 make sure that people looking at that concept of
12 rewards would recognize that some monetary incentives
13 or other reward programs can work against making a
14 safe decision.

15 So, the seven items that I guess we would
16 like to highlight in the final draft Statement of
17 Policy is that:

18 First, the Statement of Policy adopts the
19 definition and traits that were developed at the
20 February 10, 2010 workshop, which have gotten
21 consensus from stakeholders during our outreach. And
22 that includes the preamble and addressing security
23 since the term security has not been included in the
24 definition or the traits.

25 The second thing is that the Statement of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Policy itself includes the traits, whereas the draft
2 Statement of Policy did not. The draft statement had
3 a lot more description and it was more down into
4 almost implementation space. The traits that we have
5 now which were the ones that were developed at the
6 2010 workshop are very high level, and they are very
7 just descriptive of the sorts of things that people
8 should be considering when they are developing their
9 own implementation strategies.

10 The third thing to notice that
11 implementation is not directly addressed in the policy
12 statement. It just provides our overarching Statement
13 of Policy.

14 Then as I mentioned, it recognizes the
15 diversity of the various organizations.

16 And then in our September 17th Federal
17 Register notice we discussed the differences between
18 a regulation and a policy statement and why the
19 Commission at this time believes that moving forward
20 with a Statement of Policy is the more appropriate way
21 to engage stakeholders. And since we are now moving
22 forward with a Statement of Policy I think that
23 indicates why that we are going in that path.

24 We also added vendors and suppliers,
25 safety related components. They've been included in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the Statement of Policy.

2 And finally, as I mentioned, we added the
3 ninth trait, questioning attitude to address
4 complacency.

5 So that's kind of a brief overview of
6 where we are since the last time we spoke.

7 CHAIR MALMUD: Thank you. And are we asked
8 to take any action on this?

9 MS. SCHWARTZ: We're asking you to
10 endorse, I guess to do a motion in support of the
11 Statement of Policy.

12 CHAIR MALMUD: Do I hear such a motion?

13 MEMBER ZANZONICO: This is Pat Zanzonico.
14 I had a couple of issues with the draft SOP. And, you
15 know as far as I can tell it at no point did I see any
16 consideration of those issues. So, I'd like to re-
17 raise them if I may.

18 CHAIR MALMUD: Please do.

19 MEMBER ZANZONICO: Okay. The first issue
20 was I didn't see any mention of redundancy. And it
21 seemed that in any safety culture, and again this may
22 be getting a bit down into the weeds in terms of
23 implementation, but I think it would be important that
24 in an SOP to at least mention redundancy as a general
25 approach or a general feature of safety culture.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I had originally submitted that comment in
2 September -- or October, rather, after having seen the
3 September draft. And I still maintain that's a note
4 worthy feature of a safety culture as included among
5 the traits.

6 And the second issue, which I think at
7 least needs to be acknowledged, I'm not even sure how
8 it should be addressed, but at least acknowledged is
9 the issue in terms of safety culture of an
10 organization like a hospital in which in some
11 instances there may be a conflict between safety at
12 the admission of the entity, of the licensee. And by
13 that I mean an instance, where for example a post-
14 therapy patient has some acute event and needs some
15 acute hands-on medical care.

16 Now in an instance like that safety,
17 frankly, is taking a backseat to delivering the
18 appropriate medical care. And I know that this
19 document -- or I shouldn't say I know. It appears
20 that this safety culture document widely grew out of
21 the power industry. But it was going to be applied
22 across all licensees.

23 And again, I don't know in what form, but
24 there should be some acknowledgement that there are
25 issues operated to things such as cost, and so forth

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and so on, where safety may in effect in conflict with
2 other appropriate features and so forth and practices
3 of the licensee. Again, I submitted that comment as
4 well. So those are my two comments:

5 (1) Number one that redundancy should be
6 incorporated into the traits of a safety culture, and;

7 (2) Some acknowledgement that in the
8 medical setting staff's safety may in effect be in
9 conflict with other issues.

10 So those are my comments.

11 MS. SCHWARTZ: Okay. And Dr. Zanzonico,
12 I think that you sort of hit the nail on the head when
13 you talked about the redundancy in terms of it being
14 something that we would be really considering and each
15 Program Office would be looking at during
16 implementation.

17 I think that comes under and probably in
18 that mind-mapping exercise that we had at the February
19 workshop, things like that did come up because of
20 course with power reactors redundancy is a big issue.
21 But one of the traits that we developed or the
22 workshop panelists developed, was work processes in
23 which the process of planning and controlling work
24 activities is implemented so that safety is
25 maintained. And I would believe that that redundancy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would be one of the things that would be considered
2 under that trait.

3 I mean, the traits that we have now are
4 very high level, and so they really have a lot of
5 different pieces underneath them that would be part of
6 the implementation piece of this. And it would be
7 done during that implementation phase.

8 As far as the other discussion, I don't
9 know if James Firth is on the line and he could pick
10 that up. Because we have talked about that before
11 with the patient safety versus use of materials. And
12 my understanding would be that we wouldn't be
13 discounting the fact that the patient had undergone
14 some kind of treatment that involved nuclear
15 materials, but that the patient's safety, of course,
16 is the primary thing that a doctor is considering. But
17 they shouldn't be in direct conflict. It would be
18 very unusual that that would happen.

19 MR. FIRTH: Yes, this is James Firth. We
20 have received a number of comments in terms of how the
21 medical community addresses patient safety versus
22 other forms of safety. And I guess as a separate
23 example, the National Transportation Safety Board has
24 looked at the safety of its personnel when they're
25 going out to get to airplane crashes. That they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mandated that their personnel should not be driving
2 while on the cell phones because it's important that
3 they get to the site safely as well as being able to
4 get there and to look at the safety of the people that
5 might be involved in the accident.

6 So, safety is not one-dimensional. So, in
7 say a hospital setting there's aspects in terms of the
8 safety of the patient, safety of the medical personnel
9 that just couldn't be somewhat -- it's multi-
10 dimensional. So things are going to be having to be
11 looked at.

12 And it's not in terms of: (a) culture of
13 the organization. If the organization is rational
14 about how it's making its decision and how people are
15 acting, then that's still okay. If people are
16 grossly negligent in terms of recklessly trying to do
17 things that they're not asking those questions as
18 they're acting, that might be a little bit more of a
19 concern.

20 But I guess, Dr. Zanzonico, in terms of
21 your question we did not specifically get into the
22 details of that interplay in the Statement of Policy.
23 We've kept it at a very general level.

24 It looks like it would be something that
25 as we get into things here within what we would do in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 terms of medical licensees, whether it's infections or
2 guidance, or what have you, that would be something
3 that FSME would develop further and in more detail
4 then.

5 CHAIR MALMUD: This is Malmud, if I may,
6 Dr. Zanzonico made a very important point, though, and
7 that is that a good safety programs includes
8 redundancy. And I believe that the word "redundancy"
9 is not used in the document, is it?

10 MS. SCHWARTZ: No, it's not.

11 CHAIR MALMUD: Would the document not be
12 improved by the use of that word since we use
13 redundancy in patients who are being prepped for
14 surgery and so on. It's routine. I use redundancy in
15 treating a patient with radioiodine, everything is
16 double checked even though it's obvious that it isn't
17 necessary. But nevertheless, checking it off and
18 documenting it makes a mistake less likely. It's like
19 a "time-out" before surgery.

20 So I think Dr. Zanzonico's recommendation
21 is one that might be worthwhile incorporating.

22 MS. SCHWARTZ: And I don't discount that
23 at all. Because I believe redundancy, as you
24 mentioned for yourself and probably for any number of
25 our licensees and certificate holders, is a really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 important consideration.

2 The checklist that you mentioned also are
3 ways of making sure that you've not only looked at it,
4 but you've gone back and checked that you've looked at
5 it. And that kind of redundancy as a surgical patient
6 myself I can really appreciate some of the things that
7 I've seen in operating rooms that ensure that
8 everybody is on the same page of music before you
9 start an operation.

10 But this is something that we really will
11 be addressing at the implementation stage. And each
12 type of organization or licensee will have different
13 needs and different ways of addressing redundancy.
14 And what we were doing under this work practices and
15 procedures would be to ensure that people are starting
16 to consider those very sorts of things as they are
17 thinking about ways that they want to implement a
18 safety culture or have started probably, probably and
19 have started implementing a safety culture. So that's
20 why it's not called out specifically in this higher
21 level overarching safety policy statement.

22 CHAIR MALMUD: I see. But you anticipate
23 that it will be?

24 MS. SCHWARTZ: Absolutely.

25 CHAIR MALMUD: Thank you. Does that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 address your concern, Dr.Zanzonico?

2 MEMBER ZANZONICO: This is Pat Zanzonico.
3 Well, I think there's so much that we'll agree to
4 disagree. I think it would be worthwhile to have
5 redundancy explicitly incorporated into a general
6 document or general SOP such as this. But certainly
7 if subsequent documents that go out of this were
8 included, I could live with that. But, again, we can
9 agree to disagree. I would still recommend including
10 it explicitly in this document, but if for other
11 reasons that was decided not to be done, I could live
12 with that.

13 MS. SCHWARTZ: One of the things that
14 we've called to the Commission's attention is the fact
15 that many of the comments that we've received from
16 stakeholders indicate that there's a real desire to
17 continue working with the Commission as implementation
18 proceeds so that there'll be various workshops, like
19 we had the workshop in February, where people will get
20 together from various organizations and look at what
21 they really need to do to ensure that this safety
22 culture is implemented in their own organization most
23 effectively. And this is where that will be coming
24 up.

25 MR. FIRTH: This is Jim Firth. If I could,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I guess, a couple of things. One is that the way the
2 Statement of Policy is written it indicates that there
3 may be some other examples of safety culture traits
4 that were not listed in the Statement of Policy. I
5 just wanted to mention that.

6 If the Committee is interested in either
7 going on record of having redundancy included in the
8 Statement of Policy or specifically included in other
9 things that we would consider later, you may want to
10 consider a motion to do that for the record. Because
11 the next step is pretty much for the proposed
12 Statement of Policy to be provided to the Commission
13 for their approval. And they may be making some
14 changes as well. So any recommendations that you have
15 could influence a Commission decision as well as what
16 that might do for the FSME implementation.

17 CHAIR MALMUD: Thank you. With that, would
18 you care to make a motion Dr. Zanzonico?

19 PARTICIPANT: Can I ask something?

20 MEMBER ZANZONICO: Yes, I'd be happy to.
21 So I would move that the trait or characteristic of
22 redundancy be explicitly incorporated into any SOP
23 and/or related document on safety culture.

24 CHAIR MALMUD: That's a motion. Is there
25 a second to the motion?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER WELSH: I second it.

2 CHAIR MALMUD: Who seconded it, please.

3 MEMBER WELSH: Jim Welsh.

4 CHAIR MALMUD: Oh, thank you, Dr. Welsh.

5 Now, is there any discussion of the motion?

6 VICE CHAIR THOMADSEN: Yes, this is Bruce
7 Thomadsen.

8 CHAIR MALMUD: Yes.

9 MEMBER THOMADSEN: And I'd like to speak
10 against that. Redundancy is great, we use it a lot.
11 But it's one tool out of a huge toolbox. It's not the
12 essential tool. There's a lot of other tools that
13 could do a stellar thing.

14 I don't think it's appropriate to single
15 out that one tool in this high level document.

16 CHAIR MALMUD: Thank you, Dr. Thomadsen.
17 Other comments regarding this?

18 MR. SOLORIO: Dave Solorio from the NRC
19 Staff.

20 CHAIR MALMUD: Yes.

21 MR. SOLORIO: I just wanted to add to a
22 prior discussion before Dr. Thomadsen. I hope I
23 pronounced his correctly.

24 The higher level traits that we have right
25 now were really developed by a large group of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 individuals from varying backgrounds. So what we have
2 here is some terminology that, hopefully, the reactor
3 community, the materials community, the medical
4 materials community, the medical industrial community
5 could agree on at a high level. And that once they
6 got to the point of actually trying to implement these
7 higher level traits, each entity organization, you
8 know each area for instance medical materials, would
9 then take those high level traits and put them in
10 language that means a lot more sense to the medical
11 community, and the industrial materials folks would do
12 the same, and the reactor folks would do the same.

13 So, that's what we were trying to speak to
14 as far as implementation. And if in fact the medical
15 community believed that diversity was important, then
16 they would build it into their programs under the
17 general area of work practices. And that's kind of how
18 the high level language came about.

19 Just wanted to add that. Thank you.

20 CHAIR MALMUD: Thank you. Other comments?

21 MEMBER ZANZONICO: This is Pat Zanzonico
22 again. Your comments are very well taken, and actually
23 the emphasis on the higher level language in this
24 document, in fact I'm going beginning to appreciate
25 it, it's a bit of legalese to me. But I understand

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the intent.

2 My concern is, for example, if you look
3 through the traits of a safety culture, and this is
4 not a rhetorical question: Which of those would
5 happen might imply or lead to redundancy, in lack of
6 a better term, lower level language in an
7 implementation document?

8 PARTICIPANT: The work processes in which
9 the process of planning and controlling activities is
10 implemented, where the safety is maintained. Also
11 when you think about it, you could even put it under
12 problem identification and resolution where you look
13 at a situation and realize that adding a layer that
14 addresses a redundancy is something that needs to be
15 done in order to more effectively address a situation.

16 I think there's various ways. Even
17 question attitude, which was added, when you're
18 looking at all the various process you might say in
19 this case we need to add another layer that addresses
20 redundancy. But again, this is something whereas this
21 progresses in implementation phase, each of the
22 organization's entities that's involved would
23 determine how to carve that out most effectively for
24 their own organization. Because redundancy for a
25 nuclear power plant is going to be different than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 redundancy for an individual in a hospital.

2 CHAIR MALMUD: Thank you. So it sounds as
3 if you've anticipated these things.

4 Dr. Zanzonico, would you like to leave
5 your motion on the table, table it or withdraw it?

6 MEMBER ZANZONICO: I think I'm convinced.
7 I think I will withdraw it and based on the last
8 series of comments.

9 I mean, of course I think it's still an
10 essential component of a safety program. But perhaps
11 given the language and so forth in this current draft
12 SOP it's better left for a subsequent implementation
13 document. So, on that basis I would withdraw it.

14 CHAIR MALMUD: Thank you. And I might add
15 that your mere raising of the subject documents it in
16 perpetuity. So you should feel reassured by that as
17 well.

18 Yes? Who is speaking, please?

19 MEMBER VAN DECKER: This is Bill Van
20 Decker. How are you?

21 CHAIR MALMUD: Dr Van Decker. Fine, thank
22 you.

23 MEMBER VAN DECKER: Can I ask a question
24 of our presenters here? Because my small mind is
25 still trying to grapple with some of this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 You know, and my perspective obviously,
2 Statement of Policy is something like from "Pirates of
3 the Caribbean," it's kind of kind guidelines. And I
4 think that we certainly would not argue with the
5 philosophical goodness of some of the things that are
6 laid out here, and we'd like to say we're reaching for
7 those types of philosophically good traits.

8 But I guess my question becomes the more
9 pragmatic from North Jersey, you know coming out of
10 the Enforcement Section here. Do we see citations
11 coming out because somebody didn't follow trait 6, or
12 somebody didn't follow trait 4? Do we see this
13 implementation phase being the devil is in the details
14 and that there will be specific markers of
15 implementation for these traits that then become part
16 of regulatory guidance or even regulatory space? I'm
17 just trying to get a feel for it down the line. This
18 should be something that I think we would all support
19 philosophically and all would hope to believe we're
20 doing?

21 MS. SCHWARTZ: Well, the devil is in the
22 details in the sense that at the implementation phase
23 each one of our organizations and the entities that
24 are involved will have to figure out what is the
25 approach that they should be taking. And that will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 require a lot of brainstorming and a lot of further
2 thought.

3 The NRC is trying very hard at this point,
4 the Commission is specifically trying very hard to
5 make sure that we're focusing on a safety first
6 approach to the way that activities are conducted.
7 And this policy statement is a way to do that without
8 getting into regulation.

9 Right now they really want to engage
10 stakeholders into a thought process. Our mission as
11 an agency is to provide for adequate protection of the
12 public health and safety. When an entity has a safety
13 first focus, they can ask a lot more of themselves as
14 the regulated -- I mean you're responsible for safety.
15 We're responsible for providing for adequate safety.
16 You're responsible for safely using your materials.

17 And whenever you engage in any activity,
18 of course you're going to be focusing on safety. But
19 we're not using this as a check list to go into
20 enforcement space. We're using this as an opportunity
21 for people to consider sort of a way of looking at the
22 spirit of the law, so to speak. Instead of when you're
23 faced with choices that you consider the safe use
24 above anything else.

25 And production is a big deal. I mean, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 live in a society that is very focused on money. So,
2 you know this is a way of saying we want a regulated
3 community to really consider the important aspects and
4 the impacts a safety culture can have on it.

5 MR. FIRTH: This is James Firth. I could
6 add that, I mean if you look at the safety culture
7 traits, a lot of these are embedded either implicitly
8 or explicitly in the existing regulations. So going
9 forward, what I think you can foresee is that similar
10 to what we we're doing today, which is the violations
11 are based on the requirements that are in place, is
12 what would happen.

13 If, in the course of doing that, the root
14 cause is indicating that there's weaknesses in an area
15 that's important to safety culture, that might be an
16 element of discussion between NRC and the licensee,
17 for example. It's not that we would be fighting
18 against weaknesses in the trait, but to help the
19 licensee to perform better there would be that
20 discussion, or if something does not rise to the level
21 of a violation, if certain things are seen by
22 inspectors, they might use some of the language in the
23 policy statement that might help the licensee identify
24 things earlier so that they might make corrections
25 before something more significant might have happened

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 later.

2 So, a lot of it is in terms of
3 communication, trying to address things early before
4 violations. But it's not necessarily in terms of --
5 it's at an informal level because the licensee are
6 responsible for their own activities in terms of what
7 they're doing for safety culture.

8 MEMBER VAN DECKER: Thank you.

9 CHAIR MALMUD: Dr. Van Decker, does that
10 address your concern?

11 MEMBER VAN DECKER: Well, I believe it is
12 adequate. There's an explanation of where we're
13 heading, and I appreciate it.

14 CHAIR MALMUD: Thank you. Now may I ask
15 what is sought of the Committee today with respect to
16 the issue of this? An endorsement?

17 MS. SCHWARTZ: Yes. Some kind of a motion
18 in support of the revised draft of the Statement of
19 Policy.

20 CHAIR MALMUD: Is there anyone who wishes
21 to make that motion?

22 MEMBER LANGHORST: This is Sue Langhorst.
23 I so move.

24 CHAIR MALMUD: Is there a second to the
25 motion?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZANZONICO: Yes. This is Pat
2 Zanzonico. I'll second it.

3 CHAIR MALMUD: Thank you. The motion has
4 been made and seconded. Any further discussion?

5 VICE CHAIR THOMADSEN: Mr. Chairman?

6 CHAIR MALMUD: Yes.

7 VICE CHAIR THOMADSEN: It's Bruce
8 Thomadsen.

9 CHAIR MALMUD: Yes.

10 VICE CHAIR THOMADSEN: And this morning I
11 sent out my comments on this draft. It's the third
12 draft that I have commented on.

13 And just as preparation, I would like to
14 point out, as I did in those comments, that I have
15 taught the Patient Safety Course at University of
16 Wisconsin for over a decade. As part of that course
17 I teach about safety cultures, I teach about the
18 tools, I teach the importance of all these aspects in
19 patient safety.

20 Looking at the document and being asked to
21 support it, is like having your son come to you and
22 start out by saying "Dad, don't you agree that bonding
23 time is good in principle?" And, of course, expecting
24 if you agree, to go on with enforcement of how that
25 might look.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 It's very hard to really make an
2 endorsement of a policy statement like this without
3 knowing what it's going to look like in the end. And
4 it's quite clear that that isn't known yet.

5 It's sort of like going to war without an
6 exit strategy or just starting out for a vacation
7 getting into the car and driving and deciding later
8 where you'll go.

9 That being said, I think there are
10 problems with the policy statement, many which I have
11 pointed out in the document with almost each of the
12 traits that are listed. But I think the big problem
13 is trying to assess whether or not this is a
14 reasonable policy without having any idea where its
15 headed.

16 I think we would be remiss to the medical
17 community to endorse these statements and not know how
18 they're going to be used in evaluation of programs,
19 possibly against people despite the vague assurances
20 that we've been given at this meeting.

21 I realize that this is like trying to stop
22 a freight train at the moment. That it's on the way,
23 it's not going to be stopped. But I think that we at
24 least do not have to let it go by without comment.

25 CHAIR MALMUD: Well, thank you. That's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exactly what we're being asked for.

2 VICE CHAIR THOMADSEN: I realize. I'm
3 speaking against the motion, obviously.

4 CHAIR MALMUD: Thank you. Are there any
5 other comments for or against the motion? I think
6 we've now heard two which I would interpret as being
7 at least of concern if not, frankly, against the
8 motion. Dr. Van Decker's as well as yours, Dr.
9 Thomadsen with the same concern, and that is how will
10 this be enforced and will the end result of this be
11 enough anxiety to actually diminish the availability
12 of services.

13 MR. FIRTH: This is James Firth. If I
14 could say something either in favor or against the
15 motion. But that is that if there are other concerns
16 that the Committee may have that you might want to do
17 a separate motion, that might clarify that, we'd be
18 interested in terms of getting a feel for any of the
19 other issues that you'd like to raise.

20 MEMBER LANGHORST: Mr. Chairman, this is
21 Sue Langhorst.

22 CHAIR MALMUD: Yes, Sue?

23 MEMBER LANGHORST: I'm glad that Bruce
24 made his motion and his comments. And I while making
25 the motion, was hoping that that would then bring in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that discussion.

2 I, too, am concerned about how this will
3 ultimately be used. I think they're very clear. NRC
4 has been very clear in their statement that the policy
5 statements cannot be considered binding upon or
6 enforceable against NRC or Agreement State licensees
7 and certificate holders. And I hope that guidance
8 that gets developed from this we can at least have
9 some initial look at that to make sure that that is
10 how policy statements continue to go. Thank you.

11 CHAIR MALMUD: It isn't clear to me
12 whether you were speaking in favor of or against the
13 motion.

14 MEMBER LANGHORST: I like the idea of
15 bringing the ideas that this policy statement brings.
16 But I agree with Dr. Thomadsen that I am concerned of
17 how it will be used. And so I made the motion so that
18 we could get to this discussion point.

19 I would say that if we want to have a
20 separate motion of our concern about how ultimately
21 the policy will be used, I would be in favor of
22 supporting the current policy as it stands, but with
23 that concern that we've voiced here about how it will
24 be used.

25 MEMBER SULEIMAN: This is Orhan. How was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the motion exactly worded again?

2 CHAIR MALMUD: Will the individual who
3 made the motion please clarify his or her statement?

4 MEMBER LANGHORST: This is Sue Langhorst.

5 I believe I made the motion in support of
6 the policy. And so I am just also voicing that I have
7 the same concern that Bruce does, how it will be used.
8 And so I am -- I can amend my motion that we support
9 it but we are concerned of ultimately how it will be
10 used against licensees.

11 CHAIR MALMUD: That's an amendment to a
12 motion.

13 MEMBER LANGHORST: This is Sue Langhorst.
14 That's correct.

15 CHAIR MALMUD: Who seconded the motion?

16 MEMBER ZANZONICO: Pat Zanzonico.

17 CHAIR MALMUD: Are you willing to second
18 the amended motion?

19 MEMBER ZANZONICO: Yes.

20 CHAIR MALMUD: So the new motion has been
21 moved and seconded that there is approval but concern
22 for the manner in which it will be effected and
23 interpreted. Is that a fair statement.

24 MEMBER LANGHORST: This is Sue Langhorst.
25 I'll agree to that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR MALMUD: Any further discussion of
2 this amended motion?

3 VICE CHAIR THOMADSEN: Yes. Mr. Chairman.

4 CHAIR MALMUD: Dr. Thomadsen.

5 VICE CHAIR THOMADSEN: If we were voting
6 on that, then I would say I also have issues with the
7 statement itself. While the principles themselves are
8 very nice traits, if they are being used in any way,
9 and this is separate from how its being enforced, to
10 push organizations to try to look like this, like
11 what's shown, it's going to have quite negative
12 results. It can sap resources from safety
13 applications that may not appear to follow these
14 traits.

15 Also, you have the problem that with
16 trying to look like the organization has these traits,
17 they may sublimate their actual trait. You would have
18 situations, for example, where somebody is trying to
19 look like they are addressing concerns that are raised
20 and set up some sort of sham mechanism by doing that
21 but not change what the administration of that
22 organization feels should be done.

23 As a matter of fact, in my response I go
24 through several of the traits and show that they
25 really aren't what we would want to be evaluating an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 organization on.

2 In the end, what's going to make a
3 difference is the organization's behavior; whether
4 they actually are acting in a safe manner or not. And
5 then the staff who had looked at this a lot says you
6 can't really change the culture. What we can change
7 is their behavior and they can be forced to behave in
8 a safety manner by the regulation. They may not be
9 able to be forced to have an attitude that we would
10 like to see, but that becomes irrelevant.

11 As such, I don't think that you can
12 separate the value of this statement as a matter of
13 policy from how it's going to be enforced. And just
14 as a matter of policy, it's probably bad as far as a
15 way to go.

16 As a matter of education, it may be good.
17 But then it wouldn't be coming out as a policy
18 statement.

19 CHAIR MALMUD: Therefore?

20 VICE CHAIR THOMADSEN: I'm speaking
21 against the revised motion.

22 MEMBER ZANZONICO: This is Pat Zanzonico.
23 Can I direct a question to Dr. Thomadsen?

24 CHAIR MALMUD: Yes, please do.

25 MEMBER ZANZONICO: Bruce, so are you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 opposed to an SOP in general on safety culture or just
2 the specifics of how its formulated in the current
3 draft?

4 VICE CHAIR THOMADSEN: I guess the
5 question comes down to what you mean by SOP. This a
6 policy statement. I don't know what an SOP is in the
7 NRC.

8 CHAIR MALMUD: Are you seeking a
9 definition of a SOP from the NRC?

10 VICE CHAIR THOMADSEN: Or from Dr.
11 Zanzonico.

12 MEMBER ZANZONICO: No. I'm not
13 volunteering to give a definition. But I would like to
14 hear one from the NRC.

15 CHAIR MALMUD: Anyone on the NRC Staff
16 have a definition of the NRC's SOP?

17 MR. FIRTH: Yes. This is James Firth.
18 Basically the Statement of Policy allows the
19 Commission to either direct things internally in terms
20 of what the NRC Staff is going to be doing, but it
21 also allows its a vehicle for the Commission to
22 express its views on something, issues that are
23 germane to the Commission's activities at large.

24 So this one is a Statement of Policy.
25 Unlike the medical use policy statement that provides

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a very clear outline in terms of how the Commission
2 was going to be proceeding with regulating the medical
3 use of isotopes. This policy statement is more along
4 the lines of expressing an expectation that does not
5 have that similar framework as the medical use policy
6 statement.

7 So it's expressing an expectation. It's
8 not setting anything specifically in line for specific
9 implementation.

10 The discussion on implementation generally
11 arises from the Commission's interest in general of
12 having the NRC increase the attention that it pays to
13 safety culture. So, anything that we would do on the
14 material side to increase the attention to say either
15 among the NRC Staff or with licensee or certificate
16 holders, we would want to be consistent with this
17 policy statements. But that increased attention could
18 happen with or without the policy statement. The
19 policy statement is expressing its expectation on what
20 the Commission would like to see licensees and
21 certificate holders, and vendors and suppliers of
22 safety-related equipment to do.

23 VICE CHAIR THOMADSEN: In that case an
24 answer to Dr. Zanzonico, I do feel that you can't
25 separate support for the policy from support for how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it's going to be used, which we don't know yet. And
2 that is as I call in my response, the ominous last
3 sentence of this, the Commission will take this into
4 consideration as the Statement of Policy is
5 implemented, which we have no idea where that's going.

6 Do keep in mind the old Quality Management
7 Program that was implemented by NRC years ago. It did
8 not have the expected results. I have the feeling
9 that something like this may not have the effected
10 results either.

11 MEMBER SULEIMAN: This is Orhan. Wouldn't
12 this sort of send a sense of support for the policy.
13 The policy is not a regulation, it's not even a
14 guidance. It's just a general statement as to what
15 our thinking is.

16 So lacking any specifics, I appreciate Dr.
17 Thomadsen's concerns, but I'm wondering if the NRC
18 would get more specific, would we have a chance to
19 comment on that if it deviated from this?

20 CHAIR MALMUD: That's a question from Dr.
21 Suleiman to a member of the NRC Staff.

22 MS. SCHWARTZ: And I think that's
23 absolutely correct.

24 MR. FIRTH: This is James Firth.

25 If I could clarify. The policy statement

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is going up to the Commission for their consideration.
2 And if they say they want it to be more specific,
3 depending on the direction that they give us would
4 determination whether or what degree of public
5 involvement there would be. So sometimes policy
6 statements could be rewritten at the Commission level
7 and then issued. But if it comes back to the staff,
8 presumably, there would be another opportunity. But we
9 have to see how things would develop.

10 MS. SCHWARTZ: That's the response time.

11 CHAIR MALMUD: So, if I can summarize. It
12 sounds as if the members of the ACMUI are strongly
13 supportive of a safety culture policy for medical
14 licensees. However are concerned regarding the manner
15 in which these will be regulated and enforced because
16 excessive penalties or enforcement may result in a
17 limitation of access to these technologies by
18 patients. Is that a fair summary of what we've heard.

19 MEMBER LANGHORST: This is Sue Langhorst.
20 I think that's good.

21 VICE CHAIR THOMADSEN: This is Bruce. I
22 still don't think it's quite captured. I think the
23 policy statement may result in detrimental effects to
24 patient safety as a result of trying to at least look
25 like you're adopting the traits.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. SOLORIO: This is Dave Solorio from
2 the NRC Staff. I would just like to add one thing to
3 speak to this concern. We expect all individuals and
4 organizations performing regulated activities to take
5 the necessary steps to promote a positive safety
6 culture by fostering these traits as they apply to
7 their organizational environment.

8 We already recognize the diversity of the
9 organizations out there and acknowledge that some
10 organization have already spent significant time and
11 resources in development of positive safety culture.
12 So, we would take this into consideration as the
13 Statement of Policy is implemented.

14 So, to speak to the concern about the
15 medical community trying to adopt all these nine
16 traits and then hurting themselves, the goal here
17 would be as we're working through the implementation
18 we try to take advantage of what the medical community
19 is already doing. They may not have to implement all
20 nine traits for their environment. It's going to be
21 a very collaborative effort between the NRC and the
22 medical community to come out to a place where there
23 is an implementation expectation for the medical
24 community on the policy statement.

25 Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR MALMUD: Thank you. Any further
2 discussion of this motion?

3 MEMBER MATTMULLER: Dr. Malmud, this is
4 Steve Mattmuller?

5 CHAIR MALMUD: Yes, Steve?

6 MEMBER MATTMULLER: My concerns in regards
7 to all this is that from the medical licensee's
8 perspective, and I suppose this is mostly directly to
9 the NRC Staff, is that our safety culture right now is
10 being driven by the Joint Commission. And despite our
11 current employment by the NRC and even with Orhan in
12 the room, we pay the most attention to the Joint
13 Commission when it comes to safety culture issues.

14 And so my concern would be that something
15 developed by the NRC does not conflict or hinder, or
16 work against what the Joint Commission has already
17 been quite successful in establishing in medical
18 licenses.

19 Thank you.

20 CHAIR MALMUD: Thank you. Your concern,
21 therefore is there might be conflict between NRC
22 policy and Joint Commission policy.

23 MEMBER MATTMULLER: Right. Right. I mean,
24 it's somewhat addressed in the policy, and I don't
25 have the exact words in front of me because I can't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 talk in my phone and read my screen at the same time.
2 But the previous speaker from the NRC mentioned that
3 individual or specific organizations will have the
4 flexibility to tailor the safety culture to their
5 environment. And what I'm trying to emphasize is that
6 the medical community already has developed a pretty
7 good safety culture by way of influence from the Joint
8 Commission.

9 MR. FIRTH: This is James Firth. If I
10 could add a little bit of elaborating information.

11 Part of the effort with the policy
12 statement was to develop some common terminology
13 relating to safety culture. And in the public
14 workshops that we held to come up with the definition
15 and the traits in February of this year, we included
16 a number of the Joint Commission on the stakeholder
17 panel that developed the language.

18 so, the intent is not to develop anything
19 that would conflict with other organizations, but
20 would to have what NRC would like to comport with or
21 be consistent with what others are doing. So, the
22 intent is not to be creating a new structure, but that
23 the essence and principles behind it are there.

24 And I guess with medical licensees as well
25 as others who do have some variability in terms of how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 well the organizations are functioning and whether
2 there are problems are not.

3 CHAIR MALMUD: Thank you. Other comments?

4 MEMBER GUIBERTEAU: This is Mickey
5 Guiberteau.

6 I think that comment begs the question did
7 the representative from the TJC on the panel feel that
8 there was any conflict of interest or express that?
9 I mean, he may have felt that. But did he express
10 that?

11 MR. FIRTH: Yes. This is James Firth.
12 When the decision on coming up with the language of
13 the definition as well as the specific lists of
14 traits, and this was does not include questioning
15 attitude per se, the basis for consensus was whether
16 each of the organizations or individuals felt that
17 they could live with it for their constituencies. And
18 everyone affirmed that was the case.

19 He did not mention any problems or
20 inconsistencies or areas of concern with what we had.

21 MS. SCHWARTZ: And, in fact, there was
22 some discussion about the do no harm, and that all of
23 this was wrapped into the final sort of alignment on
24 the definition that was developed as not conflicting
25 with any of the-- it wasn't perhaps what each would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have liked if they'd individually written it, but it
2 was one that everybody could live with because it was
3 broad enough to encompass what all the organizations
4 had in mind.

5 CHAIR MALMUD: Thank you.

6 MR. SOLORIO: This is Dave Solorio again.
7 I wanted to just add that I found out today that one
8 of your members, I believe, is going to be a speaker
9 at the training or meeting we're having on the 24th
10 January. Maybe it's Donald. I don't remember.

11 MS. SCHWARTZ: It's Dr. Thomadsen.

12 MR. SOLORIO: It's Dr. Thomadsen. So you
13 have a firsthand opportunity also to express these
14 concerns to the Commission.

15 CHAIR MALMUD: This is Malmud again. As
16 Chair of the Committee, we do want to make a
17 statement, I'm sure, that we wish to always support
18 the safety culture for medical licensee. I don't
19 think we wanted to appear that we're opposed to the
20 concept. At the same time we want to communicate
21 our concern regarding the issues that Dr. Thomadsen
22 has raised, and that have been echoed by others.

23 So the question is what kind of a
24 statement can we make that indicates both of those
25 issues? And is that statement contained in our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 current motion?

2 VICE CHAIR THOMADSEN: Mr. Chairman.

3 CHAIR MALMUD: Yes, sir.

4 VICE CHAIR THOMADSEN: Bruce Thomadsen
5 again. I think that you summarized quite well
6 what the motion might say. It's not what we have on
7 the table right now. But we could say that the ACMUI
8 strongly supports medicine following cultures of
9 safety. And that the traits in general do not stand
10 out as contradictory to this. Or you could even be
11 stronger about that. But the Committee has concerns
12 for the policy statement as it is written and how it
13 may be used in the future.

14 CHAIR MALMUD: Thank you. I'll try to
15 make it briefer statement, if I may.

16 The members of the ACMUI strongly supports
17 a safety culture policy for medical licensees.
18 Period. It remains concerned about the policy not
19 conflict with the access to care or existing standards
20 care as they are applied in various disciplines.

21 How's that?

22 VICE CHAIR THOMADSEN: Well, I don't think
23 that quite captured it at all, but--

24 CHAIR MALMUD: Okay. Well if you don't
25 think it captured it at all, it's not good. Let's see

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 if we can do something that will capture your concern.

2 I mean, the Committee's clearly in favor
3 of a safety culture policy statement for medical
4 licensees.

5 VICE CHAIR THOMADSEN: I don't know.
6 That's what we were voting on, but we haven't voted
7 yet so we don't know that that's the case.

8 CHAIR MALMUD: Well, I haven't heard
9 anyone object to that part of it.

10 VICE CHAIR THOMADSEN: Oh, I'd object to
11 that.

12 CHAIR MALMUD: I think what we're
13 concerned about is -- well you raised, which is a
14 valid concern. And how do we put the two together,
15 that's my question.

16 MEMBER LANGHORST: Mr. Chairman, this is
17 Sue Langhorst.

18 If it's helpful, I can withdraw my motion.

19 CHAIR MALMUD: Well, what I'm trying to do
20 is to address Dr. Thomadsen's concerns because they're
21 real. We've experienced circumstances in the past
22 where the Commission's felt that regulations were
23 intrusive and obstructive, and not necessarily in the
24 best interest of the patient. And --

25 VICE CHAIR THOMADSEN: Dr. Malmud?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR MALMUD: Yes, Dr. Thomadsen?

2 VICE CHAIR THOMADSEN: Let me try again
3 then. The motion could be that the Committee supports
4 a culture of safety in medicine, but short of
5 understanding how a policy statement would be used,
6 the Committee cannot support the current policy
7 statement.

8 CHAIR MALMUD: That's one way of phrasing
9 it.

10 MEMBER GUIBERTEAU: This is Mickey
11 Guiberteau. Is there a time constraint for this
12 endorsement?

13 CHAIR MALMUD: You have to ask NRC Staff
14 that question. Is there a time constraint?

15 MS. SCHWARTZ: Well, we're planning to
16 send this up to the Commission the 20th of this month.
17 So, originally we had like a March date, but the
18 Commission moved that up to January. So we have had
19 to respond accordingly.

20 MR. FIRTH: This is Jim Firth. I guess I
21 would also add that the Commission meeting is
22 scheduled for the 24th of January. And it does sound
23 as if there is going to be another teleconference
24 that's going to be in the first part of January. So
25 that might provide another opportunity for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Committee to revisit if they need to and of they
2 wanted something to inform, say, a Commission briefing
3 even if it's something that we would not be able to
4 incorporate in what would go up to the Commission.

5 MEMBER GUIBERTEAU: This is Mickey
6 Guiberteau. I think given the concerns that we all
7 have in how this expression of support with some
8 reservations might be expressed, I think perhaps we
9 should work on this and do this in our January phone
10 call.

11 I realize it wouldn't be the ideal
12 endorsement, presuming that's what it is, in terms of
13 timing. But I think it would be best if we express it
14 so that we cover exactly what we're talking about.

15 CHAIR MALMUD: That's a recommendation
16 from Dr. Guiberteau. Is there a second to that
17 recommendation?

18 MEMBER GILLEY: I second it. I second it.
19 Debbie Gilley.

20 CHAIR MALMUD: Any further discussion?
21 All in favor?

22 ALL: Aye.

23 CHAIR MALMUD: Any opposed?

24 (No response.)

25 CHAIR MALMUD: Any abstentions? If not,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the decision to defer it until January is unanimous.

2 And that completes the business of this
3 official meeting.

4 Are there any comments from the public
5 that we have not had an opportunity to entertain as
6 yet? If not, I will --

7 MR. EINBERG: Mr. Malmud, a quick one.

8 CHAIR MALMUD: Excuse me.

9 MR. EINBERG: Mr. Malmud, can we take
10 about two minutes to see if there's anyone else on the
11 line who didn't identify themselves? If so, could
12 they identify themselves now?

13 CHAIR MALMUD: Is there anyone who joined
14 the Committee meeting who wishes to be identified for
15 the record.

16 MR. PETERS: This is Mike Peters from the
17 American College of Radiology.

18 CHAIR MALMUD: Thank you.

19 MEMBER VAN DECKER: And Bill Van Decker a
20 couple of minutes late.

21 CHAIR MALMUD: Thank you.

22 MS. SIERACKI: Diane Sieracki, NRC.

23 CHAIR MALMUD: Thank you.

24 MS. FORESTER: And Sara Forester, Division
25 of Nuclear Materials Safety, Region III.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR MALMUD: Thank you.

2 MS. COCKERHAM: Dr. Malmud, this is
3 Ashley. I just wanted to let you know I'm going to
4 send an email to the Committee for potential dates for
5 the next teleconference.

6 CHAIR MALMUD: Thank you.

7 MS. COCKERHAM: I'm looking at January
8 5th, 10th, 12th and 17th. So if everyone could
9 respond to that email, I would appreciate it.

10 CHAIR MALMUD: 5th, 10th, 12th and 17th
11 are our choices.

12 MS. COCKERHAM: Yes. From 1:00 to 3:00
13 p.m.

14 CHAIR MALMUD: I think we're still here.
15 Is there a motion for adjournment?

16 PARTICIPANT: Yes, a motion.

17 CHAIR MALMUD: All right. There is a
18 motion for adjournment. I would like to thank you all
19 for a lengthy meeting, but a very productive one. And
20 one in which we've heard a variety of opinions, all of
21 which are valuable. And we look forward to our next
22 meeting.

23 Wishing you all a happy holiday season and
24 a happy and healthy New Year.

25 (Whereupon, at 3:57 p.m. the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Teleconference was adjourned.)
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25
26
27
28
29