

Official Transcript of Proceedings

NUCLEAR REGULATORY COMMISSION

Title: Advisory Committee on the Medical Uses of
Isotopes - Open Session

Docket Number: (not applicable)

Location: Rockville, Maryland

Date: Monday, October 29, 2001

Work Order No.: NRC-084

Pages 1-212

NEAL R. GROSS AND CO., INC.
Court Reporters and Transcribers
1323 Rhode Island Avenue, N.W.
Washington, D.C. 20005
(202) 234-4433

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24

UNITED STATES OF AMERICA

NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON MEDICAL USES OF ISOTOPES

(ACMUI)

+ + + + +

MONDAY

OCTOBER 29, 2001

+ + + + +

ROCKVILLE, MARYLAND

The ACMUI Advisory Committee on the Medical Uses of Isotopes met at the Nuclear Regulatory Commission, Two White Flint North, Room T2B3, 11545 Rockville Pike, at 9:00 a.m., Dr. Manuel Cerqueira, Chairman, presiding.

Committee Members Present:

Dr. Manual Cerqueira, Chairman, Nuclear Cardiologist
Ms. Nekita Hobson, Member, Patient Advocate
Dr. Subir Nag, Member, Radiation Oncologist
Dr. David A. Diamond, Member, Radiation Oncologist
Mr. Ralph P. Lieto, Member, Medical Physicist
Dr. Leon S. Malmud, Member, Healthcare Administration
Ms. Ruth McBurney, Member, State Representative
Ms. Sally Wagner Schwarz, Member, Nuclear Pharmacist
Dr. Jeffrey Williamson, Member, Therapy Physicist

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Committee Members Present:

2 Dr. Richard J. Vetter, Member, Radiation Safety Officer

3 NRC STAFF PRESENT:

4 Donald A. Cool, Ph.D

5 Angela Williamson

6 Donna-Beth Howe, Ph.D

7 Frederick D. Brown

8 Patricia Holahan, Ph.D

9 Marjory Rothschild

10 Susan Frant, Ph.D

11 Robert Ayres, Ph.D

12 Mark Sitek

13 Melanie Galloway

14 GUEST SPEAKERS

15 Dr. Jeffrey A. Brinker, Society of Cardiac Angiography &
16 Interventions

17
18 Dr. Geoff Ibbott, American Association of Physicists in
19 Medicine

20
21 Dr. Prabhakar Tripuraneni

22

23

24

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

I-N-D-E-X

<u>Agenda Item</u>	<u>PAGE</u>
Angela Williamson, Opening Remarks	4
Director of the Division of Industrial and Medical Nuclear Safety, Donald Cool, M.D.	6
NRC Response	
Patricia Holahan	29
Susan Frant	48
Update on Status	
Robert Ayres	53
Update on Intravascular Brachytherapy	
Donna-Beth Howe	97
Dr. Prabhakar Tripuraneni	107
Regulation of Mixed Occupational Doses	
Frederick Brown	147
New Business	
Dr. Geoffrey Ibbott	171
Regulation of Mixed Occupational Doses	
Mark Sitek	201
Adjourn	212

P-R-O-C-E-E-D-I-N-G-S

(9:03 a.m.)

1
2
3 DR. CERQUEIRA: I'd like to welcome everyone
4 to the meeting. My name is Manuel Cerqueira, and I'm the
5 Chairman of the committee. We have two new members who
6 are joining us. Are they both official now, Angela?

7 MS. WILLIAMSON: Yes. It's done.

8 DR. CERQUEIRA: Well we have American
9 Association of Physicists in Medicine Ralph Lieto who's
10 a medical physicist, who's the newest member of the
11 committee; and Dr. Leon Malmud, who's a well-known
12 entity, but he's here as the Healthcare Administration
13 representative, which is a new role for him. And then we
14 have one vacancy which we're still recruiting for.

15 A couple of people have informed me that
16 they have flight changes, and so we will definitely try
17 to get through the meeting in a timely fashion. Maybe we
18 should just go on to the remarks that were to be
19 delivered by John Hickey who was unable to make it, and
20 Angela will make some comments and then we'll have Dr.
21 Donald Cool is going to make some comments as well.
22 Angela.

23 MS. WILLIAMSON: Good morning everyone. I'm
24 going to read the official opening remarks for the
25 meeting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I am pleased to welcome you to Rockville for
2 the public meeting of the ACMUI. My name is Angela
3 Williamson. I'm the Project Manager and I am standing in
4 today for John Hickey who is the Branch Chief of the
5 Material Safety and Inspection Branch.

6 Mr. Hickey is the designated Federal
7 official for this committee. Normally, he would present
8 these introductory remarks, but unfortunately Mr. Hickey
9 is ill today.

10 This is an announced meeting of the
11 committee. It is being held in accordance with the rules
12 and regulations of the Federal Advisory Committee Act and
13 the Nuclear Regulatory Commission. The meeting was
14 announced in the Federal Register on September 19, 2001
15 for the October 29, 2001 meeting.

16 The function of the advisory committee is to
17 advise the staff on issues and questions that arise on
18 the medical use of by-product material. The committee
19 provides counsel to the staff but does not determine or
20 direct the actual decisions of the staff or the
21 commission. The NRC solicits the opinions of the council
22 and values the opinions of the committee very much.

23 I do request that whenever possible, we try
24 to reach a consensus on the various issues that we will
25 discuss today or at any other ACMUI meeting. But I also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 do value stated minority or dissenting opinions. I do
2 ask that if you have dissenting opinions, that we read
3 those into the record.

4 As part of the preparation for this meeting,
5 Mr. Hickey reviewed the agenda for members and employment
6 interests based upon the very general nature of the
7 discussion that we are going to have today. He did not
8 identify any items that will pose a conflict. Therefore,
9 I see no need for an individual member of the committee
10 to recuse themselves from the discussion.

11 However, if during the course of our
12 business, you determine that you have some conflict,
13 please state it for the record and recuse yourself from
14 that particular aspect of the discussion. And now I'd
15 like to turn it over to Dr. Cool.

16 DR. COOL: Thank you and good morning. I'm
17 Donald Cool. I'm the Director of the Division of
18 Industrial and Medical Nuclear Safety, and I would like
19 to welcome you here to White Flint and the meeting today.
20 I'd also like to extend a welcome to the various members
21 of the public representatives from a number of the
22 medical societies and others that we have here in the
23 room with us today.

24 Let me particularly welcome Dr. Malmud and
25 Mr. Lieto. Welcome to the committee. We are very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pleased that you have been able to join us today. We
2 look very much forward to your being part of this
3 committee, sharing with us your insights, experience,
4 advice as we address a variety of topics, both today and
5 over the coming meetings in your term.

6 We are in interesting times. The world
7 changed on September 11th. It certainly changed for those
8 of us here at the agency in a variety of ways. I think
9 it has probably changed for each of you in maybe very
10 tangible ways, perhaps more intangible ways.

11 For the Nuclear Regulatory Commission, we
12 have been on a heightened state of alert and security
13 since minutes after the first plane went into the World
14 Trade Towers. We have had our operations center under
15 continuous activation and staffing since that time, as we
16 have with our regional offices.

17 We have had the reactor facilities, our fuel
18 facilities under heightened security and safeguards, and
19 have been pursuing aggressively a variety of
20 reexaminations of our current security posture and
21 security of various vulnerabilities and issues, trying to
22 look forward at the possible ways that other mischief or
23 misuse could take place, and to have in place additional
24 measures that might be necessary or appropriate in order
25 to deal with those threats. Obviously a great deal of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that is classified and is not something that we could
2 discuss openly around this room, but there has been a
3 great deal of activity that has gone on here.

4 As well, there's been a great deal of
5 activity involving the agency with other various Federal
6 agencies and interactions with the Department of Energy,
7 the FBI, the Federal Emergency Management Agency, and you
8 can just keep on going down the list. Add now the
9 Homeland Security office with which we have someone
10 participating, not quite around the clock in their
11 staffing activities, to try and stay involved and be part
12 of the various activities of the Federal family in
13 response to the various events that have taken place.

14 There certainly have been a number of
15 questions that have been raised about vulnerabilities of
16 various radioactive materials. You've seen a lot of
17 discussion in the press about what people could do.
18 You've seen various viewpoints expressed.

19 We have, let me assure you, been examining
20 various issues, interacting with our licensees, providing
21 information to them, as may be necessary providing
22 specific threat information under a couple of
23 circumstances in which we have had at least, over brief
24 periods of time, threats made that we could not determine
25 the exact nature thereof.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We were pleased that they turned out not to
2 have any substance behind them, but it does, as you might
3 expect, get the pulses racing just a little bit when you
4 can't exactly figure out what's going on and you're
5 continuously trying to sift through enormous quantities
6 of information in order to understand exactly what may be
7 going on out there.

8 I'm sure you're aware that the Federal
9 Government overall continues to believe that the threat
10 in a general threat sort of environment remains high in
11 the United States. You hear that from Governor Ridge
12 who's now the head of Homeland Security, and various
13 other folks on a daily basis, so that should not come as
14 any particular surprise to you.

15 There have been a variety of issues more
16 recently with regards to anthrax, bioterrorism and
17 including the issues associated with whether radiation
18 has a potential role to play. I'm guessing that a number
19 of you probably saw the news over the weekend with the
20 Postal Service looking to purchase various radiation
21 pieces of equipment to irradiate the mail. We have been
22 interacting with the Postal Service and the Department of
23 Energy and FDA and AFFRI.

24 We've been looking into these issues, not
25 directly involved because the technologies that they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 appear to be looking at and entering into contracts
2 through Ruth McBurney and the states will get the
3 opportunity out as opposed to the by-product materials
4 that are under the NRC's jurisdiction, but we certainly
5 had questions tossed at us early on, how much radiation?
6 What else might it do? And we have interacted with a
7 variety of those folks to try and help pull together an
8 understanding of what is taking place in that area.

9 So there have been a lot of things that have
10 gone on. There has been a lot of normal activities that
11 would otherwise have been expected to have been worked on
12 and been moving forward, which would have been put on the
13 back burner or worked only very slowly as a result of a
14 very heightened focus within the agency on some of the
15 immediate issues.

16 Nevertheless, it is with recognition that
17 some of the day-to-day issues and activities need to
18 continue to be examined that we are here today. Medical
19 care needs to continue. New technologies and activities
20 need to be examined, and we need to make sure that we
21 continue to be in the right place in terms of providing
22 proper oversight, allowing the kinds of activities and
23 developments that are ongoing to be involved, taking a
24 look at some of the emerging issues that are taking
25 place.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Your agenda today has several of those
2 topics, intravascular brachytherapy and some of the
3 things related to mixtures of doses between atomic energy
4 materials and non-atomic energy material, particularly
5 the x-ray fluoroscopy, which at one level ought not to
6 seem to be a problem, but when you start drawing the nice
7 little legal lines and bright boxes that inevitably
8 happen anytime you write down a regulation, suddenly draw
9 you into potential conflicts of how you calculate things
10 and why you calculate things and why that's okay and
11 that's not okay where the two points seems to be
12 essentially side-by-side with each other. So we look
13 forward to some of those discussions early this
14 afternoon.

15 Likewise, we continue to be in a position
16 where we do not, in fact, have the revised Regulation 35
17 in place. Dr. Patricia Holahan is going to be talking
18 about that in just a few moments, so I will not go into
19 detail on those, but she'll give you a review of the
20 current status of the activities there and the various
21 things that are going on and how we are moving forward.

22 I believe that summarizes the sort of brief
23 overview that I wanted to give you today. I recognize
24 this is a shorter meeting. A number of the topics that
25 we probably would have wanted to discuss were the new

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 regulations going into effect. We're not in the position
2 to discuss these because we really have no idea of
3 exactly how that will all transpire, but we do very much
4 appreciate all of you taking the time and effort, braving
5 the flights or the very other things in order to spend
6 some time with us today.

7 Dr. Cerqueira, I will be glad to answer some
8 questions or entertain a discussion if some of the
9 members of the committee would like. Thank you.

10 DR. CERQUEIRA: Dr. Diamond can ask some
11 questions about a discussion we had earlier today to Dr.
12 Cool.

13 DR. DIAMOND: A few moments before your
14 arrival, we were having a discussion regarding a lot of
15 questions that we members are being asked in our home
16 communities, specifically what type of education and
17 materials do we have with respect to counseling the
18 public or treating patients, God forbid should there be
19 an intentional release of radioactive materials.

20 I, as a radiation oncologist despite all my
21 years of medical training, have never received formal
22 training on how to handle these patients. I do know that
23 our professional society's now starting to develop some
24 training materials, but I certainly think it would be
25 useful and productive if the NRC did play a role in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 helping to coordinate this dissemination of training
2 material in a fashion that does not seem alarmist, and
3 perhaps coordinate those activities with constituencies
4 that we generally don't work with, namely the American
5 Society of Hematology, because of course, they would play
6 an important role should patients be exposed in large
7 numbers.

8 So, those were some of the thoughts we were
9 ruminating about.

10 DR. COOL: I think those are some excellent
11 ideas. One of the things that I failed to mention, as I
12 was trying to go through MMI and some of the activities
13 that are going on is that there is an effort within the
14 Federal community to look at and try to have prepared
15 some materials and information should, as I agree God
16 forbid, someone chooses to use radioactive materials or
17 a nuclear warhead of some type of yield and magnitude.

18 We have been participating with FEMA and the
19 other agencies. My deputy, Dr. Susan Frant, was at a
20 meeting of Friday of last week with those various groups
21 that are working to try to have some templates in basic
22 pieces of information available for Governor Ridge and
23 others.

24 So at one level, and a very high level at
25 this moment, there is some work being done to try and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have some materials in place. But I would also agree
2 that at a very different level, at your individual
3 levels, it would be useful to have that. I do not have
4 a handy dandy card in my pocket that I can yank out and
5 suggest the three or four things. What little bit of
6 media training I've had, you always try to have your two
7 or three messages and you want them to be fairly short
8 and crisp because CNN will never give you more than five
9 seconds of sound time anyhow.

10 I think it would be good to be trying to
11 work on some of those things, and we would be pleased to
12 try and reflect on that with you to the extent that the
13 committee either here want to discuss that a little bit,
14 or to interact separately to try and have some of those
15 things and build upon each other's ideas. So that would
16 be a wonderful thing.

17 DR. NAG: Don, one thing. You would be able
18 to use your offices to have a more formal training for
19 handling nuclear accidents for the members of the ACMUI
20 and other staff because not just how to respond to the
21 media but if any type of accident happened, whether
22 intentional or not, what are the things that we should be
23 doing? Because we are the ones who are more likely to be
24 called to handle those, and we are basically unprepared
25 to handle them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. COOL: A couple of very good points
2 there. We will have to explore the extent to which we
3 can provide, either providing locations or more directly
4 be involved in providing some training and information.
5 Within the Federal family, there are some other groups
6 that specialize in this down at Oak Ridge REAC/TS Group
7 and some others. I know the Health Physics Society has
8 been doing some things.

9 At the moment, I'm drawing a blank as to
10 whether you already have some materials that are out
11 there and available. Certainly there are some materials
12 in our operations center that we have available for those
13 within the agency, that the agency would be looking to as
14 spokespersons to deal with members of the public and some
15 things which our public affairs folks have.

16 If we can explore, probably not within the
17 scope of the meeting time today, the extent to which we
18 might be able to get some of those and provide some of
19 that to you, we can certainly take that as a possible
20 follow-up item.

21 DR. CERQUEIRA: Other questions for Dr. Cool?
22 I think the discussion we had this morning, and again
23 there's a lot of professional medical societies that are
24 involved in there. There's a lot of government agencies,
25 but ultimately I mean, we as physicians working in these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 areas will probably be contacted, and if we're not that
2 well informed, I'm sure most of our colleagues are
3 probably less informed.

4 So to try to coordinate the effort would be
5 important, and it would be nice if we could somehow get
6 follow-up on this to try to identify some tangible things
7 that can even be provided to the committee or some
8 sessions, or if those things don't exist, to try to come
9 up with a structure to develop them. And I think the
10 feeling of the committee is we would really like to work
11 with the NRC on some of these issues in whatever way
12 would get it accomplished.

13 DR. COOL: Very good. I welcome that
14 suggestion. We'll see what we can do in terms of laying
15 our hands on bits and pieces that are here, and if it
16 pleases the committee, see about getting those to you and
17 get some reflections from you on gaps, omissions,
18 suggestions to try to refine it, because I think it would
19 be useful to us in terms of advanced preparations and
20 certainly useful to various groups in the community.
21 Ruth is waving over there.

22 MS. MCBURNEY: There may be some materials
23 that REAC/TS has prepared and Dr. Ricks (phonetic) or
24 somebody there that could be disseminated to expand.

25 DR. COOL: Yes, that's what we need to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 explore, what's already out there.

2 DR. CERQUEIRA: Would it be possible to get
3 somebody from the NRC staff to sort of help coordinate
4 some of these efforts, or at least a preliminary look to
5 see what's out there or what needs to be done? Could
6 there be a contact person identified?

7 DR. COOL: We will do that. For the moment,
8 why don't you work through Angela, who's the Project
9 Manager for this committee.

10 DR. CERQUEIRA: Okay.

11 DR. COOL: We may modify that at some point
12 down the line, but that will be a good place to start and
13 someone that you're already familiar with.

14 DR. CERQUEIRA: Do you have a time line on
15 this? It won't be today, we realize that.

16 DR. DIAMOND: Yesterday would be fine.

17 DR. COOL: Yesterday would be fine, okay
18 thank you.

19 DR. CERQUEIRA: Hopefully the relevance will
20 disseminate over time, but at the same time to sort of
21 get into periods of months before anything gets done
22 doesn't really meet the needs of the committee.

23 DR. COOL: No, I think this is one which,
24 consistent with the pace of a number of other things
25 we've got going, I would hope would be measured in days

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to small number of weeks, not in terms of months or the
2 next committee meeting.

3 DR. CERQUEIRA: Right, because ultimately
4 these bioterrors have medical consequences, and I guess
5 in terms of radiation, this is the advisory committee.
6 Jeffrey, you had a comment?

7 DR. WILLIAMSON: Yes, I suggest maybe we take
8 some of elective time for new agenda items at the end of
9 the meeting and try to make a more specific focused list
10 of requests from the commission and their staff, what we
11 as a group would like from them.

12 DR. CERQUEIRA: That's good. Other questions
13 for Dr. Cool?

14 DR. COOL: If not, I thank you. I will not
15 be able to stay with you for the majority of the day. In
16 fact, the daily briefing of our senior managers in our
17 operations center up just two floors is in progress and
18 I'm going to go join them next.

19 DR. NAG: The meeting with the commissioner
20 that was postponed, have we been able to reschedule that
21 at any point?

22 DR. COOL: It has not been formally
23 rescheduled as in locked down with some new dates. Once
24 we know a little bit more about the time line with Part
25 35 and looking to see what your schedule may look like in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 terms of interacting with us on that for the spring
2 meeting, our thought at this point was we would try to
3 arrange that to be more or less coincident with take
4 advantage for a single travel opportunity with the
5 commission at that time. The commission indicated its
6 desire for that to be in the spring.

7 DR. CERQUEIRA: Okay. We'll work with Angela
8 to try to firm up a date. Obviously getting the five
9 commissioners together is more difficult than getting the
10 committee today. So, we'll work around their schedule.

11 DR. COOL: One never knows.

12 DR. CERQUEIRA: Okay, well thank you very
13 much Dr. Cool. Let's see. We can go on to the next
14 item, which is the follow-up from the April ACMUI
15 meeting.

16 MS. WILLIAMSON: Dr. Cerqueira, I was
17 wondering if you wanted to briefly introduce the members
18 around the table very briefly.

19 DR. CERQUEIRA: Of the committee, sure.
20 Okay. Why don't you start Nekita.

21 MS. HOBSON: I'm Nekita Hobson, and I am the
22 Patient Advocate and my organization is the National
23 Association of Cancer Patients.

24 DR. NAG: Subir Nag, Association of Oncology,
25 representing radiation oncology and brachial therapy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 immunity.

2 DR. DIAMOND: David Diamond, radiation
3 oncologist, also representing the radiation oncology and
4 brachial therapy communities.

5 MR. LIETO: Ralph Lieto, I'm the new member
6 representing the medical nuclear physicists community.

7 DR. CERQUEIRA: Manual Cerqueira. I'm a
8 nuclear medicine physician and a cardiologist, and I'm
9 representing the nuclear cardiology community.

10 DR. MALMUD: Leon Malmud, the Dean of
11 Medicine at Temple University and the President of Temple
12 University Health System, representing healthcare
13 administration.

14 MS. McBURNEY: I'm Ruth McBurney with Texas
15 Department of Health. I'm the State Government
16 representative on the committee.

17 MS. SCHWARZ: Sally Schwarz, representing
18 nuclear pharmacy. I'm from Washington University in St.
19 Louis.

20 DR. WILLIAMSON: Jeff Williamson, also from
21 Washington University in St. Louis, representing
22 radiation oncology physics.

23 DR. VETTER: Dick Vetter from Mayo Clinic,
24 representing radiation safety officers.

25 DR. CERQUEIRA: So, Mr. Brown will do the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 presentation in place of Mr. Hickey.

2 MR. BROWN: Yes, absolutely. My name is Fred
3 Brown. I am a Section Chief in John Hickey's branch and
4 I will be trying to cover for him today. So, for
5 instance, I took the requests for information on medical
6 recommendations in the event of a radiological attack,
7 and I'll try to have some information this afternoon
8 during the opening period for you.

9 I'm actually going to empower Angela to go
10 over the minutes from the last meeting and the
11 recommendations that you made to us.

12 MS. WILLIAMSON: Okay, I'll just bend down a
13 little. I have in front of me some recommendations that
14 ACMUI made at our April 18th, 2001 meeting and I'm going
15 to speak to the staff response to those recommendations.

16 The first recommendation, ACMUI thought that
17 the procedure or felt that the procedure for recruiting
18 and appointing ACMUI members be done more expeditiously
19 to get vacancies on the ACMUI filled sooner. The staff
20 response to that recommendation, we agree with it and we
21 have put into place procedures for filling the vacancies
22 more expeditiously. So, we're addressing that
23 continuously.

24 The second recommendation that ACMUI made --

25 DR. CERQUEIRA: Angela, so I guess right now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we've got one vacancy, the nuclear medicine physician,
2 and I know that some of the professional medical
3 societies have sent in information. I don't think
4 they've heard, or gotten any feedback to date.

5 MS. WILLIAMSON: Well when people send in for
6 the --

7 DR. CERQUEIRA: Nominations?

8 MS. WILLIAMSON: When they send in
9 nominations, it's not our procedure to write back every
10 organization that sent in a nomination. What we do is we
11 just collect the nominations and then we proceed with
12 trying to fill the vacancy from there.

13 DR. CERQUEIRA: All right.

14 MS. WILLIAMSON: The next thing everyone will
15 hear, the next notice will be a Federal Register --
16 excuse me, the next thing that will happen after we get
17 the recommendations or the nominations rather, we will
18 proceed to have a panel to screen the recommendations and
19 the commission will make a decision. But we don't reply
20 to everyone.

21 DR. CERQUEIRA: Well, maybe you could give us
22 an update in terms of when was the deadline for
23 submitting? How many have we gotten to date?

24 MS. WILLIAMSON: We have five, if my memory
25 serves me correctly, we have five nominations that came

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in by the deadline and I'm sorry but I don't remember the
2 deadline off the top of my head. We will be having a
3 screening panel meeting in early December -- excuse me,
4 that's wrong, in November, the middle of November. We
5 changed it.

6 But in any case, in the middle of November
7 we will be having a screening panel meeting and at that
8 screening panel meeting, there will be recommendations
9 made to the commission as to who should fulfill that
10 vacancy. So, by spring of next year, definitely by then
11 we should have the person selected and probably before
12 then as a matter of fact.

13 But whoever is selected should be able to
14 attend the spring meeting. That's what I want to make
15 clear.

16 DR. CERQUEIRA: And we have no other
17 vacancies then right?

18 MS. WILLIAMSON: No, that's the only vacancy
19 that we have.

20 DR. CERQUEIRA: And in terms of people going
21 off the committee, anticipating another cycle?

22 MS. WILLIAMSON: Yes, we do look at who's due
23 to rotate off and we address it at that point. If the
24 person is eligible and willing, then of course as you
25 know Dr. Cerqueira, they can serve again, or we can go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 out and --

2 DR. CERQUEIRA: Right, but I think Dr.
3 Williamson's point last time had been if we know, and I
4 don't recall who's going to be going off the committee,
5 but if they're going off a year from now, then if we
6 could start doing some of the leg work for that six
7 months at the latest before that, that would guarantee
8 that we would have somebody in place.

9 So I think the discussion last time was to
10 try to really have operational definitions of how to do
11 it. Maybe, you know, in terms of follow-up, maybe at the
12 next meeting we could get a listing of when people are
13 rotating off the committee and some time lines for when
14 we're going to -- because we have to publish a Federal
15 Register notice.

16 MS. WILLIAMSON: Right.

17 DR. CERQUEIRA: Give a period and so it would
18 be ideal to have the schedule.

19 MS. WILLIAMSON: I can give you a schedule of
20 rotations.

21 DR. NAG: Anyone here getting off in April of
22 the people who are here? No.

23 DR. CERQUEIRA: Does anyone know?

24 DR. WILLIAMSON: I don't know. I think the
25 major suggestion was recruit in advance.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. WILLIAMSON: Yes.

2 DR. WILLIAMSON: And publish the Federal
3 notice, Federal Register notice well in advance of the
4 member rotating off. So, have you changed your
5 procedures to reflect that?

6 MS. WILLIAMSON: We have. I mean, sometimes
7 understand that there are snafues, things that just occur
8 that are out of our control. We would have had the
9 nuclear medicine -- we might have been able to fill it
10 sooner, but we have to wait for people to send us
11 nominations and we really have no control over that sort
12 of thing.

13 DR. WILLIAMSON: No, my point was that if,
14 for example, I am to rotate off in twelve months for
15 example, you would publish the Federal Register notice
16 for my position six months before I rotate off and have
17 basically the selection made by the time my term ends.
18 Have you changed your procedures to do that? That was
19 the major suggestion that was made at the last meeting.

20 MR. BROWN: Let me interject that we
21 understood the suggestion. We agree with it. That's our
22 plan. As you're aware, there was a change in the
23 management of the committee function about a year ago.
24 We've been in the process of trying to fill the existing
25 vacancies and to get caught up and to get ahead.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We have not updated our internal procedures,
2 but we understood the recommendation. We agree with it.
3 That's our intent and we're moving in that direction.

4 DR. CERQUEIRA: Okay, good.

5 MS. WILLIAMSON: Okay, let's move on to the
6 next recommendation. The recommendation involves a risk-
7 informed reporting limit in which the ACMUI recommended
8 that this risk-informed reporting limit of 5 rem be
9 limited to the reporting of errors made in the release of
10 patients and/or the reporting of errors made in the
11 delivery of instructions to the patient.

12 The staff in response to this recommendation
13 included it in a paper that --

14 MR. BROWN: And actually what I'd like to do,
15 Trish Holohan's our next speaker. She can speak to this
16 issue in detail for you. She's the most knowledgeable
17 person. So if we could just defer on that until the next
18 speaker. And actually, the following two
19 recommendations, one dealt with intravascular
20 brachytherapy and we're going to have a speaker shortly
21 in that area.

22 MS. WILLIAMSON: And the other one is the
23 broad authorizations for --

24 MR. BROWN: Board authorizations and I'd like
25 to do the same thing, defer the detailed discussion for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 those speakers.

2 MS. WILLIAMSON: Okay. For the training
3 requirements for authorized medical physicists, the ACMUI
4 recommended that the staff involved such qualified member
5 as specialist, consultants or the ACMUI itself in
6 approving these supplementary training requirements that
7 allow Board-certified radiation oncologists and medical
8 physicists to become authorized medical physicists.

9 In response to this recommendation, the
10 staff agreed with it and will involve outside parties as
11 necessary when guidance is developed.

12 MR. BROWN: And Dr. Ayres will be speaking to
13 that.

14 MS. WILLIAMSON: And Dr. Ayres will be
15 speaking to that.

16 MR. BROWN: And the same with Donna-Beth Howe
17 will be speaking on the last item. So, that was
18 basically all we had for introductory information before
19 we moved into the first presentation, Dr. Cerqueira,
20 unless there are any other ACMUI process questions for us
21 at this time.

22 DR. CERQUEIRA: No, I guess the minutes are
23 not in the book, are? Or, did I just miss them somehow?

24 MS. WILLIAMSON: The minutes, I did pass
25 those out. You should have them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CERQUEIRA: Where?

2 MS. WILLIAMSON: They may not be in the book
3 but I did pass them out.

4 MR. BROWN: If there's trouble finding them,
5 we'll certainly get them to you.

6 MS. WILLIAMSON: We'll get them to you.

7 (Background conversation.)

8 DR. CERQUEIRA: Okay yes, it's under Tab,
9 response to April recommendations. That's logically
10 where it should be, yes. Okay, I guess those items are
11 there. We can probably follow up. Angie, you did a
12 great job being put on the spot like that.

13 All right, so we'll move on with the other
14 items.

15 (Background conversation.)

16 DR. CERQUEIRA: Yes, these are just the
17 action items, yes.

18 DR. WILLIAMSON: The NRC response. There's
19 no minutes.

20 MS. HOLAHAN: Good morning. I know a number
21 of you but for those of you who don't know me, I'm Trish
22 Holahan. I'm the Chief of the Rule-making and Guidance
23 Branch. No, I'm not John Hickey.

24 Anyways, I was asked this morning if I could
25 cover the status of Part 35, and some of the other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 outstanding issues, so let me walk quickly through that.
2 I was at Cathy Haney's talk at your last meeting in which
3 she gave you some of the status, at which time she had
4 indicated that the Part 35 package had gone down to OMB
5 on March 14th, and on September 19th we did receive OMB
6 approval of the information collection requirements
7 within the Part 35, the new Part 35 package.

8 We have incorporated all the changes that
9 were in the staff requirements memorandum from the
10 commission in the new Part 35, and there were some minor
11 adjustments based on discussions with OMB to clarify that
12 we were not looking at duplicate records in terms of
13 labeling. Those changes were made.

14 The OMB did include a number of terms of
15 clearance, which is their phraseology for things that
16 must be addressed at the next time the package is
17 renewed. So, the current clearance expires on September
18 30th of 2004, and at the time that we submit the renewed
19 package, assuming that we can get the current package out
20 and published, the OMB would like us to first of all
21 consider any new information regarding risk information
22 on uses of medical by-product material and how that new
23 information could then impact the burden imposed by
24 information collection.

25 So, they haven't asked us to revisit all the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 existing risk information, but if new information becomes
2 available, they've asked us to consider and address it in
3 the renewal package.

4 Also, the second term of clearance requests
5 the NRC to consider whether alternatives, including the
6 use of a third-party accrediting organization would
7 achieve the same purpose, and I do know that in a number
8 of the public meetings and the meetings with the
9 committee here, as Cathy Haney did address the use of
10 third-party accrediting organizations and that was
11 something at that time was put aside for later
12 consideration.

13 But I think over the next three years, it's
14 going to be something that we are going to be coming to
15 the committee to see whether or not that is a viable
16 alternative, recognizing can you require the use of
17 third-party, and that in and of itself may be a burden.

18 DR. CERQUEIRA: I don't fully understand what
19 you mean by third-party accrediting organizations.

20 MS. HOLAHAN: This was a proposal that
21 originally came in, I believe it was from the ACNP and
22 SNM and I stand corrected if I'm wrong on that, where a
23 third-party such as JCAHO or some other third party put
24 together by the medical organizations would go in and
25 inspect a facility to see if they were in line with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 regulations, rather than NRC coming in to inspect.

2 DR. CERQUEIRA: Okay.

3 MS. HOLOHAN: Finally, the third term of
4 clearance was focusing on the reporting thresholds we
5 have for a medical event and looking at again whether
6 there is any new information regarding the risks imposed
7 by variation from the prescribed dose, and whether a
8 different threshold would better satisfy the regulations.
9 It may also impose less burden, so they want us to
10 revisit what the actual reporting thresholds are if there
11 is additional risk information available at that time.

12 They've also requested that we consult with
13 licensees or relevant stakeholders and that would
14 certainly include the ACMUI as we're pulling together
15 that next renewal package.

16 So that's where the actual rule stands is to
17 say we do have the OMB approval; however, we have not
18 gone forward to publish the rule at this point because,
19 you may be aware that there has been some discussions up
20 in Congress and the Senate has proposed some language
21 that would impact our expending resources to implement
22 the new Part 35 that is currently in conference session
23 between the House and the Senate.

24 The House version did not include the
25 language, whereas the Senate version did, so that they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are continuing now to negotiate and I know that several
2 of the medical organizations have communicated with both
3 the House and the Senate.

4 So at this point, we are holding the new
5 Part 35. We have not forwarded it for publication
6 because if we can not go forward and implement it, then
7 we would have superceded the old Part 35 and have nothing
8 on the books, so.

9 DR. CERQUEIRA: So what are the possible
10 scenarios that could result for this? I mean, so far
11 there's a deadlock and there's no budgetary approval, so
12 where do we go from here?

13 MS. HOLAHAN: I guess it will depend in part
14 as to what the language finally comes forward, whether or
15 not they are looking for additional information from NRC
16 before we can go forward and publish it or whether we
17 would look to continue with the existing Part 35. At
18 this point, I think they're negotiating on the Hill and
19 you know, I don't have more insight than that right now.

20 DR. CERQUEIRA: What if they request a cut
21 and paste? I mean, implement some but not all, would
22 that be something that would be acceptable?

23 MS. HOLAHAN: That's a possibility, but it
24 would take us again some time to go back through the rule
25 and identify which aspects would be cut and paste and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 then make sure throughout the statements of consideration
2 in the regulatory analysis that the issues that are moved
3 forward are accurately reflected and referenced. So
4 there would be some work on our part to do that.

5 DR. CERQUEIRA: We'll come back to get a time
6 line. Dr. Williamson has this.

7 DR. WILLIAMSON: I wanted to, if you do make
8 a revision of the regulations at the request of Congress,
9 you have to essentially repeat the whole regulatory rule-
10 making process of public comment and so on, don't you?

11 MS. HOLAHAN: I think it would depend on what
12 they were requesting, because if they were asking us to
13 completely go through and revise Part 35 or aspects of
14 Part 35, yes we would have to go and re-notice it. If it
15 was a matter of just moving forward with certain aspects
16 that have already gone through the public comment period
17 --

18 DR. WILLIAMSON: I see.

19 MS. HOLAHAN: -- that may be a different
20 issue and I think that's what Dr. Cerqueira was focusing
21 on in the cut and paste if I'm correct.

22 DR. CERQUEIRA: Right.

23 MS. HOLAHAN: Okay. So anyways, we are on
24 hold at least at this time and as a result, there are a
25 number of other actions that are on hold. Angela

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 addressed that one of the issues that was raised at the
2 last ACMUI was a secondary follow-up rule to Part 35 that
3 would modify 35.3075 which are the reporting requirements
4 if an individual that was released under 35.75, the
5 patient release criteria inadvertently gave an exposure
6 to another individual greater than 5 rem.

7 I know again in her discussion with you in
8 April, I believe, as Cathy Haney had gone through some of
9 the draft ruling which she had then forwarded you some
10 suggested draft ruling which we received your comments,
11 the comments have been incorporated into a draft
12 commission paper and the draft proposed rule, but right
13 now that action is also on hold and has not gone forward
14 to the commission until such time as we see which way
15 we're going with Part 35.

16 So, we appreciate your comments. We have
17 incorporated them and we've included them, and we'll
18 certainly get them up in front of the commission when the
19 package goes forward. There are also a couple of other
20 petitions for rule-making that we had hoped that we could
21 move forward to close out, but we are now holding until
22 we see which direction we go with the new Part 35.

23 So anyways, that's the current status. I
24 apologize and it's very brief, but it's what we have
25 today and as I say, we did make progress. We have moved

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 forward and received the OMB approval, and we are in a --
2 that's where we are today.

3 DR. CERQUEIRA: In a holding position.

4 MS. HOLAHAN: Yes.

5 DR. CERQUEIRA: I think Dr. Williamson was an
6 instructor when this whole process started out, which
7 kind of dates it and I think for some of us that have
8 been involved, it's a little bit frustrating because the
9 package did sort of go through. But let's -- I sort of
10 time lines and so let's say that if it's -- it could just
11 totally be rejected, correct? Not funded?

12 MS. HOLAHAN: That's a possibility yes, that
13 it could be totally --

14 DR. CERQUEIRA: And the consequences of that
15 would be?

16 MS. HOLAHAN: The existing Part 35 would
17 continue on the books.

18 DR. CERQUEIRA: So all those years worth of
19 work and Dr. Siegel's time and everything would be lost?
20 Yes?

21 MS. HOLAHAN: I wouldn't like to say lost.
22 I mean there's still a lot of value there but we wouldn't
23 be able to move forward.

24 DR. CERQUEIRA: So that's one alternative
25 that I don't think any of us would really look forward

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to. The other one is it could be approved, correct?
2 That's still a possibility or?

3 MS. HOLAHAN: That's true. There could be
4 that there is no, I mean the resolution could be such
5 that there is no language in the appropriations bill
6 specific to Part 35, and if that is the case then we
7 could move forward with the Part 35 as it is.

8 DR. CERQUEIRA: And if that were to happen,
9 what's the time line on that? It has to be published and
10 what would be the time line between Congress' approval
11 and publication in the Federal Register?

12 MS. HOLAHAN: Realistically, I mean by the
13 time we would go through and do the, I mean we have the
14 package ready as it would go forward. It would have to
15 be signed off by the secretary of the commission and then
16 forwarded to the Federal Register, so, and the Federal
17 Register could take up to three weeks. That's their time
18 line. I mean, typically they take less time, so I would
19 say within a month or two.

20 DR. CERQUEIRA: So eight weeks, and then six
21 months after that it would be implemented?

22 MS. HOLAHAN: And then six months after that
23 would be the implementation date, the effective date of
24 the rule, yes.

25 DR. CERQUEIRA: Okay, so we've covered both

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 extremes. What about somewhere in the middle? What if
2 there is a compromise in the sense that some things are,
3 you know, approved and implemented and others are not?
4 What constitutes enough of a change that it has to go
5 back through the public notice process?

6 MS. HOLAHAN: I think if we were changing
7 specific language in the rule, that would have to go back
8 through the public notice comment. If we were moving
9 forward with already approved language, but certain
10 sections, we would have to go back and re-look at the
11 entire rule to make sure that we haven't referenced
12 pieces in certain sections and not referenced others.

13 DR. CERQUEIRA: I think the issue comes up is
14 what to do with diagnostic nuclear medicine, I believe,
15 and if that were the only things that were kind of held
16 from implementation, would that require a change or?

17 MS. HOLAHAN: Well, yes it would because
18 there are several sections within the new Part 35,
19 Subpart A, B and I think C that are general requirements
20 that will apply to all licensees.

21 So to specifically not have them and then
22 there may be some issues that if you did not move forward
23 with the regulations, you wouldn't have specific
24 regulations; for example, allowing release of patients
25 and things like that for diagnostic, and so you would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in a situation that you may not have applicable
2 regulations to be able to do certain activities.

3 DR. CERQUEIRA: And what would that mean, so
4 that it would basically have to be republished? It would
5 have to come back to this committee or to the NRC, which
6 would then have to rework the language?

7 MS. HOLAHAN: Yes. Yes, NRC would have to
8 rework the language on which way we went forward.

9 DR. CERQUEIRA: And then published Federal
10 meetings announced, public hearings?

11 DR. NAG: No public hearings.

12 MS. HOLAHAN: Well again, the meetings, it
13 would depend on whether or not we went forward with more
14 public meetings on the direction that we would go. And
15 so, you know, until we actually see what the language is,
16 it's sort of difficult to sort of predict which direction
17 we're going to go.

18 DR. CERQUEIRA: Okay. Jeffrey had a comment.

19 DR. WILLIAMSON: I wanted to ask about the
20 existence and status of the regulatory guide for the new
21 Part 35.

22 MS. HOLAHAN: Okay, the guide has been
23 finalized in line with the existing -- no, I'm sorry not
24 the existing, the new Part 35. We have completed the
25 revision of Volume 9 of the 1556 series based on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 final rule that's waiting for publication.

2 DR. WILLIAMSON: So is that available for
3 this committee to look at for example, because I don't
4 recall that we've ever had any input into that. I have
5 never, with all my years of involvement with this
6 process, really ever seen except at very early times a
7 draft of that regulatory guide.

8 MS. HOLAHAN: Okay, you mean you saw the
9 draft guide that was published for comment? Is that the
10 one you're referring to?

11 DR. NAG: I guess so.

12 MS. HOLAHAN: So then you haven't seen the
13 final guidance document?

14 DR. NAG: No.

15 DR. WILLIAMSON: That's right and there's a
16 substantial change.

17 DR. CERQUEIRA: Is that available on your web
18 site or?

19 MS. HOLAHAN: No it is not. It's the draft
20 that was published is the one that is still available on
21 the web site; again, because with the rule still not
22 being final, we hadn't published the final guide.

23 DR. NAG: If we're optimistic and everything
24 went through, what we would like to see is the latest
25 version you have now, so that if everything went

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 smoothly, we would know what is being published. I think
2 that would be rather helpful for us.

3 MS. HOLAHAN: Okay, you're asking before it
4 was published the committee would like to see it?

5 DR. NAG: Yes.

6 DR. WILLIAMSON: Yes.

7 MS. HOLAHAN: Okay.

8 DR. WILLIAMSON: In fact, I have a concern
9 that we've never been asked to look at it because there
10 was substantial changes in the draft rule language since
11 the time, I think, we looked at a draft of the regulatory
12 guide and I believe that must have been two or three
13 years ago.

14 MS. HOLAHAN: Okay.

15 DR. WILLIAMSON: So I'm concerned that we
16 have never had an opportunity to have input into the
17 regulatory guide associated with this version of the rule
18 that went to OMB.

19 DR. CERQUEIRA: Ralph had a question.

20 MR. LIETO: Yes, I would like to echo Jeff's
21 comments because I think the devil's in the details and
22 that's where a lot of the so-called conditions and what
23 the inspection and enforcement people are going to be
24 looking at is compliance with that regulatory guide if
25 it's adopted by licensees. And so, I think it's really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 important that we have a change to take a look at this
2 before it goes out.

3 MS. HOLAHAN: Okay.

4 MR. LIETO: Because we've never seen it.

5 MS. HOLAHAN: All right. Well as I say is --
6 okay, Marjory may I turn to Marjory Rothschild there?

7 MS. ROTHSCHILD: Yes, I'm with the Office of
8 General Counsel, and I just wanted to clarify something,
9 kind of put it in perspective. Getting first to the
10 rule, we have a proposed rule that was published for
11 comment. We received comments from the public on it.

12 Based on those comments, you know, certain
13 changes might have been made. And so, the status of the
14 rule is, it was published for comment or any changes in
15 the final rules of such a nature so significant that you
16 would have to go through notice and comment. I mean it's
17 anticipated that when you publish a proposed rule and see
18 comments, you're going to get out of that process, you
19 know, changes to the rule language.

20 So, that's a given and not all changes would
21 require, in fact it's just a question of degree. You
22 evaluate changes between proposed and final, and if they
23 are so significant that you feel there wasn't adequate
24 notice, then you may have to republish for notice and
25 comment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But in a typical rule there are going to be
2 changes in language from proposed to final, and aside
3 from whatever's going on now in terms of Congressional
4 action, the rule still has that status of a proposed rule
5 on which there was comment and you would only have to
6 republish for notice and comment if you decided that the
7 changes were of such a magnitude between proposed and
8 final that, you know, you didn't give adequate notice.
9 The other comment I had as far as the --

10 DR. CERQUEIRA: Just in follow-up to that
11 now, is that decision to be made by this committee?

12 MS. ROTHSCHILD: No. When you say committee,
13 the ACMUI whether you'd have to republish?

14 DR. CERQUEIRA: Yes.

15 MS. ROTHSCHILD: That's a legal question.

16 DR. WILLIAMSON: Would we be able to have --
17 I guess maybe a more appropriate question is, would we be
18 consulted and be able to express an opinion, since I
19 don't think we have any decision-making authority
20 whatsoever in this agency?

21 MS. ROTHSCHILD: Yes, I'm sure if you had
22 views you wanted to express, you know, that's certainly
23 a prerogative you have. But whether you re-notice from
24 proposed to final is a legal question. There may be
25 policy considerations also.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CERQUEIRA: Dr. Nag said --

2 MS. HOLAHAN: I was very actively involved in
3 the development of the draft final rule.

4 DR. CERQUEIRA: Right.

5 DR. NAG: I'm not saying that you have to
6 consult us. What I'm saying is that we would like to be
7 consulted upon when you make changes. I know you're
8 getting comments from a lot of people and the staff is
9 going to make the changes. Sometimes some of the changes
10 may be unintentional. It may have some consequences that
11 you may not have thought of.

12 Even a simple thing like and, and all, make
13 sometimes a big difference, and I think some of you know
14 what I'm talking about. Even a single word, changing an
15 and to an or makes a really big difference, and I think
16 we would like to see that rather than waiting and having
17 the whole thing published and then suddenly be surprised.

18 MS. HOLAHAN: And you're talking about the
19 guidance rather than the rule-making?

20 DR. NAG: Yes.

21 MS. ROTHSCHILD: You're talking about just
22 reg guide?

23 MS. HOLAHAN: Okay, because I was going to
24 say I was very involved in the finalization as we move
25 forward with the rule. They're asking about the reg

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 guide.

2 MS. ROTHSCCHILD: Okay, well I just wanted to
3 clarify this in terms of the rule, but make it clear that
4 the ACMUI, as well as members of the public, did have an
5 opportunity to come in on the draft regulatory guide and
6 I know we received a lot of comments. But ultimately
7 what that will say will, you know, depend on: 1) what
8 those comments were; and, 2) what the final rule language
9 is.

10 MS. HOLAHAN: Right.

11 DR. CERQUEIRA: Neki, you have a comment?

12 MS. HOBSON: Well, yes. I think that it
13 would be very useful for us to have the guidance language
14 that we can look at, you know, in connection with Part 35
15 since some of the comments that I've heard is that the
16 guidance documents that are actually establishing new
17 regulations without going through a regulatory process,
18 and I don't think that's what we intended to do here.

19 Secondly, and this is nothing new to the
20 members of this committee, but I have expressed in the
21 past my kind of frustration that we seem to spin our
22 wheels and, you know, we give advice and nothing happens.
23 I mean I'm sure we've had some impact on the final Part
24 35, but I think it's far less than I would have liked to
25 have.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. ROTHSCHILD: Okay, we can get copies of
2 the draft guidance for the committee, but I'd just like
3 to say as one of the things that the guidance does do,
4 and we have taken a very careful look to insure that
5 we're not putting any new requirements in the guidance
6 than is what is in the rule. I mean I think we have to
7 look at that also from an OMB perspective to make sure
8 that there's no additional burden in the guidance other
9 than what is in the rule.

10 MS. HOLAHAN: But we can check it out.

11 MS. ROTHSCHILD: We also since the new Part
12 35 doesn't require the submittal of procedures, we do
13 have model procedures in the guidance, but that's what
14 they are. They are model procedures and licensees can
15 develop their own procedures to meet the requirements.
16 But I think we find sometimes there are some cases where
17 licensees would like to have the model procedures to
18 follow.

19 DR. WILLIAMSON: So we can count on seeing
20 the regulatory guide soon or do we need to make a motion
21 to the chair?

22 DR. NAG: At night time please.

23 MS. HOLAHAN: I think we can get you a copy
24 of the guide.

25 DR. NAG: Can we have it at night time on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that?

2 MS. HOLAHAN: Pardon me?

3 DR. NAG: Can we have it at night time on
4 that? When?

5 MS. HOLAHAN: I don't know if I can get the
6 copies made today but I can get them out to you. We can
7 put it in motion today and get it to you, but I can't --

8 MS. ROTHSCHILD: Trish, is that the draft
9 final guide you're talking about?

10 MS. HOLAHAN: Yes.

11 MS. ROTHSCHILD: Okay.

12 MS. HOLAHAN: Yes, the draft final.

13 MS. ROTHSCHILD: Okay, that's fine.

14 DR. WILLIAMSON: I just had an information
15 question. What version of the rule was the draft guide
16 that we had a chance to comment on based?

17 MS. HOLAHAN: The proposed rule.

18 DR. WILLIAMSON: The proposed rule that was
19 published in the Federal Register?

20 MS. HOLAHAN: Correct.

21 MS. ROTHSCHILD: Yes, they were both
22 published.

23 DR. CERQUEIRA: You said you had another
24 comment?

25 MS. FRANT: I'm Susan Frant and I guess Don

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mentioned my name and now this is me. I was out running
2 around trying to find some medicine for impacted sinuses,
3 so I apologize.

4 Anyway, what I was going to say about the
5 web is our web is down and the only thing on it now are
6 employment kind of things, contract kind of things, the
7 name of the agency, who we are, what our mission is, and
8 how to report a safety concern. So all of the other
9 information that you might send somebody to the web site
10 to get is not available.

11 The rule-making, proposed rules will go up
12 but the comments are no longer going to be available on
13 the web site. So I wanted you to know that. We decided
14 to do that a couple of weeks ago. The Department of
15 Defense, in fact, asked us to take down our web site and
16 it was more related to the reactors, but there's also
17 some issues related to, and I think Don discussed this,
18 related to radioactive material.

19 So while we work that through, for instance
20 the Sealed Source and Device Registry is now password
21 protected, and only the states and NRC staff and our
22 master material licensees have access to the Sealed
23 Source and Device Registry when before it was a public
24 registry.

25 So I heard the conversation "well, what's on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the web and what's not on the web." Nothing's on the web
2 that's related to Part 35.

3 MS. HOLAHAN: I'm sorry, you're right. I
4 didn't address that.

5 MS. FRANT: But that doesn't mean that's not
6 available so people can ask for it, but we want to keep
7 track of who's getting what material.

8 MS. ROTHSCHILD: I just wanted to clarify as
9 far as OMB, the rule doesn't go down to OMB for approval
10 as a whole. What they're looking at is, under Paperwork
11 Reduction Act, the information collection requirements.
12 So, I just want to clarify.

13 MS. HOLAHAN: Okay, I thought I'd said they'd
14 approved the information so I'm sorry.

15 MS. ROTHSCHILD: I'm sorry in some of the
16 discussion that might have been blurred.

17 MS. HOLAHAN: I'm sorry, I meant to say that
18 they -- okay. Doctor Diamond?

19 DR. DIAMOND: I'd just like to say that when
20 I first learned about this action to go and debate the
21 final rules in Congress, I can not tell you how
22 frustrated and disappointed I was.

23 Two of the NRC principles with good
24 regulation, I'm reading from the little chart back here,
25 are efficient and clear and we've spent a tremendous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 amount of time and work on this and I'm sorely
2 disappointed that this was the method decided by one
3 constituency to go and try and change the final regs.
4 They have the right to do it of course, but that was
5 sorely disappointing to me and just dragging the process
6 that's taken years and years and making it even longer.

7 The second point is, I would like to fully
8 and very clearly enunciate that when guidance
9 documentation is being promulgated, that this committee
10 have access to this beforehand for comment. The memo
11 that was sent out dated June 12, 2001 regarding IVB,
12 because of a simple use of an operative term, and versus
13 or, as we'll discuss later has generated for me a
14 tremendous amount of questions and confusion which again
15 violates one of your principles.

16 So the two points I'd like to share: 1) I'd
17 like to see these guidance documents before they go out
18 for discussion; and 2) I was very, very disappointed
19 regarding the type of action that's been taken and it
20 questions the valuable use of my time serving on this
21 committee.

22 DR. CERQUEIRA: Good comments. Neki?

23 MS. HOBSON: Is the only OMB report available
24 anywhere?

25 MS. HOLAHAN: The terms of clearance?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. HOBSON: Yes.

2 MS. HOLAHAN: I can get you copies of those.
3 I didn't get copies made before I came down here. That
4 is to say I stepped in very quickly this morning, but I
5 will get copies and we will get those to you today.

6 DR. DIAMOND: That's an excellent idea.

7 MS. HOLAHAN: I can tell you the time line
8 for that. The other thing I would like to say is
9 depending on where we do go is we certainly would like to
10 continue to keep the ACMUI engaged as we see where the
11 final language goes and what the next steps are. So
12 we'll certainly look to the committee as we move forward.

13 DR. CERQUEIRA: Okay, other comments?

14 MS. HOLAHAN: Because I appreciate Dr.
15 Diamond's comments and I recognize that you have expended
16 a tremendous amount of effort on the rule that stands
17 today, the new rule.

18 DR. CERQUEIRA: Okay, well we're at break.
19 Should we take a break and then come back. Let's try to
20 reconvene in ten, fifteen so we stay on time.

21 (Whereupon, the above-entitled matter went
22 off the record.)

23 DR. CERQUEIRA: If Mr. Ayres could come
24 forward we'll get started.

25 MR. AYRES: Well, thank you. I notice that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'm scheduled for an hour. My presentation is not
2 anywhere near that long, but depending on the questions,
3 we'll see how it goes.

4 DR. CERQUEIRA: Bob, let me just ask a
5 procedural question. Since some of the people do have to
6 leave early, if we can get through some of these
7 discussions, can we move some of these items up on the
8 agenda or are we committed to doing it at the time that
9 they're on the schedule?

10 DR. COOL: We should be able to move
11 everything up as we have time available.

12 DR. CERQUEIRA: Okay.

13 MR. AYRES: What my purpose here today is to
14 update you on the status. This is my third presentation
15 on board recognitions and my intent is to report on those
16 things we've done and sent the April report to you, and
17 answer any questions that you might have.

18 DR. CERQUEIRA: Bob, before you get started,
19 I have a question. After the last discussion, you know,
20 on the Part 35 revision, if that doesn't get implemented
21 what's the status of the board recognition?

22 MR. AYRES: Well the same thing as everything
23 else. We're continuing to work on them but we're holding
24 putting out any formal responses. If you will, we're
25 preparing at a reduced pace, I guess, to continue with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the board recognitions, but we're not actually executing
2 the letter.

3 DR. CERQUEIRA: So, you know, sort of
4 expressing some of Doctor Diamond's frustration, it's
5 been a long process and --

6 MR. AYRES: It's a shared process and
7 frustration I guess is my comment to that.

8 DR. CERQUEIRA: Okay.

9 MR. AYRES: But we are continuing to work on
10 them. Just a quick review. These are the ones that
11 we've talked to you about in the past that have
12 submitted, and what I want to do is now update the status
13 on the individual boards.

14 American Board of Health Physics, we've come
15 to you several times with the problem we perceive with
16 their application. It's still under review and the two
17 problems we've discussed with you quite a bit in the past
18 are both they come up under board certification process
19 as not mandating the one year of full time radiation
20 safety experience with similar types of by-product
21 materials, and they don't have the specified written
22 certification of experience signed by preceptor radiation
23 safety officer.

24 What they do have is six years of
25 professional experience and a code of ethics. What

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they're trying to do is say, well we put those two
2 together and we get the equivalent. It doesn't seem to
3 quite work out that way. Any discussion on the American
4 Board of Health Physics? I'll happily take comments on
5 the individual items or wait until the end.

6 DR. CERQUEIRA: Jeffrey?

7 DR. WILLIAMSON: So has the American Board of
8 Health Physics actually submitted a letter claiming that
9 they meet at least the intent of the rule, or exactly --
10 I understand they had actually sent a letter saying they
11 don't meet the rule.

12 MR. AYRES: They've submitted several pieces
13 of correspondence, one of which says that they don't meet
14 the letter of the language but they feel they meet the
15 intent through their six years plus their code of ethics.
16 But unfortunately with rule language, intent usually
17 doesn't quite get you there.

18 DR. CERQUEIRA: Neki, you have a comment.

19 MS. HOBSON: How is this going to be
20 resolved? From your comments, it almost sounds like
21 you've kind of made up your mind that they don't qualify?

22 MR. AYRES: That's correct. That's the way
23 it looks at this time but the letter hasn't gone out so
24 that's subject to change. But basically as a role of
25 staff member, my position is to determine whether they do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or do not meet the rule requirements.

2 MS. MCBURNEY: And that's only for the RSO?

3 MR. AYRES: I'm sorry?

4 MS. MCBURNEY: This is only for the RSO?

5 MR. AYRES: That score yes, of 35.50 for
6 radiation safety officer, and in particular in the past
7 the board has been the main source of your large
8 institution radiation safety officers, broad scope
9 medical licensees and multi-disciplinary treatment
10 facility.

11 What's the out? The out is to go back to
12 the training and experience and maybe another possible
13 way is American Board of Health Physics board
14 certification plus the preceptor statement showing that
15 they have met the one year of full-time radiation
16 training and experience in a medical facility, so they
17 have the requisite experience.

18 DR. CERQUEIRA: Ralph -- go ahead Dick.

19 MR. LIETO: If I could just go Dick. Yes, if
20 I could comment on that since I'm on the board.

21 DR. CERQUEIRA: Yes.

22 MR. LIETO: One of the reasons the board has
23 resisted going that direction is because that would force
24 it into a sub-specialization and they're just trying to
25 keep one single certified health physicist which is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 comprehensive, certifies across all areas, and then the
2 ethics force you to practice in an area of expertise. So
3 the board recognizes they do not meet the letter of the
4 law and they were simply commenting to the NRC they
5 thought that the way they practiced met the spirit of the
6 law and so it's in a state of discussion.

7 MR. AYRES: Yes, I think we come up with some
8 unattended consequences in the rule language and the
9 public comment period and the whole process maybe didn't
10 get where everybody thought they were.

11 But now we have the language, and assuming
12 it goes forward, what we're doing in our letters and you
13 have one of them in your package, the one we did send out
14 recognizing the American Board of Nuclear Medicine, but
15 not totally, I'll point that out in a moment, we say you
16 appear to meet all of our requirements and we'll grant
17 recognition for this and then we ask questions about
18 those things. We don't say we're denying recognition.
19 We haven't been able to resolve whether they do or do not
20 meet the rule language.

21 So often our letters, once they start going
22 out, will go out with questions and there are several
23 areas. More of them will come up as we go through the
24 different boards, but the American Board of Nuclear
25 Medicine, that letter of June 29th is in your package.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CERQUEIRA: We had another question from
2 Ralph.

3 MR. LIETO: Mr. Ayres, back with the American
4 Board of Health Physics, a question. You made a point
5 that most of the RSOs with broad scopes, large medical
6 centers and so forth are RSOs that were approved meeting
7 certification requirements under the current Part 35.

8 MR. AYRES: Right and the board is recognized
9 under the current Part 35.

10 MR. LIETO: Right, now assuming that the new
11 Part 35 is approved and goes into effect, are those --

12 MR. AYRES: They'll be grandfathered.

13 MR. LIETO: Okay.

14 MR. AYRES: Yes, everybody that holds an
15 existing appointment, authorized user, medical physicist,
16 RSO, et cetera, grandfathers. If they're listed an
17 authorized user, that authorized user status will
18 transfer. I know there may be some questions on that.

19 So, the only thing else with the American
20 Board of Nuclear Medicine as well as three or four other
21 boards come in asking for recognition under 35.50-A.
22 Maybe they didn't understand the ruling, but 35.50-A is
23 for the full broad scope RSO type of appointment that's
24 traditionally done by AB, the American Board of Health
25 Physics right now, and it has the same requirement.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I mean the requirements are the same. They
2 don't change. That one year of full-time experience in
3 the RSO statement, plus the other training experience
4 issues. So it didn't look like to us that the American
5 Board of Nuclear Medicine met that, but there's an
6 alternate pathway for almost all authorized users, 35.50-
7 C which says if you're an authorized user, a physician or
8 a medical physicist or a radiation pharmacist, you can be
9 an RSO of a facility working as an RSO for those
10 materials for which you have experience.

11 So a nuclear medicine authorized user could
12 readily be appointed under 35.50-C as the RSO for a
13 diagnostic nuclear medicine facility. I don't know if
14 their request for broader authorization was an error or
15 not, but what we did in the letter and it's in your
16 package is said "well, it doesn't look like you meet, we
17 won't recognize you under 35.50-A, but you're already
18 granted the authority and recognition under 35.50-C."

19 The Board of Pharmaceutical Specialties,
20 that's also under review. It looks like we've got to go
21 back to them and ask some questions about their written
22 certification of training and signed preceptor statement.
23 Those seem to be an issue at least in the letters that
24 we've got and looking on their web sites, on their board
25 processes, that we don't see evidence that they exactly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 meet the rule on this and we have to go back and ask.
2 Yes.

3 DR. WILLIAMSON: I guess I have a general
4 question. What sort of verification do you subject these
5 written claims to?

6 MR. AYRES: Written certification from the
7 board officers.

8 DR. WILLIAMSON: But if the board officers
9 say "we certify X" do you just accept that or do you have
10 some sort of a procedure for validating that claim
11 against independent information?

12 MR. AYRES: I'm speculating here but I think
13 the way it works, we accept it. If somebody questioned
14 or complained to us that this board you approved and it
15 doesn't meet this requirement, we're probably going to go
16 out and inspector check.

17 So the policy now, as I understand it, we'll
18 accept their verification but we reserve the right to
19 question it if it becomes an issue.

20 DR. WILLIAMSON: Okay.

21 MR. AYRES: I think that's a fair way. So
22 that's the status of radio pharmacy. One of the more
23 problematical ones, this one really applies as we later
24 get on to ABR and their certification of medical
25 physicists also. The exact same issues exist. It's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 currently under review. We in fact have a letter
2 drafted, but again pending the outcome of Part 35, we're
3 sort of sitting on that one.

4 We also have a letter that we got on these
5 issues from AAPM and that is in your package. And like
6 I said, it's under review. The central issue is the lack
7 of a requirement to complete the training for specific
8 modalities, such as -- well, not such as, specifically
9 remote afterloader teletherapy and the gamma knife. Like
10 I said, the AAPM letter is in your package.

11 There are certainly some alternatives here
12 to go and maybe we might end up in a position that might
13 not be too different from what we are doing now in that
14 we again recognize board certification plus, and that's
15 kind of what the letter addresses, plus evidence of
16 specific training experience in these modalities. So you
17 could be an authorized medical physicist for remote
18 afterloaders or remote afterloaders and gamma knives or
19 any combination of the three.

20 I expect that that's probably the way we'll
21 grandfather if a person is currently authorized for
22 teletherapy and remote afterloaders that would be their
23 authorization and grandfathering. It would not include
24 gamma knife until they come in to demonstrate specific
25 training and experience which we really need on the gamma

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 knife.

2 DR. WILLIAMSON: What's your basis of that?
3 35.51 does not express any such qualification.

4 MR. AYRES: Well, it's a training and
5 experience requirement. What I'm saying is I'm hoping.
6 There's two ways to go, to not recognize the board
7 whatsoever, okay -- well, three ways, recognize the board
8 and that would give them all the authorization.

9 DR. WILLIAMSON: I think there's two issues
10 maybe being collapsed into one issue. I guess I heard
11 you addressing both in the same sentence, 35.51 which is
12 the perspective credentialing for medical licensees and
13 35.51 which is the grandfathering clause for those
14 currently on licenses and it seems to me they're very
15 different.

16 MR. AYRES: Right. Well, they are. They may
17 be. They could be very similar and they could be very
18 different. There's two issues and one, you've raised the
19 points in correspondence.

20 One is what does grandfather? How do we
21 grandfather authorized users and medical physicists that
22 have current authorizations that do not encompass the
23 full range of the board certification process? And as
24 written now, 35.51 if we recognize and granted
25 recognition for board certification, we say that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 board certification encompasses all of these modalities
2 and the medical physicist is authorized to perform them
3 all, which is the problem that we're running into.

4 What we have with current medical
5 physicists, we have them authorized for one or two or
6 there may be some where they're authorized for all three.
7 None come to mind, but it's certainly possible. And so
8 how do we -- do we just have a general title of
9 authorized medical physicist or do we grandfather
10 authorized medical physicists for modality A, B and not
11 C that they currently are authorized for.

12 DR. CERQUEIRA: I'd like to hear, you know,
13 comments from Jeffrey and Ralph on these points because
14 it's a critical issue.

15 MR. AYRES: Yes, there's certainly a lot of
16 correspondence going on.

17 DR. WILLIAMSON: Well, I think the 35.51 and
18 57 have to be clearly distinguished from one another and
19 I think that we have a system that's in place now where
20 there basically is only a definition in the regulations
21 of teletherapy physicists.

22 MR. AYRES: That's correct.

23 DR. WILLIAMSON: And in some cases by license
24 amendment, radiation safety committees and so on have had
25 to review the credentials of individual physicists to do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 high dose rate and gamma knife.

2 MR. AYRES: Exactly.

3 DR. WILLIAMSON: And perhaps in even some
4 specific scope licenses there might be a commitment to
5 provide certain QA functions for gamma knife and for high
6 dose rate therapy by someone who meets the teletherapy
7 physics requirements.

8 MR. AYRES: Yes, there's usually some --

9 DR. WILLIAMSON: So I think it's a rather
10 confused situation.

11 MR. AYRES: Yes.

12 DR. WILLIAMSON: I think now you're starting
13 a new system and the system's not going to function very
14 well unless you create artificially a pool of authorized
15 medical physicists who can provide the preceptor
16 function. So --

17 MR. AYRES: Well.

18 DR. WILLIAMSON: Let me finish. My strong
19 advice would be that 35.51 should be interpreted without
20 qualification, that if someone is named or endorsed as a
21 teletherapy physicist on an agreement state license or
22 NRC license or via act of a radiation safety committee
23 for any modality whatsoever, that credential should be
24 accepted, that person should be accepted as a fully
25 qualified AMP without restriction, thereby creating the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pool of individuals you need to do the credentialing
2 prospectively.

3 MR. AYRES: Well.

4 DR. WILLIAMSON: Every board or certification
5 mechanism faces this problem, and I think the fact that
6 qualifications were not written into the rule language
7 gives you the option to prevent, I think, what could be
8 a catastrophe in the community.

9 MR. AYRES: I'm not sure on that. At a
10 minimum, and I didn't want to really get into the
11 grandfathering issue, but at a minimum everybody would
12 retain their authorizations they currently have, at a
13 minimum. But I hear and I really didn't intend to
14 address, except for some similar issues, the
15 grandfathering.

16 DR. CERQUEIRA: But this is an opportunity to
17 hear from two respected physicists in this area. Ralph.

18 MR. LIETO: I agree that you got to keep the
19 two issues separate. I think the grandfathering has to
20 occur across the board, because you're going to end up
21 disenfranchising a lot of physicists from performing
22 duties that either they assumed that they're qualified by
23 their board certification, and their institution to
24 perform. The main population that's going to suffer is
25 the patient population that may not be able to get the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 medical physics support that's needed for that modality.

2 You're already stating that you're going to
3 be grandfathering the RSOs and the authorized users as
4 they're approved right now. This sub-specialization so
5 to speak of subcategories are being created by the new
6 rule, okay. It's not something that exists in the old
7 rule.

8 MR. AYRES: Well it's something that exists
9 in policy because the old rule only covers teletherapy.

10 MR. LIETO: Right, but if a teletherapy
11 physicist was approved on a license or by a radiation
12 safety committee or so forth, to my knowledge I know of
13 none that have not been approved to perform remote
14 afterloading and some of these other new modalities as
15 they're coming up.

16 MR. AYRES: The way we do it now so it's the
17 same way, basically we've always viewed the teletherapy
18 physicists and their involvement in manual break therapy
19 was a given and we never had any questions about that.
20 But we required specific authorizations and training for
21 them to be authorized to work with remote afterloaders,
22 high dose rate remote afterloaders and stereotactic
23 radiosurgery. They did have to come in and have a
24 specific authorization put on their license for that, and
25 provide training and experience, any additional training

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and experience requirements.

2 DR. WILLIAMSON: Did you require that for
3 authorized users in the license?

4 MR. AYRES: No, don't believe so. Don't hold
5 me to that. I'm not absolutely certain on something like
6 stereotactic radiosurgery.

7 DR. WILLIAMSON: But they didn't.

8 MR. AYRES: I don't think they did at all.

9 DR. CERQUEIRA: Maybe we can get some
10 comments from Dr. Nag and Dr. Diamond on this issue. I
11 mean, how would you propose to deal with the issue of
12 specific modality.

13 DR. NAG: Yes, I think again I agree that the
14 grandfathering should be kept separate from the new one.
15 For the new one yes, you can go ahead and do it the way
16 of the posting. But in the grandfathering, the way we
17 have our medical physicists if they are doing
18 teletherapy, let's say we never had remote afterloader in
19 our department and we bought one today, they would get
20 the short training course from the manufacturer on how to
21 use that but they would not require any other separate
22 500-hour job training.

23 The way it's written, the 500 hours is not
24 taking into account the overlap of the training that you
25 already had for taking care of your other radioactive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 material. So, my suggestion is anyone who is currently
2 a medical physicist should be allowed to use any of those
3 modalities.

4 MR. AYRES: Well, what you said what they do
5 is what -- basically we require primarily for remote
6 afterloader is our main additional requirement for a
7 teletherapy medical physicist to be named as a remote
8 afterloader or a high dose rate brachytherapy
9 authorization is to get the manufacturer's training. We
10 require it for the authorized user too, so there is a
11 case there where we do it in policy, okay. I'm not
12 absolutely current on the stereotactic radiosurgery, but
13 we do have a little more extensive requirements. There's
14 an apprenticeship training program run by the
15 manufacturer and that includes both the authorized user
16 and the medical physicist.

17 DR. WILLIAMSON: So why are you singling out
18 the physicists for special treatment like this?

19 MR. AYRES: We're not. We haven't got to the
20 authorized users. There's some places in there, okay.

21 DR. CERQUEIRA: David, do you have a comment?

22 DR. DIAMOND: Yes.

23 MR. AYRES: Okay.

24 DR. DIAMOND: Every time I hear these
25 discussions, I keep on asking myself how can we not be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 enslaved to regulations that are well-intentioned but not
2 perhaps worded the exact way they were intended? And
3 this would be an example of it. What I'd like to explore
4 is whether, just like we've done in other areas, without
5 our advice and consent I may add, some type of guidance
6 document be promulgated that exactly reflects the spirit
7 of this discussion.

8 MR. AYRES: Well, generally we issue guidance
9 documents in the absence of regulatory language.

10 MR. DIAMOND: This would be an example of a
11 guidance documents in the place of bad regulatory
12 language.

13 MR. AYRES: When we have regulatory language,
14 we can't issue guidance language that gets around the
15 regulatory language requirements. We can only issue --
16 we can and do and that's a reg guide that you want to
17 review, issue language in how to meet the regulatory
18 requirements, but there's no way we can alter the
19 regulatory requirements through guidance.

20 DR. DIAMOND: Well, I don't know. I think
21 one of the most productive at last meeting was a
22 methodology in the guidance document that allowed us to
23 use IVB for indications off label without that being mis-
24 administration. I would consider this to be in exactly
25 the similar vein.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. AYRES: No, because there's no regulatory
2 requirements relating IVB, so we're free to regulate it
3 and we do and we must because there's no other mechanism
4 through guidance. Once it's in the rule, we don't have
5 any flexibility anymore. Well, the only flexibility we
6 have is granting requests for exemption, specific
7 requests for exemption on a case-by-case basis.

8 DR. CERQUEIRA: Neki had a comment.

9 MS. HOBSON: Yes, from a patient perspective
10 what we are really talking about here is a transition
11 period of a few years I'm assuming.

12 MR. AYRES: No, if the new rule becomes
13 effective, it becomes effective completely on the date,
14 which would be six months from publication.

15 MS. HOBSON: But you're grandfathering
16 everyone who's current licensed.

17 MR. AYRES: Only on training and experience.

18 MS. HOBSON: Oh, on training and experience.

19 MR. AYRES: So anybody new applies the day
20 after the new rule becomes effective has to meet the new
21 requirements.

22 MS. HOBSON: Okay, but the currently licensed
23 or authorized medical physicist, even though his
24 certification doesn't include specifically remote
25 afterloader teletherapy and gamma knife, he would be able

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to conduct those efforts?

2 MR. AYRES: Well it does right now. It is
3 specific to what he's authorized for. If he's been there
4 a long time and has done nothing else, it's for
5 teletherapy only. Then, you have to come in to be added
6 either, well through a master material license broad
7 scope and through ourselves for the other modality, yes.

8 MS. HOBSON: I'm concerned that the patient
9 is going to be caught in a situation here where, you
10 know, they'll just fall through the cracks because there
11 won't be anyone at that particular institution or
12 facility who can give them the treatment that they need
13 if the license is so restrictive.

14 MR. AYRES: There's no change in the
15 authorization -- when the new rule becomes effective,
16 there's no change in the authorization of the medical
17 physicist from what exists now, and exactly how the
18 grandfathering will be done, we've kind of gotten in to
19 that which I'm not addressing and there's two routes,
20 full recognition or recognition for the modalities that
21 they currently have.

22 MS. HOBSON: That's my concern.

23 MR. AYRES: I'm not sure. I would have to
24 review the rule language a little myself.

25 MS. HOBSON: I think there's a --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CERQUEIRA: The recommendations of the
2 committee are to basically grandfather them generically
3 for all of those modalities for the people that are
4 currently licensed. Does anybody disagree with that?

5 DR. WILLIAMSON: No. I think we need a
6 motion.

7 DR. CERQUEIRA: All right, do you want to
8 make a motion Jeffrey?

9 DR. WILLIAMSON: Yes. The ACMUI moves,
10 recommends to the commission that 10 CFR 35.57 be
11 interpreted to mean that medical physicists listed as
12 teletherapy physicists on any agreement, state or NRC
13 license, be understood to be fully qualified authorized
14 medical physicists without limitation to modality.

15 MS. HOBSON: I'll second that.

16 DR. CERQUEIRA: Second that. Any further
17 discussion?

18 MR. AYRES: The rule is quite clear on it.

19 MS. HOBSON: Yes.

20 MR. AYRES: They can be authorized only for
21 those medical uses which they're authorized on the date
22 the new rule goes in effect. I wasn't prepared to talk
23 on 35.51, so I hadn't reviewed the language, but it's
24 quite clear. So, it's kind of a moot point.

25 MR. NAG: I'm not quite sure, what does that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mean?

2 MR. AYRES: Well, it means if they're only
3 authorized for teletherapy, that's all they're going to
4 get grandfathered for.

5 MR. NAG: Right, but not here today. We have
6 Dr. Williamson who is taking care of the teletherapy at
7 his institution, but tomorrow he goes to an institution
8 that has teletherapy and a remote afterloader. The
9 manufacturer provides usually a three or four-day course
10 on how to run the remote afterloader. Would he be able
11 to use it or not?

12 MR. AYRES: No, he'd have to submit to be
13 named as authorized user for remote afterloaders based on
14 the training he received and that would probably be
15 readily granted.

16 DR. WILLIAMSON: Could I read the regulation
17 just to make sure I understand the consequences.

18 MR. AYRES: Sure.

19 DR. WILLIAMSON: An individual identified as
20 a radiation safety officer, a teletherapy or medical
21 physicist or a nuclear physicist on a commission or --

22 MR. AYRES: Pharmacist.

23 DR. WILLIAMSON: Well, it says "or medical
24 physicist."

25 MR. AYRES: Well they should have nuclear --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 well, never mind.

2 DR. WILLIAMSON: "Medical physicist or a
3 nuclear pharmacist on a commission or agreement state
4 license or master material license permit or by a master
5 material license permittee," a broad scope, "before
6 insert date six months from publication of final rule
7 need not comply with the training requirements of 35.51
8 or 55."

9 MR. AYRES: Right but then the language I was
10 referring to is in B. "Physician then or authorized
11 user" and you go on down and it says --

12 DR. WILLIAMSON: Where does it say physicist?

13 MR. AYRES: "To perform only those medical
14 uses for which they are authorized on the date need not
15 comply with the training requirements of Subparts B and
16 A."

17 DR. WILLIAMSON: Where does it say physicist?
18 It says physicians, dentists, or podiatrists.

19 MR. AYRES: Okay.

20 DR. WILLIAMSON: It doesn't say physicists in
21 there.

22 MR. AYRES: All right, I wasn't prepared to
23 talk on this but we -- clearly on the physician all
24 right.

25 DR. CERQUEIRA: We're not going to be able to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 resolve all this.

2 MR. AYRES: Yes. I certainly understand your
3 recommendation and certainly review it in looking at the
4 rule. I wasn't prepared to discuss the grandfathering
5 which seems relatively straightforward in most cases.

6 DR. CERQUEIRA: So we still have a motion on
7 the floor. Is it still relevant Jeff? Do you want to
8 keep it?

9 DR. WILLIAMSON: I think it's relevant.

10 MR. AYRES: Oh, it could be. Well, certainly
11 advice we'll take it and look at it.

12 DR. WILLIAMSON: I would like to say one
13 thing in it's defense or it's articulated rationale for
14 it. I think that the idea of grandfathering is to
15 basically for a population of professionals that are
16 working before a certain date is to be able to guarantee
17 that they will be able to pursue their livelihoods under
18 the existing training and experience regulations as of
19 that date.

20 MR. AYRES: Yes.

21 DR. WILLIAMSON: And as of that date, you
22 know, right now if someone is a teletherapy physicist
23 doing just teletherapy, all they have to do is satisfy
24 the conditions of the license to be an authorized HDR
25 physicist which in this case simply means undertaking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the, you know, accepting a commitment to have vendor-
2 supplied training or perhaps, you know, annual training
3 provided by another physicist within the institution. It
4 depends how your license is written really.

5 MR. AYRES: Yes.

6 DR. WILLIAMSON: So I think the intent
7 clearly is, is that that's the rule that should be
8 followed in the future for somebody that's listed as a
9 teletherapy physicist prior to the changeover.

10 MR. AYRES: Yes.

11 DR. WILLIAMSON: I'm not trying to suggest
12 that this should be a way of getting around license
13 commitments.

14 MR. AYRES: Traditional grandfathering is you
15 retain the rights you had when the rule changes, and on
16 that basis they are --

17 DR. WILLIAMSON: To say that somebody who's
18 just a teletherapy physicist who's board certified and so
19 on can only be a teletherapy physicist without satisfying
20 the new 35.51 for HDR and gamma is actually then imposing
21 an additional and different set of requirements which are
22 rather different than the ones they work under now.

23 MR. AYRES: What I'm saying is not really
24 because we have that type of requirement as part of --
25 only it's in guidance --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. WILLIAMSON: But I don't think it's
2 identical to the one that's in 35.51-B. It's not the
3 same.

4 MR. AYRES: Well, I understand your
5 recommendation.

6 DR. CERQUEIRA: I think we should vote on
7 this and move on. You said an hour was too long.

8 MR. AYRES: I was hoping it would be.

9 DR. CERQUEIRA: Training and experience is
10 never.

11 MS. MCBURNEY: I can support what Jeff is
12 saying if the license conditions are going to stay the
13 same after the new rule goes into effect.

14 MR. AYRES: They won't.

15 MS. MCBURNEY: Right, so if they're not going
16 to stay the same, I mean there needs to be some
17 commitment that they have that additional training from
18 the manufacturer.

19 MR. AYRES: In the therapy area they're
20 fairly similar but there is of course changes.

21 DR. CERQUEIRA: Do I have a motion for a vote
22 on this, because what I'd like to do, and Jeff has
23 brought up this point a couple of times. We have a lot
24 of discussion.

25 MR. NAG: And nothing goes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CERQUEIRA: Sometimes we don't make
2 motions. Well now, we're going to try to make the motion
3 and what I'd like Angela to do is, at the next meeting
4 give us follow-up. And by follow-up, I want like what's
5 been done, when it was completed, and if it hasn't been
6 done, what's the problem?

7 DR. WILLIAMSON: Not that we're thinking
8 about it or we heard what you said.

9 DR. CERQUEIRA: Okay, so --

10 DR. VETTER: One more, I just would like to
11 support what Ruth said. If the conditions of the license
12 change, then that becomes problematic.

13 MS. MCBURNEY: Right.

14 DR. VETTER: Relative to the motion.

15 MS. MCBURNEY: Right, so he can add.

16 MR. LIETO: You're going to change all the
17 licenses when the new Part 35 goes through? I mean,
18 that's kind of what it sounds like.

19 MR. AYRES: You're getting a little outside
20 my area. I've never made this major transition on a
21 rule, but there is rule language in there on how the rule
22 transitions the new part and what governs if you have
23 more restrictive license conditions in the new rule,
24 those stay. Yes.

25 MR. BROWN: What I'd suggest is that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 committee go ahead, make the recommendation. As with all
2 recommendations, the staff will take that, look at how
3 implementable it is and we'll get back to you with the
4 decisions that we've made.

5 DR. CERQUEIRA: So Ruth, one final comment.

6 MS. MCBURNEY: I would like to amend the
7 motion to include that when transitioning to a new
8 modality that they still be required by license condition
9 to receive the manufacturer's training on the new
10 modality.

11 DR. WILLIAMSON: I guess I would like to
12 maybe suggest that we have an alternative amendment.
13 Instead of that, basically include in the motion that not
14 only teletherapy physicists' qualifications as
15 articulated in the current Part 35, but also the training
16 and experience guidelines in the existing regulatory
17 guidance for gamma stereotactic and HDR, which would be
18 more general and would pin it down to a document that is
19 now in place.

20 MS. MCBURNEY: That's exactly it.

21 DR. CERQUEIRA: So why don't you --

22 MS. MCBURNEY: Restate the motion.

23 DR. CERQUEIRA: So what are we voting on?

24 DR. WILLIAMSON: Okay, I think we are voting
25 on a motion which reads as follows: The ACMUI recommends

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that NRC interpret 35.57 to mean the following; that
2 medical physicists who are listed as authorized
3 teletherapy physicists on any agreement, state or NRC
4 license, or by any act of a radiation safety committee
5 within a broad scope licensee, be allowed to be
6 authorized medical physicists for all modalities without
7 qualifications, provided that they satisfy the
8 supplementary training requirements contained in the
9 current regulatory guides for those modalities extent on
10 that date.

11 DR. CERQUEIRA: He doesn't have John's knack
12 for resolutions.

13 DR. WILLIAMSON: I'm sorry. He is sorely
14 missed.

15 DR. CERQUEIRA: But I think you'll get the
16 gist of it. We should take a vote. All in favor.
17 Opposed? Okay, and then Angela if you could transcribe
18 that off the transcript.

19 MR. AYRES: Yes, that actually sounds pretty
20 workable.

21 DR. WILLIAMSON: I would be happy to help
22 edit my motion before I leave.

23 DR. CERQUEIRA: Okay Bob, what's next. The
24 American Board of Radiology.

25 MR. AYRES: A similar one and the American

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Board of Radiology, ABR, has applied for recognition
2 under all three of their disciplines which are diagnostic
3 radiology. They've applied for 31.190, 290 and 390 and
4 they've stayed away from the specific applications for
5 thyroid work on their applications, and 392 and 394 they
6 didn't ask for.

7 Under radiation oncology, 392 and 94, which
8 they are putting the thyroid cancer ablation applications
9 under, 490 the brachytherapy, 491's the strontium I
10 applicator, and 690 which encompasses all the high-dose
11 stuff, the gamma stereotactic radiosurgery and the high
12 dose rate and teletherapy and so forth.

13 Under radiological physics, they again
14 applied for the broad 35.50 and the 35.51. Again, we're
15 reviewing that. We have some issues. Again, with all
16 the board, we're looking at and confirming that they do,
17 as part of the board application process, have a
18 preceptor statement requirement.

19 Now Jeff raised an issue under 35.690 on our
20 specific modality requirements for authorized users, and
21 under 693 at the bottom of the page here, B-3, it says it
22 has obtained written certification that the individual
23 has satisfactorily completed the requirements above in
24 this section and has achieved a level of competency
25 sufficient to function independently as an authorized

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 user in each type of therapeutic medical unit for which
2 the individual is requesting authorized user status.

3 So there is a requirement for the authorized
4 user to demonstrate experience with gamma stereotactic
5 and radiosurgery, high dose rate, standard manual
6 brachytherapy, teletherapy, et cetera. Yes.

7 DR. NAG: What is the language requirement on
8 this? Is this the same? For example, like 30 years or
9 20 years ago --

10 MR. AYRES: I think the grandfather
11 requirement on this is much more straightforward because
12 we do not at present put authorized user radiation
13 oncologists in bins as we do medical physicists. So,
14 there's no bins to sort the existing pool and they would
15 just get the full authorization.

16 DR. WILLIAMSON: I'm not sure you really have
17 that for physicists. I mean, you only have the one legal
18 category which is teletherapy physicists, and there's a
19 requirement in guidance that for HDR and gamma
20 stereotactic that you have a physicist do these things
21 who satisfied the definition of teletherapy physicists in
22 the current Part 35, plus has these additional trainings.
23 I think you do exactly parallel language for the
24 authorized user if I'm not mistaken.

25 MR. AYRES: We have authorized for 35.600,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 35.400, and 35.300. There's three bins if you would for
2 a therapy authorized user. For authorized medical
3 physicists we have the same three bins. They're
4 authorized for either teletherapy, high dose rate or
5 gamma stereotactic radiosurgery. That's how they're
6 currently binned. Now how it ends up, well let's not go
7 back there.

8 DR. WILLIAMSON: It currently refers to the
9 current Part 35. I mean, how they will be binned is what
10 you mean.

11 MR. AYRES: Under the current Part 35,
12 there's no binning of the authorized user for therapy
13 except in the broad 600, 400, 300. The medical
14 physicists are usually not involved in 300, the ones that
15 are working in therapy, they may or may not be. There's
16 no requirement that a medical physicist be there, so
17 that's not an issue. But they are binned 400, 600, and
18 300 in six bins. We heard your recommendation and
19 hopefully we can move on here.

20 DR. CERQUEIRA: We've got to think about the
21 physicist and Dr. Nag do you have a comment?

22 DR. NAG: Yes. We had a long discussion in
23 the last meeting and since I'm not clear what portion of
24 our discussion was acted upon, I would like clarification
25 here. One of the major discussions we had was what the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 radiation oncologist, the 500-hour requirements and those
2 500 hours, it was not clear were they to be 500 hours
3 separately for high dose rates, separately for gamma
4 knife, and separately for --

5 MR. AYRES: I can head that off quickly. The
6 answer's in your book, the letter from the chairman to
7 Dr. Hendee I believe. It gives our position on that and
8 it's that they will be aggregated in a single 500 or
9 whatever expansion task that is to meet the necessary
10 training.

11 DR. CERQUEIRA: While people are looking at
12 that so they can comment, since they haven't seen it, the
13 confirmation of preceptor statement, that's been
14 something that showed up on all of these, but if you make
15 that an eligibility requirement for the board, shouldn't
16 that satisfy your requirements as well?

17 MR. AYRES: Yes, and the issue is whether the
18 boards require it or not. It's not certain that ABR
19 does. The draft letter back to them will ask them "well,
20 what do you require in the way of meeting this objective
21 of the rule?" Their initial submission didn't go into
22 that.

23 DR. CERQUEIRA: Okay.

24 MR. AYRES: They may or may not. We'll get
25 down to the bottom and then there's the broader issues,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but you're already getting into most of those.

2 The medical physicists we have the same
3 issue that we had with the Board of Medical Physicists,
4 which is the three specific modalities. Again they ask
5 for the RSO qualifications. It's the same issue. They
6 really don't meet the one year specific training and
7 experience requirement and the preceptor statement under
8 35.50-A but they come in under 35.50-C again.

9 And the letter from the chairman to Dr.
10 Hendee really does give our position I think quite
11 clearly on the 500-hour, whether it sums for 400, 500,
12 600, 300 you end up with 2,000 hours and their answer is
13 no. It's 500 plus and the plus would be if you couldn't
14 stuff it all for all those modalities in 500. Yes.

15 DR. WILLIAMSON: Could you go back to the
16 radiation oncology slide application?

17 MR. AYRES: We're still on it.

18 DR. WILLIAMSON: No, there was one where you
19 listed all the things that ABR had requested. That's the
20 one I wanted to just make a comment on.

21 MR. AYRES: Oh, okay.

22 DR. CERQUEIRA: Just go backwards for the
23 sake of time.

24 MR. AYRES: There we go, okay. I was
25 figuring out if it was up, down, right or left.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. WILLIAMSON: Under radiation oncology,
2 Dr. Kapp's (phonetic) letter, you know, December 26, 2000
3 actually includes 35.390 which is the general
4 radiopharmaceutical authorized user status.

5 MR. AYRES: Yes, you mean under oncology?

6 DR. WILLIAMSON: Under oncology, yes.

7 MR. AYRES: Okay, I may have -- if it
8 includes it, it includes it and it's just an error on my
9 preparing the slide. But certainly addressing everything
10 that's asked for, and I just omitted one. I had it up
11 here. I didn't move it down here.

12 DR. CERQUEIRA: So Dr. Nag, did you get a
13 chance to look at the letter?

14 DR. NAG: Yes.

15 DR. CERQUEIRA: And you're in agreement with
16 the response?

17 DR. NAG: Yes.

18 DR. CERQUEIRA: Okay.

19 DR. NAG: That includes now.

20 DR. CERQUEIRA: Right. Now Bob, where do you
21 stand? I mean, you know the ABR was preapproved in the
22 past, so have you responded to them with these issues and
23 have they gotten back to you?

24 MR. AYRES: Well, we're holding the response.

25 DR. CERQUEIRA: So you haven't sent responses

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 out to any of the boards at this time?

2 MR. AYRES: Well, only two communications
3 went out, yes, the letter out to the American Board of
4 Nuclear Medicine which went out before we found out there
5 was a problem with getting the rule out in a timely
6 fashion, and the letter from the chairman to Dr. Hendee
7 which partially clarified some of the ABR issues.

8 DR. CERQUEIRA: Right. Well, I think the
9 suggestions of the committee would probably be that once
10 this gets resolved that hopefully we'll be able to go
11 forward with this. We'd really need to notify them
12 because to make some changes in the eligibility
13 requirements for preceptorship statements and everything
14 can take a year or two. I wouldn't hold up boards
15 pending the actual language in their eligibility
16 requirements.

17 MR. AYRES: Well understand there's no
18 deadline on this. If the rule becomes effective and they
19 haven't met the requirement and it's the decision of the
20 board whether they choose to alter the board. We're
21 getting ahead in the discussion item, where they wish to
22 alter their requirements in a sometimes major, or
23 sometimes minor way to meet the requirements. There's no
24 deadline. There might be a period of months or weeks or
25 years that they wouldn't be recognized, but once they do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they can go on the list.

2 DR. CERQUEIRA: But I think you can minimize
3 that. It would be in everybody's interest to do that.

4 MR. AYRES: Yes it would be --

5 DR. CERQUEIRA: It would minimize the
6 transition period.

7 MR. AYRES: It would be a big administrative
8 burden on us. This guy was certified in this time period
9 which means he's not eligible for this time. That would
10 be really -- it would be nice to avoid.

11 DR. CERQUEIRA: I guess what we're suggesting
12 is once the decision's been made and you've already done
13 the work and there's issues, and if these boards don't
14 know that there's issues, they're not going to be able to
15 respond.

16 MR. AYRES: The boards know the issues
17 because they in fact identified them themselves in their
18 letters to us.

19 MR. BROWN: This is Fred Brown. I can speak
20 for John Hickey. We agree, Dr. Cerqueira, these need to
21 go out as quickly as they can once we know the status of
22 the final rule and that's our plan.

23 MR. AYRES: Yes, we're continuing to work on
24 them and; in fact, I have several of them all drafted and
25 ready to go once we know which direction we're going.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CERQUEIRA: Hopefully that will be soon.

2 MR. AYRES: Yes.

3 DR. CERQUEIRA: Why don't we go on to, what's
4 the next board? Go ahead Jeff.

5 DR. WILLIAMSON: What are your responses to
6 the radiation --

7 MR. AYRES: We did --

8 DR. WILLIAMSON: -- excuse me, what are the
9 responses, your proposes responses in the letters for
10 radiation oncology?

11 MR. AYRES: Well, they're draft right now.

12 DR. WILLIAMSON: Can I ask what they say?

13 MR. AYRES: I basically reviewed them and we
14 got to go back with questions, particularly with regard
15 to the preceptor statement. I got to look at -- I
16 haven't prepared that letter yet. That one's under
17 preparation, but I need to look a little more closely
18 about their training and individual modalities too,
19 whether they certify that.

20 The American Board of Cardiology is under
21 review. It looks like, well they meet everything. It
22 looks like it's no problem, no outstanding issue, one
23 clarification. I talked with their manager.

24 There is a -- in the preceptor language it
25 says a preceptor has to have training -- or be an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 authorized user for 35.190 and 290 and the question came
2 up, do I need the 190 authorization if I'm serving as a
3 preceptor to only grant 290? It seems obvious that you
4 wouldn't if you're only going to write a preceptor
5 statement for 290, 290 would be all that you should need.

6 I think the rule more or less anticipated
7 that the nuclear, the pure diagnostic nuclear medicine
8 side where almost all of them ask for both 190 and 290
9 and many of the 300s. So there's no outstanding issues
10 that we can see there at this time.

11 The American Board of Science and Nuclear
12 Medicine look like they have a lot of problems because
13 they're -- well, I don't want to go into what the
14 composition board -- they're only asking for
15 authorization under 35.50-A. They have no other
16 available authorized user path, so 35.50-C is not
17 available to them and they clearly look like they have
18 difficulties in meeting the one year and the RSO
19 preceptor statements.

20 So right now I've got to write back to them
21 and, you know, ask for clarification on this. But if
22 they don't meet that, it looks like they would not gain
23 recognition.

24 DR. CERQUEIRA: Is anybody familiar with this
25 board?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. AYRES: It's kind of affiliated with SNM
2 or the American Board of Nuclear Medicine, and it's a
3 board of science professionals, Ph.D. chemists,
4 electrical engineers and other related medical
5 professionals that are kind of aggravated into this one
6 board.

7 DR. VETTER: I'll give you an example of the
8 type of person who might be certified by them who then
9 practices radiation safety, and that would be a
10 consultant. They've never actually practiced at a
11 medical center but they consult for many medical centers,
12 so there's no way to get the one year of experience under
13 a certified RSO.

14 MR. AYRES: Unless you go back in their
15 training which is by the board by now. Anyway, they
16 would certainly, those of their individuals who currently
17 are authorized as RSOs would retain that under the
18 grandfather provision. But it looks like they will have
19 difficulty gaining recognition.

20 Points for discussion. I think we hit most
21 of them. Those are the boards the work's been done on
22 since I last spoke to you.

23 DR. CERQUEIRA: How many others have
24 submitted?

25 MR. AYRES: I had the whole list at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 start. There's seven boards I believe that have
2 submitted.

3 DR. CERQUEIRA: So and we went over all seven
4 of those?

5 MR. AYRES: It's in the handout. The first
6 two slides are all of the boards that have submitted.

7 DR. CERQUEIRA: All right, so there are no
8 others then. Then basically you're up to date?

9 MR. AYRES: Yes, there are other boards that
10 haven't submitted and, in fact --

11 DR. CERQUEIRA: Well, if they haven't
12 submitted then --

13 MR. AYRES: Two osteopathic boards I've spoken
14 to. I didn't put slides on them because they have not
15 submitted. They intend to submit once the rule goes out.

16

17 DR. CERQUEIRA: Okay.

18 MR. AYRES: So there's others that plan to
19 submit but have not.

20 DR. CERQUEIRA: So we had discussions in the
21 past that there might be hundreds of boards that would be
22 applying, but the reality is the number has been
23 relatively small.

24 MR. AYRES: Yes, in fact the number of boards
25 that have currently applied are far less than the number

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of boards that are currently recognized. I think we
2 currently recognize twelve, seven have applied, and one of
3 those is a new board.

4 MR. LIETO: But aren't some of those foreign
5 boards, like the Canadians and the British?

6 MR. AYRES: Yes.

7 MR. LIETO: So they wouldn't --

8 MR. AYRES: There are two British we list and
9 I'm not too sure that hasn't co-listed a single British
10 board. The Canadians, there's three foreign boards in
11 there. The Board of Nuclear -- the Certification Board of
12 Nuclear Cardiology is a new one, and so we have six --
13 well four -- basically six currently longstanding boards
14 that have applied to us for recognition.

15 DR. CERQUEIRA: Good, well maybe we could save
16 five minutes for the intravascular brachytherapy
17 discussion which I'm sure will be. Any other questions
18 for Bob?

19 MR. AYRES: I think we've dealt with these.

20 DR. CERQUEIRA: Jeffrey.

21 DR. WILLIAMSON: I understand this issue's
22 going to come up again this afternoon, is that right?

23 MR. AYRES: I'm going to be at -- this
24 afternoon, so I won't be here. I'm scheduled to give a
25 talk this afternoon.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CERQUEIRA: Come up in what way? Under
2 new business?

3 DR. WILLIAMSON: Well I understood there was
4 going to be a speaker from the AAPM who was going to
5 address the issue again with a proposal.

6 MS. McBURNEY: That's correct.

7 DR. WILLIAMSON: Since Bob won't be here to
8 hear that person, you know, it might be appropriate to
9 discuss what the AAPM speaker has said. We have the
10 slides distributed here.

11 DR. CERQUEIRA: What are the wishes of the
12 committee, do it now rather than part of new business?

13 DR. NAG: We can do it now. It's the same
14 line.

15 DR. DIAMOND: I think it would be fine to do
16 it now. Bob is here.

17 DR. CERQUEIRA: Do we have the representative
18 then?

19 PARTICIPANT: He was told he wasn't on until
20 2:00, so he left.

21 DR. DIAMOND: So wait until 2:00.

22 DR. CERQUEIRA: Okay.

23 MR. AYRES: I managed to get dual scheduled.
24 Jeff is familiar with the competing meeting. One of the
25 items there is, Jeff is on the committee, but we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 working on, I think it's an important point to note when
2 you review the guidance document is one of the things NRC
3 is encouraging in the new regulations is adopting of
4 industry standards.

5 I have a committee working with Jeff on one
6 and there certainly could be more. Unfortunately, APM
7 does a lot of good work but they don't develop industry
8 consensus standards, and I think they're looking towards
9 doing something in that area. And so what, for example,
10 was pointed out in the guidance, you can accept the model
11 program, develop your own, or accept an industry standard.

12
13 DR. CERQUEIRA: Good. Well, thank you very
14 much. The next discussion is on update on intravascular
15 brachytherapy and Donna-Beth Howe.

16 MS. HOWE: I don't have a microphone. Okay,
17 can you hear me? I'm essentially going to be giving you
18 an update on the guidance that we put out for
19 intravascular brachytherapy. I don't have any slides
20 because I'll be speaking to the handouts in your
21 notebooks, and at the end I'll give you just a quick
22 update on mis-administrations that have occurred since the
23 last time we met.

24 What you have in your handout is the June 12,
25 2001 letter memorandum to the regions from Don Cool,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 giving updates on guidance. It supercedes two memos that
2 went out, one was February of 2001, which was addressing
3 the Novoste beta cath and the other was January 26th which
4 was discussing the Cordis system.

5 The major differences are that we have kind
6 of written things in a little bit more general and concise
7 manner. Primarily in training and experience, that's the
8 same. We're still requiring 35.940 for intravascular
9 brachytherapy for these particular devices. Intravascular
10 brachytherapy is not one field. It may be many different
11 field depending on what the device is. So, what I say for
12 these two devices may not apply for the next device coming
13 down the road, okay.

14 We're still requiring vendor training for the
15 authorized user, the interventional cardiologist and the
16 medical physicist. We are no longer really defining
17 things as a team but we're saying that the authorized user
18 is responsible for the procedure and that the authorized
19 user will consult with, an intravascular cardiologist or
20 that could also be an interventional radiologist, and the
21 medical physicist.

22 And then instead of requiring in the earlier
23 memos all three members of the team to be physically
24 present during the procedure, we've indicated that you
25 must have the physical presence of the authorized user or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the medical physicist. That in sort, we assume the
2 cardiologist will be there, but there is some optional
3 leeway there. Dr. Nag?

4 DR. NAG: I think I have very strong
5 reservations about that. We had a lot of discussion at
6 the last meeting.

7 MS. HOWE: You did.

8 DR. NAG: And there was no final consensus
9 that this should be an or. Just changing that one word
10 from and to and/or makes a huge difference without
11 consulting or without talking back to the ACMUI.

12 The reason I have great reservation is that
13 by changing this to an or, you would have a scenario that
14 you are having an interventional cardiologist present who
15 is very good in putting in catheters and taking care of
16 the interventional part of it, and you may have a
17 physicist very good in calculation, but does not have the
18 anatomical know-how of blood vessels inside, and if there
19 is a problem you don't have that one person there who has
20 both the radiation safety knowledge in their head as well
21 as the medical training required to intervene with that
22 second part. That very much concerns me.

23 So this should not have remained an or
24 without getting back to us. This should have remained as
25 an and and not an or. So it's that one word. And the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 other thing that concerns me is that you can make -- this
2 is not a regulation, but this is what, an amendment? No.

3

4 MS. HOWE: This is a guidance.

5 DR. NAG: Yu can make a guidance where you
6 make a slight change of the word and that changes the
7 entire meaning and entire substance of the whole ruling
8 and that very much concerns me, and I would like to have
9 some feedback from some of the other members of the
10 committee about this.

11 MS. HOWE: I reviewed the transcript from the
12 last meeting several times before in preparation for this
13 and it appeared to us that in the last meeting, there was
14 pretty much a consensus that the committee did not want to
15 require all three individuals to be there and that the
16 flexibility of two individuals would be more acceptable to
17 the committee members.

18 What we tried to do in specifying the
19 authorized user and the medical physicist is to insure
20 that we will always have someone there that has radiation
21 safety knowledge and the ability to do dose calculations
22 in brachytherapy.

23 It can either be the authorized user or if
24 the authorized user is not available and it's just the
25 interventional cardiologist, the interventional

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cardiologist has substantial experience in, or the
2 interventional radiologist because it may not be the
3 coronary arteries, has extensive experience in the medical
4 aspects, can recognize when the patient's having a medical
5 problem, can take care of that, while at the same time,
6 the medical physicist can supplement that information as
7 far as the dosimetry, so he can know pretty quickly
8 whether he's got a radiological concern in addition to
9 whatever the problem is.

10 DR. NAG: But the concern that I have, you
11 don't have that one person who has them both. Because in
12 an emergency what you need is somebody who's familiar with
13 both.

14 Let me give you a scenario. The major
15 scenario I'm worried about is the fact that source is now
16 inside the patient. The physicist can do the calculation
17 and say well the set amount. But the physicist is not
18 familiar or not very competent about handling anatomical
19 stuff.

20 So now it goes back to the interventional
21 cardiologist who is very good at the interventional
22 procedure but is not very comfortable with handling
23 radioactive material. So who is going to handle it now?

24 DR. CERQUEIRA: Dr. Brinker is in the audience
25 and he was actually at the last meeting. Maybe we could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 get him to come to the microphone and make some comments
2 as well. But while we're waiting to do that, maybe Dr.
3 Williamson, you wanted to make a comment?

4 DR. WILLIAMSON: I think we didn't come to a
5 consensus that there should be an and, and some of the
6 considerations that were involved is that the radiation
7 oncologist is still the authorized user. The regulations
8 are very clear that that individual has responsibility for
9 the conduct of the procedure and has the ability to be
10 there, require himself or herself to be there, or
11 designate a resident of, if appropriate, if the physician
12 has confidence in the physicist and the rest of the team
13 to handle it, then just that group.

14 I think the intent was to provide some
15 flexibilities to licensees, recognizing that the devices
16 have very different levels of complexity, very different
17 levels or probabilities of error and problems and that one
18 size doesn't fit all. And we did have quite an extensive
19 discussion.

20 DR. CERQUEIRA: Yes, we did.

21 MS. MCBURNEY: I don't think it was a
22 consensus one way or the other.

23 DR. WILLIAMSON: Yes, I think we couldn't
24 achieve a consensus on the and, that's for sure.

25 DR. CERQUEIRA: Dr. Brinker, do you want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 make any comments?

2 DR. BRINKER: Well obviously I appreciate the
3 opportunity to speak to you all again and I configured
4 myself between my colleagues, radiation oncologists. I'd
5 just like to say that the logistical problems that we
6 discussed at the last meeting were accompanied by a
7 suggestion and that is that we don't preclude situations
8 where there is an agreement between all three members of
9 the team that a cutting edge approach to this might be
10 taken to solve a potential logistical -- not a potential,
11 a real logistical problem in many areas.

12 This by no means meant to disenfranchise any
13 member of the team, all three of which we consider to be
14 very important. The background of some of this is the
15 fact that this scenario of having a radiation oncologist
16 aware of a particular case or situation but not
17 necessarily physically present has been used pretty
18 frequently in Europe, which operate under a number of
19 constraints, some of which don't pertain to us.

20 But, the concept is not unreasonable. My
21 thought when I proposed this the last time was that in
22 certain institutions where you have the three members of
23 the team agree to this configuration, and who will put the
24 necessary monitoring and checkpoints in motion, that this
25 could be done. I don't think in proper reflection that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this should be a problem for anybody, because if the
2 radiation oncology arm of the team doesn't agree at that
3 institution, that should be respected, and that was the
4 gist of the comments.

5 I thought actually when I left that people
6 pretty much agreed to that concept. The wording may be a
7 little bit less precise and it could certainly be
8 corrected by just saying when all three members of the
9 team agree, and I hope everybody would be happy.

10 MS. McBURNEY: I think I'd also like to point
11 out that just because we say the authorized user or the
12 medical physicist have to be physically present, that does
13 not exclude the cardiologist from being physically
14 present.

15 DR. NAG: I don't think that answered my
16 question at all. My concern was somewhat different.

17 MS. HOLAHAN: You want the authorized user
18 there at all times?

19 DR. NAG: If the authorized user, like now the
20 authorized user is the only person who is most confident,
21 familiar with both components, the radiation component as
22 well as the medical anatomical component. I would like to
23 invite Dr. Tripuraneni who has been doing interventional
24 brachytherapy longer than I have and see what you think
25 this would do to your practice. He's a pioneer in this,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and I invite -- Manny, can I invite Dr. Tripuraneni to say
2 a couple of words?

3 MS. HOLAHAN: I would like --

4 DR NAG: It is very important.

5 MS. HOLAHAN: I'd like to point out that in
6 the last meeting, one of the major concerns, and I think
7 the committee discussed it for a significant amount of
8 time was the fact that, at many of the hospital, they
9 could not get the radiation oncologist for 24/7 coverage.
10 They couldn't get the medical physicist for 24/7 coverage
11 and so there was tremendous discussion about the fact that
12 all three members of the team at many hospitals weren't
13 available for 24/7. So there needed to be some kind of
14 flexibility, some kind of compromise that the team could
15 go ahead and treat patients without all three being
16 present.

17 DR. NAG: Except that it's much easier because
18 radiation oncology and medical personnel and who are
19 already on medical standby, it's much easier to get a
20 radiation oncologist immediately than to get a medical
21 physicist immediately. The other thing is, if you have a
22 situation where they are so understaffed and they can not
23 have center coverage, then that center should not be doing
24 treatment with high dose radiation where there's a
25 potential for severe problems.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CERQUEIRA: Well, I think that some of the
2 discussion related to the fact that some of these devices
3 are much more straightforward in terms of the
4 administration, the dosing and everything else. There was
5 a lot of discussion, I think Neki made some points, that
6 if you're going to be denying access to some patients for
7 a technique which is valuable, then that really kind of
8 limits the care.

9 I certainly would entertain, make a three-
10 minute comment period if you'd like to make it about your
11 experience with intravascular brachytherapy. This is
12 obviously a difficult question. We'd like to get
13 everybody's viewpoint and I think what the staff was
14 trying to do was just trying to be pragmatic to make the
15 service available in a way that would help the patient and
16 clinicians. If you could come to a microphone. Do we
17 have one back there?

18 DR. TRIPURANENI: Thank you for recognizing
19 me.

20 DR. CERQUEIRA: I'm going to watch the clock,
21 so I don't want to be rude, but this is an add-on, so
22 three minutes.

23 DR. TRIPURANENI: We started vascular
24 brachytherapy in March, 1995. We have done about close to
25 1,200 cases of it so far. We have experience with just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about all systems that are currently approved and also
2 currently going through the investigational procedures.
3 I think it's probably important to have all three members
4 of the team and this was the point of Dr. Nag.

5 I do agree that there are multiple systems,
6 and even though some systems may seem straightforward and
7 simple, some of the difficulty in administering and mis-
8 administration seems to happen with one system more than
9 the other. It's probably the design of the system rather
10 than actually the isotope, et cetera, right in there.

11 That's when I think it's important to have
12 all members of the team for the safety of the patient more
13 than anything else. By giving the leeway, I think what
14 you're doing is you're really not asking the institutions
15 to develop policies and procedures.

16 I do respectfully disagree that actually the
17 this is really not a 24 hour and 7 days procedure. Most
18 of the institutions have developed policies and procedures
19 how to actually integrate there day-to-day practice
20 between interventional cardiology and radiation therapy.
21 For example, we have not denied a single patient so far,
22 even though technically we do only two periods of this
23 procedure, and then we're doing corporate emergencies that
24 come in because it's for instant regional cell only.

25 So I don't think it's really a 24/7. We can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 work out these things into the day-to-day procedures sir.
2 I think the European candidate training is somewhat
3 different and actually they are much more broad-based. In
4 some of the European countries, you really don't even need
5 a radiation oncologist, and in fact, to give chemotherapy,
6 you don't need a chemotherapist, a radiation oncologist
7 can give chemotherapy. So you really can't extrapolate
8 experience from there to here.

9 So in summary, I think from our experience
10 having used all systems, I do think actually having all
11 three members at the table is helpful.

12 MS. HOLAHAN: What facility are you from?

13 DR. TRIPURANENI: Scripps Clinic in La Jolla.

14 MS. HOLAHAN: Okay.

15 COURT REPORTER: I'm sorry, could the speaker
16 identify himself for the record please.

17 DR. TRIPURANENI: Prabhakar Tripuraneni and
18 I'm a radiation oncologist at Scripps Clinic in La Jolla,
19 California.

20 DR. NAG: For your information, Scripps Clinic
21 was the first institution and that institution has a long
22 list of experience in intravascular brachytherapy in this
23 country.

24 DR. CERQUEIRA: Dick?

25 DR. VETTER: I have just a little bit of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 problem with the patient who is on the table. You're
2 doing angioplasty and the cardiologist decides that this
3 patient would be ideal for IVB. The cardiologist can get
4 a hold of the physicist and the radiation oncologist but
5 both can't come there immediately to do the procedure.
6 They agree on what the prescription should be, but the
7 only way they can do the procedure is to pull the catheter
8 and do the patient again tomorrow, and that introduces
9 more risk.

10 DR. NAG: I think I'd like to -- you've had
11 several of these. Can you tell me how you responded to
12 this situation?

13 DR. VETTER: And while he's on the way to the
14 phone or to the microphone, it introduces more risk and
15 we're asking the regulator to make a decision about that
16 risk. Personally, I think it ought to be the medical team
17 that's making the decision about whether or not to
18 reintroduce a catheter tomorrow.

19 DR. CERQUEIRA: I'd like to add as a clinical
20 cardiologist, for me to take a patient out of the cath
21 lab, a lot of these people come in with instent restenosis
22 with an unstable course. They're having symptoms and to
23 basically have to leave them on anticoagulation for 18, 24
24 hours adds a certain amount of risk, leaving the sheaths
25 inside add some additional risks, taking the sheaths out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and then having to put in new sheaths adds even more risk
2 on the anticoagulation. So it's not an ideal situation.

3

4 If you can basically get somebody there who
5 has the experience and the knowledge to calculate a dose
6 and do the procedure, that's optimal for patient care.

7 DR. NAG: And I have had that situation happen
8 to me much more frequently with the intra operative
9 radiation where the surgeons are taking too much out and
10 they need me immediately, and that happens at a much
11 higher frequency than ever happened to me in intravascular
12 brachytherapy. Radiation oncologists because they are
13 doing so much brachytherapy for cancer work, are much more
14 readily available than apprentices. Apprentices at night
15 are more difficult. Radiation oncologists are always
16 available for radiation emergency. If in twenty minutes
17 you can not remove radiation from an implanted patient,
18 that hospital should not be doing any brachytherapy at
19 all.

20 DR. WILLIAMSON: But do the Federal
21 regulations require you to be present to do an
22 intraoperative implant?

23 DR. NAG: We are the one doing the
24 intraoperative, no one else.

25 DR. WILLIAMSON: You are the one doing it, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you're able to staff that in the way you want without a
2 Federal regulation that requires only you and you alone to
3 be there.

4 DR. NAG: For high dose rate brachytherapy
5 yes. The authorized user has to be present and
6 intravascular brachytherapy at the dose rate is apparently
7 given this high dose rate brachytherapy.

8 DR. WILLIAMSON: Yes, but the treatment for
9 high dose rate brachytherapy yes, but not for laying down
10 the catheters in the operating room. There's no NRC
11 requirement that requires --

12 DR. NAG: That's fine. You can lay the
13 catheter for intravascular brachytherapy, just don't put
14 the radiation source in.

15 DR. CERQUEIRA: Some of the discussion that
16 occurred last time also related to the fact, we're talking
17 right now about very specialized centers with expertise
18 with a lot of bodies around, but if you're really going to
19 do this, in not such a prestigious institution and
20 especially as you identified the fact that radiation
21 oncologists are getting busier. They're doing more things
22 in the operating room which makes availability more of an
23 issue for clinical sites.

24 I can tell you at our center, we have to
25 electively schedule these two days a week and sometimes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we've got patients coming in and the radiation oncologist
2 has an emergency of some sort that we basically can't do
3 the procedure. So I think the discussion last time was,
4 if you're going to have a technique that's been official
5 and you're going to make it available to do the greatest
6 good for the patients, you need to streamline the process
7 in such a way that you can make it available, while at the
8 same time guaranteeing safety.

9 DR. TRIPURANENI: The great majority of the
10 patients with instent restenosis, at least in our
11 institution, are scheduled procedures. That's where this
12 has been approved to use in radiation therapy. I would
13 say in excess of 95 percent of them.

14 We do an occasional emergency that actually
15 could not wait. For example, somebody comes in let's say
16 on a Friday morning, we certainly don't wait until next
17 week. We actually go in and do the case at Friday noon or
18 whatever. We do want to take care of the patients first
19 there.

20 The second thing I think is one the
21 situations that the chairman talked about is somebody at
22 their periphery. For example, several small centers where
23 they do a diagnostic angiogram find an instent restenosis
24 and actually ship the patient as of that point in time, we
25 actually accommodate them within the next several hours to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 actually take care of those patients.

2 And as they're getting comfortable, they
3 actually go into angioplasty at that point so that the
4 patient is unstable. However, they do not have radiation
5 therapy available at that center. They actually ship the
6 patient to regional centers such as our site and
7 elsewhere.

8 In the beginning we did not know what to do,
9 but I think with the recent June 12th NRC guidance
10 document, we actually decided to go ahead and offer
11 radiation therapy at that point, within the first 48
12 hours, rather than wait for the next instant restenosis.
13 Where there is a way, you can find ways to actually do it
14 and I think having to do this vascular brachytherapy with
15 the two members should be an exception rather than the
16 rule.

17 DR. NAG: The other thing that concerns me is
18 that if you having the procedure being done in centers
19 that are doing very few of them, in centers that are not
20 well equipped to do this, you are going to end up with
21 poor results. And once you start getting poor results,
22 you tend to wipe out an extremely good technique because
23 it's not done well.

24 So, I would prefer these to be done in
25 centers that have the experience, that have the know-how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and that have the safety to back them up. If you're doing
2 only it only once in a blue moon, you can not respond to
3 emergency.

4 The other thing that concerns me, I am doing
5 intravascular brachytherapy and let's say at my center,
6 because of a new ruling, the cardiologist says well, we
7 will be doing this with a physicist only. Now, I'm the
8 authorized user. It is going under my license. If
9 there's a problem, I'm not doing it but I'm responsible
10 for it but I have no way of supervising, no way of knowing
11 what is going on under my own license. I am not prepared
12 to have things done under my license when I have no
13 control over what's going on.

14 And also, if I don't do it often enough,
15 let's say the cardiologist says well, we have to do it
16 now, they don't call me. They do it with a physicist. I
17 would not be keeping abreast and later on when I have to
18 go into it, I will just like a hospital where I'm doing
19 one a year and I have no idea what I'm doing.

20 DR. CERQUEIRA: Let's sort of go around the
21 room. This is obviously a complicated issue and we
22 haven't heard from some people. Why don't we sort of
23 start at this end and float around. Dick.

24 DR. VETTER: Number 1, I am a firm believer in
25 efficacy but I do not believe that's within the purview of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the NRC and I don't think we want it there. Number 2, at
2 any institution the authorized user is responsible, and if
3 the authorized user's uncomfortable with the way things
4 are done or proposed, the authorized user simply must say
5 no.

6 DR. WILLIAMSON: I think the other thing I
7 would like to point out is we have a long debate during
8 the development of the new Part 35 over the staffing of
9 remote afterloading procedures and the community pushed
10 very hard to relax the attendance requirements for high
11 dose rate brachytherapy, from requiring a medical
12 physicist and an authorized user to be present during the
13 whole treatment, to medical physicist plus a physician
14 trained to undertake emergency applicator removal under
15 the supervision of the authorized user.

16 So you know, we do have precedents where we
17 attempted to sort of put in place a guidance that was a
18 little more balanced, that respected patient safety, but
19 gave some flexibility in staffing so that in an
20 institution. Where you have a senior resident that you
21 trust to delegate this responsibility to, you don't have
22 to be there every minute and you can write the written
23 directive, have your designee be there.

24 So I think this kind of a guidance allows you
25 to, I think, tailor the staffing policy to the complexity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the procedure and the risk.

2 DR. NAG: I'm telling you not the way this
3 guidance is written, not saying that you must have less
4 than -- it doesn't allow me to have a designee there.

5 DR. WILLIAMSON: Sure it does.

6 DR. NAG: I have no problem if I have a
7 designee there.

8 DR. WILLIAMSON: It's consistent with that.

9 DR. CERQUEIRA: Let's sort of go around and
10 we'll give everybody a chance to -- Sally.

11 MS. SCHWARZ: I believe that within an
12 institution certainly, you have to have guidelines and I
13 think for the NRC to regulate all of these issues, I think
14 it becomes more inflexible. I understand your concerns
15 but I think each institution will have to essentially --
16 I think that the regulation can't be so constrictive and
17 that it's better to allow within the institution you to
18 make choices and set up a guidance that allows you to
19 operate safely and effectively, rather than to be
20 regulated.

21 DR. CERQUEIRA: Okay. Ruth do you have
22 anything?

23 MS. MCBURNEY: Yes. Right now most of the
24 states, agreement states, are requiring the three-person
25 team approach. I think leaving it in guidance will allow

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 more flexibility than certainly to put any rule in place.
2 This is a relatively new area and we need to see how that
3 approach is going to go and whether we can pull back and
4 be a little more flexible as was mentioned, a delegated
5 type approach for the medical end.

6 In some cases, not this particular case, but
7 we've allowed for the supervision to be available in the
8 facility in case of an emergency type situation rather
9 than to be actually, physically present in the room at all
10 times. But what I think that we need to do is kind of see
11 how we're going and what sort of problems arise and how to
12 address those, but leaving in guidance.

13 DR. CERQUEIRA: Leon, do we have enough time?

14 DR. MALMUD: I'll be very brief. I think that
15 the credentialing process of the Joint Commission for
16 Accreditation of Health Organizations is one which gives
17 this responsibility to the medical staff of the hospital,
18 and this should be a credentialing issue within the
19 institution.

20 It would be a mistake for us to assume that
21 the NRC with all of its wisdom should be the party to
22 declare who should and who should not participate. Having
23 said that, it would be extremely wise for each healthcare
24 institution that will be doing brachytherapy to have
25 participating in the process someone who is either the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 licensee or the designee of the licensee to make certain
2 that your concerns are addressed. But I don't believe it
3 should be through the NRC. It should be through the
4 individual institution.

5 DR. CERQUEIRA: Okay. Ralph.

6 MR. LIETO: I feel that with the guidance that
7 it should remain guidance. I agree that it shouldn't be
8 a rule, that the authorized user determines the team
9 components. I think having it stated that the
10 cardiologist or interventional radiologist be there is
11 really kind of a moot point. They're going to be there
12 no matter what because of the fluoroscopy that's done.

13 And so basically what I think it comes down
14 to is the authorized user and/or the physicist aspect and
15 I think that depending on the facility that the authorized
16 user is the guy in charge. He's the one that's
17 accountable to the radiation safety committee or the NRC
18 and they should determine the team components.

19 In some institutions, the physicist is
20 mainly there. He's not there to do treatment planning or
21 time and so forth. That's all been done beforehand.
22 They're mainly there to handle if there's an emergency
23 removal that things are done safely, that surveys are
24 taken care of, and it very well could be that you could
25 have in some institutions a very qualified dosimetrist

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that could perform that aspect that's been trained.

2 So to say that it has to be the specific team
3 players, I think that the authorized user should be the
4 person that's placed in charge and determine what those
5 team components are and who needs to be there and so
6 forth. I agree, I mean if the facility staffing does not
7 allow 24/7 coverage, they shouldn't be doing 24/7
8 coverage, okay. But it's the authorized user that has the
9 say in that.

10 DR. DIAMOND: I agree with a lot of the
11 statements that were just mentioned. We discussed this at
12 our hospital at great length. We're the largest
13 cardiovascular hospital in the country, and in the past
14 year I myself have done 300 of these cases.

15 Basically what we decided is that our policy
16 will be that we would wish that all three members be
17 present at all the cases unless there is some circumstance
18 which made it physically impossible, some extenuating
19 circumstance, and that allows us this flexibility if a
20 person's coming on in for an emergency case and either the
21 physicist or the radiation oncologist, you know, has an
22 accident or has a problem. It gives you flexibility to
23 proceed without incurring some type of therapeutic
24 misadventure.

25 But again, this was an issue that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discussed amongst our medical staff. We have our bylaws
2 for the Department of Cardiology reflective of this, and
3 we feel very comfortable. I myself would not feel
4 comfortable treating a person with a high dose rate
5 procedure without having an opportunity to discuss the
6 risk and benefits with the patient in advance. And again,
7 this is just how we decided to do it at our institution.
8 We feel very comfortable with this approach, and this
9 flexibility.

10 My one reservation regarding this whole
11 process was that the guidance document which was
12 promulgated on June 12th, I don't think reflected that
13 sense. I don't think it reflected the sense that: 1) we
14 had not reached a consensus at the last meeting or that,
15 2) if one allowed this to proceed without all three
16 members present, perhaps the best argument would be some
17 sort of an exceptional circumstance.

18 But in any event, I think most of the
19 discussion is moot in that the authorized user is the
20 ultimate person responsible for the management of the
21 procedure and that each medical staff needs to discuss
22 this and develop policies that are commensurate with what
23 they feel comfortable with. I should also say that of the
24 300 cases that I myself have helped perform, only one has
25 been a middle-of-the-night case thus far.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I guess one other thing that perhaps would be
2 useful for the advisory committee to know is that my
3 personal sense is that this field is going to continue to
4 evolve in that what we're seeing is that perhaps in the
5 next year or two, these new coded stents may be a
6 wonderful boon for our patients in reducing the primary
7 rate of restenosis.

8 Many individuals think that perhaps what
9 we're going to be seeing is a shift from many of our
10 patients having fairly straightforward lesions, meaning
11 big vessels, large diameters, that's to say short lesions,
12 non-diabetics, to a shift towards treating these folks
13 with the most complex of lesions bifurcations repeat
14 treatment, patients that have had perhaps radiation
15 procedures before.

16 So the field really continues to evolve and,
17 if anything, I think we're going to be leveling off on the
18 number of cases that we perform at our institution on an
19 annual basis, but shifting it toward the high-risk
20 patients.

21 DR. BRINKER: I don't have anything to add to
22 the cogent comments made by everybody else here. I think
23 that the key is flexibility and leaving the responsibility
24 to the authorized user for his or her appropriate
25 delegation when they're confident it can be carried out.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I would just like to take the opportunity to
2 thank the commission for two other pieces that were in
3 that guidance that have greatly facilitated all of our
4 work in terms of not feeling bound to the specific FDA
5 indications, and the step back procedure. I think that
6 that has done a great service to us all, and I want to
7 thank you for that.

8 DR. NAG: Well, I think now having heard from
9 all of you, I think what people are saying is reasonable
10 but then the wording that you have here has to be changed
11 slightly to reflect that, just like the and and or
12 wording. I think this should be changed so that it's
13 authorized user or designee and the designee could be
14 under exceptional circumstances, and I have no problem
15 with that.

16 The other thing is that this has to be
17 recognized that interventional brachytherapy is nothing
18 but high dose rate brachytherapy because the definition of
19 high dose rate brachytherapy is 12 mR per hour. Anything
20 more than 12 mR per hour is high dose rate brachytherapy
21 and if we did not have the specific technically staff for
22 brachytherapy, this whole thing would have been under the
23 definition of high dose rate brachytherapy and that's how
24 we would have managed it.

25 So, almost everything that's under high dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 rate brachytherapy should be applied to this as well, and
2 therefore it is nothing but high dose rate brachytherapy.

3 MS. HOWE: I think that was Jeff's point is
4 that in the HDR, in our guidance required all three people
5 to be there.

6 DR. WILLIAMSON: I think there's a technical
7 difference between many of the systems available for
8 intravascular brachytherapy and conventional high dose
9 rate brachytherapy.

10 The latter is photon emitting, has extremely
11 high activity sources, and involves an entirely different
12 overlay of technical complexity, having to do with the
13 single stepping source device, the need to have a remote
14 afterloading versus -- so the 35.600 section was crafted
15 very carefully to be focused on existing high dose rate
16 devices.

17 And, I think if one of those devices were
18 used for intravascular brachytherapy, such as in the
19 peripheral vessels, I think you'd be absolutely right that
20 NRC, you know, without question should use the 35.600
21 guidance in determining what the attendance and various
22 technical restrictions are. But I don't think, for
23 example, the Novoste device that would be completely
24 appropriate.

25 DR. NAG: But then intravascular brachytherapy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 under which all of these things go, also include iridium
2 at more than 500 millicurie and that will be the problems
3 with a high energy gamma emitter, the same or similar as
4 iridium.

5 DR. WILLIAMSON: But it's not remote
6 afterloading, so --

7 DR. NAG: It's manual.

8 DR. WILLIAMSON: It's manual.

9 DR. NAG: Yes.

10 DR. CERQUEIRA: Okay, we'll give Neki the last
11 word.

12 MS. HOBSON: Okay, you know my stand on this.
13 I do not want to see treatment of the patient denied or
14 delayed on some technical regulatory technicality. I
15 mean, I think it's the medical care, the medical
16 profession is obligated to give that patient the very best
17 care, and if that involves three people or two people, you
18 know, I'm not going to be counting heads.

19 I would assume, and I agree with the comments
20 that have been made around the table, that the medical
21 institution and in this case the authorized user, would be
22 responsible enough to make sure the expertise is available
23 to do the procedure. But I don't want to leave the
24 patient dying on the table while we go run for someone
25 else.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CERQUEIRA: I guess a lot of what we're
2 saying is the practice of medicine is something that's
3 already regulated at the hospital level, and radiation's
4 covered under a lot of that. But obviously there's
5 inherent risks and so we want to stay within those
6 guidelines provided that we can give the patients what
7 they really need. Now Ralph, you wanted to make a
8 comment?

9 MR. LIETO: Yes, I was just going to say that
10 when we consider this guidance, Dr. Nag's point is well
11 taken that we can't separate, you know, beta midicurie
12 versus gamma midicurie because of the guidances being
13 written to apply to all the systems. So, I think this is
14 one thing we need to be careful of there.

15 MS. HOWE: I think as you look through the
16 guidance, you'll see that for those things that are common
17 --

18 MR. LIETO: I'm referring to the issue of the
19 team presence.

20 MS. HOWE: Yes, those particular issues.

21 DR. CERQUEIRA: Yes, Neki.

22 MS. HOBSON: Well is it too late to, you know,
23 maybe Dr. Nag has some substitute language that would
24 clarify the guidance if it isn't too late.

25 DR. NAG: My suggestion would be as I said,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 authorized user or designee. If you put that in there, I
2 have no problem. Then if the authorized user in charge,
3 if he feels that a certain person has a similar level of
4 expertise, he can ask that person to come and I have no
5 problem with that. For example, if I'm busy, I'm doing an
6 intraoperative case, I can ask a senior resident, who is
7 most expert in radiation and expert in the anatomy, to be
8 there to be able to take that out if necessary in an
9 emergency. That's not the problem.

10 But the way this language is, it leaves open
11 that in one center, you may not have authorized users in
12 any of the cases and that center would be in severe
13 trouble if there was an emergency and neither of those
14 personnel were very familiar to handle an emergency in
15 that circumstance.

16 DR. WILLIAMSON: I think if that's so, you
17 know, it should be amended in such ways to make it
18 symmetrical between the physicist and the physician so
19 that it's one or the other, or designee.

20 DR. NAG: Or designee, yes.

21 DR. WILLIAMSON: Or designee of either. I
22 mean, because you know, as Ralph pointed out, it would be
23 appropriate under some circumstances for the physicist to
24 designate a therapist or dosimetrist to cover the case.

25 DR. NAG: I agree with you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CERQUEIRA: But I guess the one thing is
2 so that means -- I think some of the gist that came up,
3 you obviously need the cardiologist there, and if the
4 medical physicist is there and can deal with some of the
5 issues, can the team just be the medical physicist and the
6 cardiologist? Could that designee be the cardiologist
7 who's appropriately trained?

8 DR. NAG: No, because the cardiologist is
9 appropriately trained in the anatomical positioning, the
10 isotope positioning, but is not adequately trained in the
11 radiation safety and handling of radiation material in an
12 emergency. We do this as a team in our department. If I
13 were not there, the cardiologist would have a difficult
14 time trying to assess under what situation they could take
15 it out, when they could take it out, handling radioactive
16 material.

17 I have great regard for them in that
18 adequately placing the catheter. I depend on them to do
19 that, but I would not depend on them to be taking out the
20 source in an emergency. I have no problem having a senior
21 resident do that because I have taught him for three
22 years.

23 DR. WILLIAMSON: I agree completely with Dr.
24 Nag on this point. I think first of all, there's a
25 problem of having sort of a board certified individual in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 another field being the designee, because I'm not sure it
2 satisfied the supervision requirement. And secondly,
3 there's a virtue in having redundant personnel available
4 whenever you're doing, I think, a procedure like this.

5 So I think it would be surely a mistake not
6 to have one person who is in a formal sense under the
7 supervision of the authorized user and who has mainly sort
8 of a technical safety background that can be a
9 counterbalance and a separate pair of eyes and hands to
10 the cardiologist.

11 DR. CERQUEIRA: Maybe I misunderstood some of
12 the discussion because I think some of the points that
13 were made was that we're dealing with a cardiologist who's
14 been through three years, four years of medical school,
15 three years of internal medicine training which includes
16 oncology, three years of cardiology which includes a lot
17 of radiation and nuclear cardiology, nuclear medicine, and
18 then he's got a fourth year of training in interventional
19 cardiology, which is very extensively involved.

20 So we've got four years, plus three of
21 internal medicine, that's seven; three years of cardiology
22 is ten and an extra year as an interventional
23 cardiologist, that's eleven years beyond college, can't we
24 train that person somewhere in there to deal with some of
25 these issues or -- I mean, what have they learned during

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 all that?

2 DR. WILLIAMSON: Why don't you count up the
3 years of training of a radiation oncologist and an
4 authorized physicist as well and then ask, is the
5 cardiologist going to, you know, absorb that additional
6 training?

7 DR. BRINKER: Can I just make one point --

8 DR. CERQUEIRA: Go ahead.

9 DR. BRINKER: -- that I think is germane to
10 this? I think that if we're interested in supplying the
11 best service and the greatest flexibility, I think it's
12 naive to think that if the authorized user feels that the
13 cardiologist at his or her institution is adequately
14 trained in bailout technique, that he could designate that
15 person.

16 In some places, there is no resident and in
17 other places it's an affront to have, you know, an
18 interventional cardiologist. I've done hundreds of these
19 procedures and for them to be -- and at none of them as
20 there ever been a radiation oncology resident in when a
21 time when the authorized user can't be there for him to
22 say "well, I'm sending this resident to be there." It
23 just doesn't make the same sense.

24 So I want to take this away from a turf issue
25 and make it more a patient safety and patient efficacy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 oriented issue, and I think that putting too limiting a
2 wording on this will not really change the issues which
3 prompted our concern about this.

4 DR. WILLIAMSON: So are you arguing that the
5 existing wording should remain or some additional
6 modifying the word as it sits.

7 DR. BRINKER: I wouldn't mind the existing.
8 I want to keep the authorized user in the place that he
9 is, but I want --

10 DR. NAG: It is all.

11 MS. HOWE: The authorized user --

12 DR. BRINKER: No, what I'm proposing --

13 MS. HOWE: The authorized user, it says in the
14 beginning that the procedure will be conducted under the
15 supervision of the authorized user who will consult with
16 the interventional cardiologist, physician, medical
17 physicist prior to initiating treatment. So the
18 authorized user is still responsible. He is still
19 providing the supervision. It's his decision whether that
20 supervision is in the physical present or more remote.

21 DR. DIAMOND: I think that this last two or
22 three minutes of discussion truly is moot with respect to
23 what Dr. Malmud has said and what I have said. I think
24 this gives the flexibility for unforeseen or exceptional
25 circumstances for the procedure to go ahead.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I think it also makes it very clear that
2 the authorized user is the ultimate responsible party, and
3 that that institution under the direction of the
4 authorized user needs to develop policies on how they wish
5 to proceed with regard to this technique and this
6 technology. And, I feel comfortable at this point,
7 keeping it the way it is because I don't think the
8 language we could come up with is going to be any better.

9 DR. CERQUEIRA: Let's go around. Richard,
10 what do you?

11 DR. VETTER: I'm comfortable with the way it
12 is.

13 DR. CERQUEIRA: Jeffrey?

14 DR. WILLIAMSON: I think under the
15 circumstances, yes I'm comfortable the way this guidance
16 documents reads. It might be appropriate to add some more
17 sort of, I wouldn't say paragraphs -- explanatory
18 paragraphs, thank you, that would be the word, maybe
19 getting the spirit across. But, I think to sort of have
20 hard and fast rules with more teeth and more different
21 details and options is probably inappropriate at this
22 time.

23 So, I just want to say two more things. You
24 know, I would like to echo the comment that I think the
25 added flexibility in using the device for stepping for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 slightly different indications and so on, I think is a
2 great boon to the medical community and to the ability of
3 the community to develop, you know, new and different
4 indications for this technique and improved techniques for
5 treating the existing indications.

6 And secondly, I think also to echo the
7 comment to leave this is guidance phase for awhile so that
8 the results of this approach can be observed, because I
9 think it's going to be really very difficult to get a
10 consensus what we should do in terms of a final regulation
11 at this point.

12 DR. CERQUEIRA: Sally?

13 MS. SCHWARZ: I agree. I think the authorized
14 user has to be the individual in charge. The institution
15 at hand has to be able to develop policies that fit.
16 That's where I think it should stay, the way it is.

17 DR. CERQUEIRA: Okay. Ruth.

18 MS. MCBURNEY: I agree.

19 DR. CERQUEIRA: Ralph.

20 MR. LIETO: I guess I was trying to figure out
21 a way to maybe improve this along the lines, and I'm
22 wondering if that last sentence and the guidance, if that
23 was just struck out, and just leave it as "procedures will
24 be conducted under the supervision of the authorized user
25 who will consult with the interventional cardiologist,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 physician and medical physicist prior to initiating a
2 treatment," and then he determines whether he's going to
3 be there or the physicist because the cardiologist is
4 going to be there anyhow.

5 To say that they're going to be there or not
6 is really immaterial. They're going to be there
7 regardless period, whether you do the procedure or not.
8 They're going to be the one putting in the catheter and
9 taking it out. They're going to be there from beginning to
10 end. So the issue really sounds like it's the issue
11 between whether the physicist and/or the authorized user
12 is going to be present. And I think just striking that
13 last sentence might, you know, solve that issue.

14 DR. CERQUEIRA: Well, we'll come back to that.

15 DR. DIAMOND: Again, for the reasons I
16 explained, I feel comfortable with the language within the
17 guidance document. I wasn't happy with the way it was
18 promulgated, but I'm happy with the way it is, given the
19 reasons I expounded upon a few moments ago.

20 DR. CERQUEIRA: Jeff.

21 DR. WILLIAMSON: I have nothing to add.

22 DR. NAG: What I'd like to know is after this
23 was sent out in June, how many centers are doing
24 interventional procedures without an authorized user being
25 present? Do we have any idea? That would give me an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 idea whether it can be routinely done or whether even
2 though we have that, it's not been used, and that would be
3 of interest to me to know. And, you know, if it's not
4 being done that's a moot point what we have in here
5 anyway.

6 DR. WILLIAMSON: Yes. At Washington
7 University, the radiation safety committee took it upon
8 itself to basically say "we want both to be there, you
9 know, for the time being."

10 DR. NAG: All three you mean?

11 DR. WILLIAMSON: All three, well yes
12 essentially all three.

13 DR. NAG: Yes.

14 DR. DIAMOND: It's always been all three at my
15 institution. I'm not aware of it being done with just the
16 cardiologist and one or the other in the State of Florida.

17 DR. VETTER: The Mayo Clinic also requires all
18 three, but I'm not so sure we'd want the NRC dictating
19 that to us.

20 DR. CERQUEIRA: Yes. Neki?

21 MS. HOBSON: I guess I'm comfortable with the
22 way it's worded but I do think this is an issue that we
23 should review periodically to see are we having any
24 problems.

25 DR. CERQUEIRA: Yes, I think that's an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 important point because it's only been in the last year
2 that these devices, two of them, have been approved
3 certainly for cardiac applications, and you've got a
4 couple of problem cases of details.

5 Now, do you have any numbers how many of
6 these are being done?

7 MS. HOWE: NRC always has difficulty getting
8 the denominator.

9 DR. BRINKER: I called, I took it upon myself
10 to call the vendors and it's roughly 20,000 since approval
11 between the two of them. That's what they said.

12 MS. HOWE: 20,000?

13 DR. BRINKER: 20,000.

14 DR. CERQUEIRA: Since March '99?

15 DR. BRINKER: This is since approval.

16 DR. NAG: November.

17 MS. HOBSON: November of 2000.

18 DR. CERQUEIRA: And of those 20,000 do we have
19 any information on those outcomes or adverse events?

20 MS. HOWE: We have the individual case studies
21 and the in med and Bob Ayres is keeping track of them, so
22 he has the preceding mis-administrations and then I've got
23 the next four mis-administrations here. We don't have a
24 lot of mis-administrations, but we don't tend to have a
25 lot of mis-administrations period, and mis-administrations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are in order to see trends or to identify problems before
2 they get out of hand.

3 DR. CERQUEIRA: Right. I guess the feeling of
4 the committee was to keep the language as is, is that it?
5 Okay. And basically we feel it's being done at
6 institutions and certainly it sounds like at least the two
7 that you've reported on, it's being done as prescribed,
8 but it does give sort of the medical community the
9 opportunity to regulate itself.

10 MS. HOWE: And that essentially was our
11 intent.

12 DR. CERQUEIRA: I think Dr. Brinker --

13 MS. HOWE: That essentially was our intent.
14 The other parts I think are pretty easy to go through. We
15 have the written directive follows more the HDR type
16 brachytherapy. We have to give the site and the dose. It
17 is high dose. We require independent measurement prior to
18 being used on a patient. We have emergency procedures.
19 The idea that -- in the earlier guidance we had that it
20 was for native coronary arteries for instent restenosis.

21
22 We talked about it last time. We were going
23 to go to a much more general authorization and you'll see
24 that under the Cordis and also under the Novoste, we have
25 gone to that general authorization where it says "for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 use of" and then lists the device for intravascular
2 brachytherapy. So, it's not tied to the specific approval
3 given by the FDA.

4 In the Novoste, we had required an introducer
5 sheath. Now we've said they shouldn't use it unless it's
6 contraindicated for the individual patient. And we had
7 the same thing for the dual syringe system, unless it's
8 contraindicated for the patient.

9 And we've noted that in the mis-
10 administrations, those two aspects come to light as being
11 our most prominent mis-administrations. They run out of
12 fluid. They have a kink where the valve is and the sheath
13 would have prevented a number of these mis-administrations
14 and the dual syringe would have provided an extra safety
15 margin also.

16 We were a lot more specific on the source
17 train and size and also the stepping. We said, we've put
18 the stepping up into the quality management program. We
19 have concerns whether you can provide a high confidence
20 that what you're prescribing can be done in some of these
21 systems with stepping, because it's difficult to tell
22 where you are. But if the facility can come up with a
23 procedure that gives them high confidence that they can do
24 stepping, then that's part of 35.32, the Quality
25 Management Program.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think that's probably about all that I had.
2 Any other comments on the guidance? And the guidance was
3 put out because we are dealing with licensees everyday and
4 applications everyday. This is not rule-making. Our
5 licensees don't have four years for us to figure out a
6 rule and go out, so we needed some guidance to help
7 patients be treated with these devices. So that's why a
8 guidance letter went out in June, as soon as we felt we
9 pretty much knew what the committee was thinking in terms
10 of it and if we could come up with the flexibility.

11 DR. CERQUEIRA: One last final short comment
12 Jeff.

13 DR. WILLIAMSON: I understand the guide in P32
14 System, approval by FDA is imminent. So what are your
15 plans for developing product-specific guidance for that
16 device?

17 MS. HOWE: We'll look at it and we'll see how
18 it fits into the scheme, where it fits with things that
19 are common to practices already done. We'll leave those
20 as is. If it needs additional, we'll add it. If it
21 doesn't we'll delete.

22 DR. WILLIAMSON: Can you consult this
23 committee with your proposal, at least entertain our
24 feedback?

25 MS. HOWE: We can always entertain your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 feedback.

2 DR. WILLIAMSON: Not if you don't ask for it,
3 you can't.

4 MS. HOWE: The committee meets --

5 DR. WILLIAMSON: I guess I'm asking, can you
6 make a commitment to share your preliminary guidance once
7 you've drafted it but before it's finalized, for this
8 committee to review, if nothing else remotely?

9 MS. HOWE: We can consider it.

10 DR. NAG: The remote afterloader, it will be
11 a stepping source. It has basically no difference from
12 any other HDR afterloader other than the energy and I
13 think it highly appropriate if at least the people, the
14 apprentices and the radiation oncologists who deal with
15 this every day at least get the chance to look at it
16 before you send it out to the whole world.

17 DR. WILLIAMSON: Have a conference call with
18 a subcommittee. No, you can't do that I guess. We have to
19 announce it.

20 MS. HOWE: We have certain requirements for
21 the government advisory committees and we'll have to work
22 with those and we'll try to be as flexible as we can.

23 DR. CERQUEIRA: We have in the past, we've
24 actually broken up into two separate committees.

25 MS. HOWE: Yes, that was when you were working

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on rule-making, right.

2 DR. CERQUEIRA: Right.

3 MS. HOWE: This isn't quite rule-making, but
4 within the guidelines of the Federal advisory committees,
5 we'll work something out.

6 MR. BROWN: This is Fred Brown. I guess I
7 would request and I believe you are probably more
8 knowledgeable than we are about the new treatment system.
9 If you have recommendations for us today, please give them
10 to us, either now or after 2:00. You know, we can include
11 that going forward as we try to respond promptly to the
12 request for licensing actions.

13 DR. CERQUEIRA: Sure.

14 MR. LIETO: I know that people are antsy to
15 hit the food line, but I got two issues regarding this
16 that I'd like to bring up regarding how licensing is being
17 done and being approved. They've created I think some
18 real issues at the license amendment stage at the regional
19 levels, and I'd like to address that if we can at a later
20 point.

21 MS. HOWE: I won't be here this afternoon, so
22 if you --

23 MR. LIETO: Well, I guess my quick question is
24 why does everybody have to go back and get their license
25 amended when the sources are FDA approved? For example,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the Novoste. You approved the sources. They were in the
2 source registry and just simply because of the source
3 linked to the training, everybody's got to go back and
4 amend their license and it created a huge bottleneck at
5 the licensing regional level. And to say that there were
6 a lot of short fuses being lit is an understatement.

7 DR. CERQUEIRA: What did they do at the
8 agreement state, do we know? Because right now, you're
9 only regulating what, 18 states, 17?

10 MS. HOWE: It's a small number.

11 DR. CERQUEIRA: Ruth, do you know what they
12 did at the agreement states?

13 MS. McBURNEY: I don't know with all the
14 states. We don't have the same configuration in the
15 rules, so all of these devices are, for specific
16 licensees, would be separately authorized.

17 DR. CERQUEIRA: So people have to apply for an
18 amendment then in Texas?

19 MS. McBURNEY: Yes, right.

20 DR. CERQUEIRA: Yes.

21 MR. LIETO: Well, I mean for the device, but

22 --

23 MS. McBURNEY: For the device.

24 MS. LIETO: Whether they got a source of x-
25 strength or y-strength, as long as they were under their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 possession limit, it's not an issue.

2 MS. McBURNEY: We didn't have to amend for
3 that.

4 MS. HOWE: That was an issue to start out
5 with because one of the manufacturers did not have all of
6 their sources in the original PMA, and so not all of the
7 sources that were in the device registry had FDA approval,
8 so those that didn't had to be under INDs.

9 MS. LIETO: No, the issue specifically has to
10 do with Novoste okay, and that the sources were approved,
11 and that basically the issue is whether how many sources
12 you have in the train, whether it's 20 millimeters or 40
13 millimeters.

14 And when the FDA approved the 20 millimeter
15 source strength in the original device configuration, when
16 they got the FDA approval for the longer source strength,
17 everybody had to go back and amend their license to get
18 that longer source strain, although the sources, the
19 individual source type had not changed. It was just the
20 number of them. That's really, I think, inconsistent.

21 I mean, you didn't have brachytherapy
22 departments going back if they wanted to get so many seeds
23 for Iodine ¹²⁵, they didn't have to have approval based on
24 the number of seeds they had. It was a possession limit
25 issue.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. HOWE: I think probably Dr. Ayres can
2 address that since he was more actively involved.

3 DR. AYRES: Those two different length trains
4 were not approved at the same time. Otherwise, if we'd
5 incorporated, they'd have been the same guidance, first
6 the 30, then the 40. The 60 is not yet approved.

7 MR. LIETO: But you have given specific
8 guidance to them to state that they can not license it
9 based on the condition that it's FDA approved. In other
10 words, it would save a hell of a lot of problems with
11 licensees and time and with the regional staff if you
12 would just state and allow them to state on the license
13 that they could have any FDA approved source. So when the
14 20 came out, boom it's approved. When the 40 came out and
15 it was approved, automatically they could use it. And
16 they are under specific guidance not to do that, and I
17 think that's wrong.

18 MR. BROWN: I think I understand the point and
19 we'll take that for follow-up.

20 MS. HOWE: I think we have another issue
21 though and that's that our General Counsel a number of
22 years ago, in looking at the sealed sources, indicated
23 that we used to have a very general way of writing on a
24 license what sealed sources you can use, and this is not
25 just medical, this is gauges, this is radiography, this is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 everything.

2 So they said we have to list specific
3 manufacturer model numbers on the license, and so that
4 gets you into the concept that as something gets approved
5 you got to change model numbers. But we'll look into the
6 issue, but I just wanted you to know that's another
7 complexing factor.

8 DR. CERQUEIRA: Maybe you could look into it
9 and then, you know, provide Ralph with some feedback and
10 I think the feeling of the committee is whatever we could
11 do to simplify it, especially since the states seem to
12 have kind of resolved the issue without additional
13 paperwork. So, I think we should break for lunch now
14 because we're going to try to quit early.

15 DR. NAG: When do we come back?

16 DR. CERQUEIRA: 1:00.

17 (Whereupon, the above-entitled matter went
18 off the record.)

19

20

21

22

23

24

25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1

2

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(1:03 p.m.)

DR. CERQUEIRA: The first presentation's going to be on regulation of mixed occupational doses involving both NRC-regulated material and fluoroscopy. Mr. Brown will be doing the presentation.

MR. BROWN: Thank you, yes. Before I jump directly into the technical aspects of the issue, I'd like to start by saying I know that this is the first time we've brought this to you. You don't have detailed copies of the regulations or any of the procedures I'm going to discuss.

So what I'm really interested in is feedback from you on how in your facilities you deal with mixed dose issues, and then the practical ramifications of some of the various options or the options that you have in place. What I'm really looking for, as we work our way through the mixed dose regulatory issue, is a better understanding from you about what impact we're having in the license community.

So I guess I'll start by saying, obviously the NRC regulation is limited to by-product material. The states typically, well the NRC and agreements states limited the by-product material. The states have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 regulatory jurisdiction over fluoroscopy and other sources
2 of radioactive material used in the medical community.

3 There is certainly no intent in this area to
4 change that or modify it in any way, but on the flip side,
5 the human body that's absorbing the radiation is
6 indifferent to what its source is. It knows only the
7 biological effect from that radiation.

8 So Part 20 is written to apply dose limits as
9 they're applicable to NRC licensees to a cumulative dose
10 for the individual from both licensed and unlicensed
11 sources. If you look at the history of Part 20 at the
12 time of the revision, and it was quite an extended period
13 that Part 20 was being revised, there were several issues
14 of concern.

15 One was workers at DOE facilities where the
16 dose is not NRC regulated, coming to NRC regulated
17 facilities and doing work. Another was that employees on
18 a contract basis could go from an NRC regulated facility
19 to NRC regulated facility, and if each were limited to 5
20 rem during the time of employment, then you could
21 obviously end up with much greater doses over the course
22 of a year.

23 So Part 20 encompasses all dose received
24 during the year by an individual for comparison to the 5
25 rem limit. We've looked at this as a pretty simple thing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with the blinders on, that people do NRC regulated work
2 and they may do work regulated by somebody else, but the
3 licensee could always add the values together to come up
4 with a dose of record.

5 What we've become aware of recently this
6 year, is that there are applications, especially in the
7 medical field, where doctors and other professionals are
8 exposed to NRC regulated dose, they're exposed to state
9 regulated dose, and for instance in intravascular
10 brachytherapy, especially with the Iridium sources, they
11 may be exposed or they will be exposed to both sources at
12 the same time. The concept was always easy. Now though,
13 we're trying to deal with the practical ramifications of
14 how the employer or the licensee attributes or assigns
15 dose for the individuals.

16 Quickly where we are at today, we became
17 aware of a couple of hospitals in NRC regulated states or
18 jurisdictions where doctors had received greater than 5
19 rem whole body dose as computed under the NRC regulations,
20 which is basically the TLD at the collar, even when
21 fluoroscopy is performed with a vest. The doses that the
22 hospitals were assigning were less than 5 rem because of
23 methodologies approved by the states relative to the
24 fluoroscopy dose.

25 As the regulations, Part 20, are written that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is a violation of NRC requirements because we require deep
2 dose equivalent for the part of the whole body receiving
3 the greatest dose. That's not a consequence that we had
4 intended, so we have informed at least two licensees that
5 we are exercising discretion for those violations, and
6 that the staff is working on a methodology that will be
7 communicated to the industry on how to avoid this
8 unintended consequence.

9 So the issue before the staff is to work
10 through the legal mechanism for doing that, and we've been
11 doing that internally very aggressively. Once we have
12 worked through the legal mechanism to achieve the desired
13 results within Part 20, we will issue guidance to all of
14 our licensees on acceptable methodologies to look at an
15 effective dose equivalent approach for whole body dose
16 when fluoroscopy is involved and aprons are worn to reduce
17 the dose.

18 The hope today is to get your input, I said,
19 on practical ramifications of this issue and
20 recommendations that you would have on how we proceed with
21 issuing a guidance.

22 DR. WILLIAMSON: Can I just ask a question of
23 clarification?

24 MR. BROWN: Certainly.

25 DR. WILLIAMSON: I think I'm just sort of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 confused what the technical issue is. As I understood in
2 Part 20, the 5 rem equivalent is in terms of the quantity
3 EDE, Effective Dose Equivalent. It's not --

4 MR. BROWN: I know.

5 DR. WILLIAMSON: And so the definition that's
6 in Part 20 is something more like the maximum dose of
7 penetrating radiation is the one that's supposed to be
8 carried as the quantity that's supposed to be accumulated
9 for the body dose?

10 MR. BROWN: Right, the limit for whole body is
11 stated in terms of total effective dose equivalent. The
12 definition of total effective dose equivalent is the deep
13 dose equivalent plus the committed effective dose
14 equivalent, and the deep dose equivalent is further
15 limited to that portion of the whole body receiving the
16 greatest dose.

17 Just for context to help you understand that,
18 on the other side of the NRC regulated fence for a worker
19 in a nuclear power plant entering a steam generator, the
20 radiation field on the portion of the body inside the
21 generator may be orders of magnitude greater than the
22 proportion outside of the steam generator. So, the
23 standard has always been, deep dose equivalent portion of
24 the whole body receiving the greatest dose, and that's
25 actually consistent also with OSHA's approach and other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Federal approaches for external radiation.

2 DR. WILLIAMSON: How does that agree with ICRU
3 and ICRP and NCRP?

4 MR. BROWN: Looking at Part 20 when it was
5 issued, the ICRP 60 guidance had not been finalized.
6 Right in the statements of consideration we addressed the
7 absence of recognized Federal waiting factors for external
8 radiation sources. And, in the rule we do indicate that
9 as we move to an accepted standard for waiting factors,
10 that the agency will look at adopting those or responding
11 to them. That's actually the approach that we're looking
12 at now from the legalistic end.

13 MS. McBURNEY: Just to explain just a little
14 bit about how the states are addressing this. In the
15 suggested state regulations in what we've adopted, if
16 there are two film edges, one under the apron, one outside
17 the apron, there is a waiting factor to actually determine
18 the effective deep dose equivalent. This was based on
19 some work, I think the AAPM or somebody did.

20 DR. VETTER: I think it was published by NCRP.

21 MS. McBURNEY: It was in the NCRP, right.

22 DR. VETTER: Originally it was Rosenstein &
23 Webster.

24 MS. McBURNEY: Right.

25 DR. VETTER: It was work originally conducted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 by Rosenstein & Webster and it's now in NCRP. I've
2 forgotten the report number.

3 MR. BROWN: 122.

4 DR. VETTER: 122, thank you.

5 DR. CERQUEIRA: Other comments? Dr. Nag.

6 DR. NAG: No comment but a question. I'm not
7 very familiar with this so I need some clarification from
8 the witnesses. How are you differentiating, by having one
9 film under and one over? I mean, if I have to go and do
10 a procedure, I have to have three films then, one for my
11 ring because I'm handling the radioactive material in my
12 hand, one because I'm also at the same time doing
13 fluoroscopy. I have one that I wear over my lab apron and
14 one under my lab apron?

15 MS. McBURNEY: That's correct.

16 DR. NAG: And minusing the two that you have,
17 can you explain one of you?

18 MS. McBURNEY: There's a calculation.

19 DR. VETTER: NCRP 122 also allows a single
20 whole body badge in which you can estimate the fraction
21 that penetrates the apron, but the apron if you're looking
22 at like 80 to 100 KBB (phonetic) stops almost 98 percent
23 of the scattered radiation. If you're at 100 and above,
24 it's 95 percent. So, the apron is very effective at
25 stopping x-rays.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NAG: No, it will stop the fluoroscopy but
2 not the Iridium.

3 DR. VETTER: That's correct but not the
4 Iridium, right.

5 DR. WILLIAMSON: Can you give us an idea what
6 would be, for a typical say interventional cardiologist or
7 other person that made extensive use of fluoro, what could
8 be the discrepancy between the two measures, the deep dose
9 equivalent as defined by NRC and NCRP 122?

10 DR. VETTER: Just talking practical levels,
11 what really happens at our institution, the interventional
12 radiologist receives zero from Iridium because they leave
13 the room. So, it's easy.

14 DR. WILLIAMSON: That's what we do too.

15 DR. VETTER: Yes, so it's easy. But we do
16 have several who exceed 5 rem per year on their badge but
17 the state allows us to use the NCRP 122 methodology to
18 estimate the effective dose.

19 DR. NAG: Where do they wear their badge,
20 outside or inside the lab coat?

21 DR. VETTER: Outside the apron.

22 MR. BROWN: The reduction factor, in looking
23 at the doses we've seen, is approximately 5-1 when you
24 compare the deep dose equivalent at the part of the whole
25 body receiving the greatest dose which would be the collar

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 badge, and the assigned dose using what's been referred to
2 as the Webster Formula, which is one and a half times the
3 value of the badge under the apron and .04 times the value
4 at the collar added together.

5 DR. WILLIAMSON: Will this eventually, are you
6 planning a rule-making initiative to adopt something
7 equivalent to the NCRP 122 methodology?

8 MR. BROWN: We feel at this point that there
9 is latitude within the regulations for us to adopt
10 guidance and publish it uniformly that will not require a
11 rule-making change. A rule-making change may long-term be
12 the best way to go, but what I'm interested in right now
13 again is the practical inputs on especially any facility
14 that's counting doses differently for different regulators
15 to be able to get the quickest response out, which is not
16 rule-making.

17 DR. VETTER: A very practical way to handle
18 that is to require the issuance of a separate badge when
19 they are being exposed to Iridium, and that badge not then
20 be worn for the fluoro portion.

21 DR. NAG: But the problem is many times you
22 are doing both, you are checking, you are putting the
23 Iridium in. I'll be putting the Iridium in and then I'll
24 be checking with fluoro to make sure that the Iridium is
25 going in, so I'm exposing both at the same time. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 immediately after that I might be doing a case with
2 Iridium and another case with fluoroscopy and Iodine.

3 DR. VETTER: In that case, then you have to
4 wear a badge under the apron.

5 MS. McBURNEY: Yes.

6 DR. WILLIAMSON: You have to wear three badges
7 I guess, one for the non by-product material, one for the
8 by-product material and one for both, so you could do the
9 appropriate subtractions. I guess we handle it typically
10 in radiation oncology as we do have some non by-product
11 sources that we are concerned with, we have fluoro because
12 we have simulators. We have linear accelerators which
13 contribute a small amount of whole body exposure to our
14 personnel, and we have other radionuclides, such as
15 Paladium ¹⁰³, which is largely a cyclotron-produced
16 radioisotope.

17 But I think in general these are well managed
18 sources of exposure. The exposures are quite small and we
19 simply, as a matter of practice, we don't make a
20 distinction. We just sort of report one quantity which is
21 the sum of all these radiations, and we don't attempt to
22 distinguish it. But I think there are different settings
23 in our institutions, such as the cyclotron. Maybe Sally
24 might want to address where this approach is not possible.

25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Certainly I think in the cath lab it's a
2 problem, and our solution has been to try to separate.
3 And as long as the cardiologist is willing to stand in the
4 control area, you know, where the Iridium sources are
5 being used, we've not had the problem.

6 MS. SCHWARZ: We have produced isotopes and
7 our personnel that handle all of our accelerated produced
8 isotopes are badged and essentially similar to NRC-
9 regulated materials. But they're looked at separately
10 when we are inspected, because we're maintaining a single
11 exposure for the individuals but certain individuals are
12 only exposed to cyclotron produced and some are exposed to
13 both and those people are under NRC auspices. So
14 essentially, the records are kept separately for those who
15 are essentially accelerator produced individuals, but it's
16 the same badging technology.

17 MR. BROWN: Right, thank you.

18 MS. McBURNEY: I don't think that you'd want
19 to separate for an individual the dose that they got from
20 by-product versus non by-product sources, because the
21 rules are talking about total occupational dose.

22 MS. SCHWARZ: If our individuals are exposed
23 to both, it is a single badge.

24 MS. McBURNEY: Right.

25 DR. WILLIAMSON: But we would have different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 levels of concern in terms of ALARA investigations,
2 wouldn't we? Potentially for somebody that was exposed
3 just to by-product material who has very relatively low
4 exposures versus somebody that has the potential of higher
5 exposures from the accelerator, plus some exposures to by-
6 product material, we might adjust the ALARA level. So we
7 wouldn't in that sense manage it as sort of a compromise
8 between the sort of working standards that I guess prevail
9 in the accelerator world versus the by-product material
10 world.

11 DR. CERQUEIRA: Ralph.

12 MR. LIETO: As far as ALARA reporting, I guess
13 it kind of might vary from institution to institution how
14 they maybe make their reporting and so forth, but most
15 places pretty much have a standard reporting level. It's
16 usually around 10 percent of the dose limit or some other
17 fraction, like 30 or 50 percent. So I don't think it will
18 affect ALARA reporting that much.

19 I think the practicality of having like three
20 badges to try to separate the radioactive component from
21 the fluoroscopy component with no fence to our
22 cardiologists is really, I don't think they're going to
23 buy into that. I think with a lot of times it's real
24 difficult just getting them to wear badges period.

25 So, to get into issues of trying to separate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the components -- but I think you could probably do that
2 by looking at, you know, overall trends of areas. There's
3 going to be a fair number of them that just do fluoroscopy
4 and granted there might be certain expertise differences,
5 but I think on the average you can get some idea of what
6 fraction of their exposure is from just fluoroscopy.

7 And by the same token, looking at just your
8 radioactive material handling side, say your nuke-med
9 techs for example, they're going to probably be an upper
10 estimate though in terms of whole body exposure from that
11 side.

12 So I think there's ways you could get an idea
13 as to what fractions are from radioactive material
14 handling versus the fluoroscopy end, especially in the
15 cardiac area.

16 MR. BROWN: So that approach which would be to
17 look at the dosimeters at the end of the year, and then
18 assign fractional values for whole body using deep dose,
19 and then whole body using computational methods, such as
20 Webster. Is anyone doing that?

21 MR. LIETO: Probably not according to that. I
22 think probably the method that Dick mentioned earlier is
23 doing it on an individual basis, based on the fact of the
24 two dosimeters that are worn. But then there are some
25 states that don't allow it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. BROWN: Right.

2 MR. LIETO: And that can be a problem. But I
3 think if the NRC came out with guidance that this was an
4 acceptable methodology to follow, using NCRP as maybe a
5 precedent, I think it might be easier for those states
6 that don't allow it to justify the individual licensees to
7 do it.

8 MR. BROWN: Ruth, do you have a comment on
9 that or is the NRC going to be in the position of --

10 MS. McBURNEY: I don't think that they'll be
11 forcing the states to do that, but I think they will be,
12 I mean we'll kind of encourage those that haven't adopted
13 the methodology to go ahead and do so.

14 Because if on one hand, you know, the state
15 is coming in to review the occupational doses under their
16 x-ray registration and are using a different methodology
17 than the NRC is allowing when they come in to do their
18 radioactive material inspection in a non-agreement state,
19 that could be problematic. So hopefully, it will
20 encourage states to become a little more uniform if it
21 becomes a national standard.

22 DR. CERQUEIRA: Yes. Jeff.

23 DR. WILLIAMSON: Well, you know, I guess the
24 solution for most of us is we really try to avoid the
25 problem where we have to apply a different sort of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 correction to one whole body dose than another, but
2 clearly intravascular brachytherapy and maybe a few other
3 applications maybe make that very difficult to do and
4 we're left with this quandary.

5 So, I suppose a technical question is, does
6 there exist a single badge which has some filter in it or
7 something and could distinguish between diagnostic quality
8 exposure and a gamma, which would be higher energy and
9 hence bear the maximum, as you call it, body dose? It
10 would be a good indication of the whole body dose.

11 MS. McBURNEY: I think there are some energy
12 compensated badges.

13 DR. WILLIAMSON: I think that's a question for
14 the physics people.

15 DR. VETTER: The current badges will
16 distinguish extremely low-energy photons and that adds to
17 the skin dose. It's a shallow dose.

18 MS. McBURNEY: Right, but I don't think
19 there's incremental things.

20 DR. VETTER: But whether or not -- how far up
21 in energy they could go, I don't know.

22 MR. LIETO: I think it's mainly for the
23 algorithm that's used for converting the dose into a dose
24 equivalent.

25 DR. WILLIAMSON: So there's, other than a dual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 badging procedure, there's no technical solution to this
2 problem?

3 DR. VETTER: There might be. We just don't
4 know. We would need LCN or Landau or somebody like that
5 here to answer that question.

6 MS. McBURNEY: Right.

7 MR. LIETO: And even if the technology's
8 there, then you'd have to have the vendor adopt that.

9 DR. WILLIAMSON: Ralph, you think there isn't
10 such a technology that's been developed by a vendor at
11 this point that's widely available.

12 DR. VETTER: Well the other complication is a
13 Nav-Lab. They have to process their badges in accordance
14 with Nav-Lab.

15 MR. BROWN: Going back to the comment,
16 thinking through it a little further, if we adopted an
17 approach that said for the portion of the exposure that's
18 fluoroscopy and even the portion that's a combination of
19 fluoroscopy and Iridium intravascular brachytherapy, use
20 two badges. Calculate them under the state standard
21 that's applicable. Add that value to a separate badge
22 that would be worn only with by-product material alone.
23 Do you see practical concerns with getting a second set of
24 dosimetry put into use in some cases or not?

25 MR. LIETO: I don't. I think you're probably

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doing it as a standard anyhow for physicians or workers
2 using fluoroscopy, table-side fluoroscopy. Just thinking
3 out loud here, you could maybe use, if you can demonstrate
4 that there's a high likelihood that less than 10 percent
5 of it is from radioactive materials, that you could use
6 this as a methodology.

7 Now, if you're above that, I don't have an
8 answer for you. But, that might make it easier, because
9 generally speaking, if they're getting dual exposure, just
10 a very small fraction of it is due to the radioactive
11 material aspect of their work.

12 DR. CERQUEIRA: Okay.

13 MR. BROWN: I guess I would comment as an
14 inspector following up and doing the end-of-the-year dose
15 reviews, trying to decide whether it was 9.5 or 10.5
16 though is the dreaded task. But that is actually something
17 that we're looking at as well.

18 MS. HOBSON: I have a question. Say you found
19 a situation where the combined dose exceeded the NRC
20 standard, would the licensee get a violation or would they
21 be cited for that?

22 MS. McBURNEY: Yes.

23 MR. BROWN: yes.

24 MS. HOBSON: So you're really bringing
25 fluoroscopy kind of in under the NRC mantle of regulation?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. BROWN: Well, I would say no. What we're
2 doing is insuring for the health and safety of the
3 individual, in this case the doctor or the medical worker,
4 that they aren't exposed to more than the legal limit in
5 an annualized period. As I said, the body really is
6 indifferent to the source, the nature of the source, so if
7 it's occupational exposure we apply the 5 rem limit
8 without regulating the non by-product material, but in
9 essence by reducing the allowable dose from by-product
10 material.

11 So in simple math, if the limit is 5 and
12 you've received 4 rem annual exposure from non by-product
13 material, what you really have is an annual dose limit of
14 1 rem for NRC regulated material.

15 DR. CERQUEIRA: Dr. Williamson.

16 DR. WILLIAMSON: Well, you know, I think that
17 maybe it's not quite fair to call this mixed exposure.
18 It's really -- the only problem is when one exposure is
19 relatively superficial and governed by a different set of
20 rules than is in Part 20 and the other component is a more
21 penetrating component.

22 So your proposal, you know, is to offer some
23 regulatory relief to those people so that they can apply,
24 you know, the what would the word be, I guess the less
25 conservative methodology in a sense, which is now a well-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 regarded and how should I say, is not just sort of a
2 procedure that's been dreamed up, but the various advisory
3 bodies such as NCRP stand behind it.

4 So since you're accommodating them by
5 allowing them to use this more liberal strategy, it seems
6 that it's incumbent upon those that avail themselves of
7 this strategy to develop a method of keeping track of the
8 two. And perhaps, in cases which Ralph has mentioned
9 where one can come up with a ballpark estimate that
10 demonstrates that the penetrating component is quite low,
11 maybe dual badging might not be necessary.

12 But if in a sort of rare scenario where you
13 have somebody that's doing a whole bunch of fluoro plus a
14 significant amount of brachytherapy with Iridium 192 or
15 some other penetrating field, you know, then I think they
16 simply are going to have to bite the bullet and wear two
17 badges and have one under the apron and one on the collar,
18 and apply a set of corrections and they will just have to
19 accommodate themselves. And, I think that's not an
20 unreasonable demand to make on the part of an institution,
21 because I think it's probably a small cohort of workers.

22 DR. CERQUEIRA: Any other comments for Mr.
23 Brown?

24 MR. LIETO: Well, I've got one related to this
25 dose limit issue and maybe I have this wrong, but it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 relates to extremity monitoring and that I seem to
2 recollect that reactor people have said that exposures to
3 the upper arm would be considered like whole body limit
4 values, and I'm just wondering if you would be running
5 into a similar issue, let's say they're wearing an
6 extremity monitor and because the lead aprons don't cover
7 any portion of the arm, would we be running into a similar
8 issue here also?

9 MR. BROWN: Actually, the way the Webster
10 formula was developed applies the whole body exposure
11 portion as part of -- the upper arm, excuse me, is
12 considered within the whole body for EDE as calculated or
13 as determined by Webster.

14 MR. LIETO: It's in the correction factor.

15 MS. McBURNEY: Right.

16 MR. BROWN: Yes.

17 MS. McBURNEY: The portion of the body that's
18 still exposed, even with the lead apron on, is taken into
19 account in those calculations.

20 MR. LIETO: Right, okay.

21 MR. BROWN: Well, thank you very much. This
22 helps considerably.

23 DR. CERQUEIRA: Thank you. I guess the next
24 item is new business.

25 MR. BROWN: Yes new business and I guess --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 let me go over a couple of things. We have available for
2 the members of the committee, copies of the Volume 9
3 guidance for Part 35, and I'll warn you Melanie Galoway
4 can probably hold up a visual to help you appreciate the
5 scope of the package.

6 MS. GALOWAY: So if anybody would prefer to
7 have it mailed to them, we can do that. I do have ten
8 copies available for anyone on the committee who would
9 like to take one home with them. They're not too heavy.
10 The staff and I were able to sweet-talk the xeroxing
11 department to make it a priority today for you. Does
12 anybody else prefer to have theirs mailed?

13 (Background conversation.)

14 MR. BROWN: I'd like to just kind of introduce
15 a concept as you look at that too because there's been a
16 fair amount of discussion at the last two meetings around
17 the role of guidance, and the regulations and licensing.
18 I'm sure you all know this better probably than I do, but
19 just to reiterate. The regulations are enforceable and we
20 inspect against the regulation. Licenses are enforceable
21 and we inspect against the licenses.

22 This guidance document is to facilitate the
23 licensing process so there are pre-approved standards in
24 this guidance document that will facilitate rapid issuance
25 of licenses, but it does not preclude any licensee from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 choosing an alternate means to demonstrate compliance. So
2 if you see, for instance, it was mentioned the model
3 procedures. If you see model procedures that you don't
4 think are consistent with how the new rule should be
5 applied, that does not mean that we have placed a new
6 regulatory requirement in place via this guidance. Go
7 ahead.

8 DR. WILLIAMSON: I was going to actually
9 comment on the licensing guidance for remote afterloading
10 brachytherapy which is FC 86-4. My own personal
11 experience is that license reviewers are loathe to
12 entertain any alternatives to those procedures. So I find
13 your comment rather difficult to reconcile with my own
14 personal experience.

15 MR. BROWN; Well, I on the other hand deal
16 with the requests for alternate methodologies as a major
17 portion of my job so I know that they do come in and we,
18 in fact, end up approving not a small share of those
19 requests, and I think both are probably true. That I
20 think license reviewers would prefer to have licenses that
21 come in that they can turnaround in a very short period of
22 time without any additional headquarters review. But by
23 the same token, where licensees feel strongly that they do
24 not want to proceed in exact conformance with the
25 guidance, we do approve many of those.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. WILLIAMSON: Well, you know, I think it's
2 one thing to state that. It's another to make your
3 administrative structure and procedures be friendly and
4 not make it an intolerable burden so that in practice the
5 licensees really don't have access to that benefit.

6 That is the concern I'm stating, and I think
7 this is not just the way, you know, a matter of how these
8 things are written, but it's a sort of a function of the
9 roadblocks, procedures that you set up to implement these.
10 You can either make it sort of something nice you can say
11 which you sort of show, or you really could have a system
12 set up that is fairly robust and does, in fact, seriously
13 entertain alternatives without imposing substantial
14 burdens or costs upon the licensee to have access to
15 alternatives.

16 MR. BROWN: I think it's a good point and I
17 don't disagree, and then getting to the practical
18 application of it is, of course, the devil in the details.

19 DR. CERQUEIRA: Exactly. Any other comments?

20 MR. BROWN: There was at least one other
21 follow-up from this morning as well. We've had a staff
22 member looking into existing guidance and recommendations
23 on medical follow-up for anyone exposed to radioactive
24 material that might seek medical attention. At 2:00, I
25 hope to be prepared to give you a quick overview of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 NRC role, the existing documentation that we have, one or
2 two references that you might find useful, and then some
3 discussion about where we can go to address the more
4 specific interests that you had. So that should be ready
5 in about 20 minutes or so.

6 DR. CERQUEIRA: Okay. So in the meantime
7 we're probably going to go on with new business. Yes,
8 Geoffrey.

9 (Pause.)

10 MR. IBBOTT: Thank you and good afternoon. I
11 appreciate your giving me this opportunity to speak with
12 you this afternoon. I'm representing the two
13 organizations listed on this slide, the AAPM and the ACR,
14 and I'm a member of both.

15 My name is Geoff Ibbott. I'm a medical
16 physicist at the Anderson Cancer Center in Houston and I
17 have a number of years of experience in medical physics,
18 and I'm here to relay concerns to you in two areas
19 regarding Part 35.

20 First let me explain to you that our
21 organizations recognize a term we've dreamed up called
22 "qualified medical physicist" and all three of the
23 organizations listed here, the AAPM, the ACR, and the
24 American College of Medical Physics, have agreed on
25 essentially identical definitions. Our definition of a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 qualified medical physicist is somebody who is board
2 certified and who then meets certain continuing
3 educational requirements.

4 We believe that board certification is
5 important and under the board certification pathway in the
6 new Part 35, the NRC would expect board certification to
7 address all of the training and education requirements
8 that are specified in 35.51-B. And, we're concerned that
9 strict interpretation of this requirement could ultimately
10 diminish the importance of board certification.

11 Let me explain to you why we believe that.
12 Firstly board certification is, in our field, the only
13 widely-accepted credentialing system for clinical medical
14 physicists. For 50 years, medical physicists have been
15 certified by the American Board of Radiology and the
16 American Board of Medical Physicists, and it is a process
17 that indicates a certain level of competency that people
18 in our field have come to recognize and take confidence
19 in.

20 Unlike with physicians, a residency program
21 is not a requirement for board certification. In
22 addition, the demographics of our field require that
23 physicists be able to transfer from traditional physics
24 fields into medical physics by getting some additional
25 training and then board certification.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We are very concerned that board
2 certification be preserved as a key element of any other
3 credentialing requirement through the NRC. But as has
4 been discussed earlier, I believe the certification boards
5 do not require specific experience with Cobalt 60, gamma
6 stereotactic radiosurgery or remote afterloading
7 brachytherapy.

8 We believe that any move that diminishes the
9 importance of board certification, could ultimately
10 jeopardize public health. This is because certification
11 is recognized as an indicator of competency. We have a
12 number of examples. In Texas, I'm licensed by the state,
13 essentially by virtue of being board certified. MQSA is
14 another example, where great importance is placed on board
15 certification.

16 We would hope that the NRC would accept board
17 certification as a default or accepted pathway for
18 demonstrating some of the individual requirements in Part
19 35.51, such as the existence of an advanced degree and of
20 certain training.

21 There's also been some discussion about
22 grandfathering earlier today I understand. We believe,
23 again, that previously and currently licensed medical
24 physicists should be recognized as meeting the
25 requirements for an authorized medical physicist. This is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 consistent with NRC practices. We believe it to be
2 appropriate that this authorization be awarded without
3 limitations, and we think it's essential that this be done
4 to build up a cohort of authorized medical physicists to
5 continue the process of awarding authorization to other
6 medical physicists.

7 Now, the existing wording proposes a single
8 AMP category. We think this could be a problem. Our
9 estimates are that there are approximately 100 Cobalt-60
10 teletherapy units in clinical use. That's clearly about
11 two per state, but they're not distributed that way and so
12 there are many folks who are quite some distance from a
13 Cobalt ⁶⁰ teletherapy unit.

14 Similarly, there are only a few dozen gamma
15 stereotactic units, not enough for potential AMPs to get
16 experience with these devices. So we propose that
17 subcategory AMPs be defined, that again emphasize the
18 importance of board certification but enable the awarding
19 of the AMP authorization.

20 So our proposed solution to this is to define
21 three subcategories of AMP. As shown here, the
22 teletherapy AMP, remote afterloading AMP and a gamma
23 stereotactic AMP.

24 Now for the teletherapy authorized medical
25 physicist, a physicist who is already board certified,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 could then show his special skills with Cobalt⁶⁰
2 teletherapy by performing a complete calibration, a full
3 annual calibration of a Cobalt unit and then a monthly
4 spot check which would then be scrutinized by an AMP who
5 would then sign off to indicate that the procedures were
6 in agreement with the AMPs own procedures.

7 I'd like to point out that, while my slides
8 says "under the supervision of", this is not intended to
9 mean a sort of teacher-student relationship. It may well
10 be that the person seeking the authorization is more
11 experienced and more capable than the AMP, but the point
12 is that the AMP who has first calibrated the unit to meet
13 with the NRC requirements then compares the measurements
14 of the person seeking accreditation with his own to insure
15 that the procedures were done correctly and the results
16 are in agreement.

17 Now, this is a physicist who is not already
18 certified. A physicist who is not board certified would
19 have to have a graduate degree and have a year of full-
20 time training in therapeutic radiological physics, and an
21 additional year of experience under the supervision of an
22 AMP physicist at a facility using a Cobalt teletherapy
23 unit. This would bring us into agreement with the legal
24 requirements established by 35.51.

25 Similarly, for remote afterloader system, a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 board certified physicist would demonstrate his ability to
2 operate and calibrate the unit by performing a full
3 calibration and a spot check, and that would be signed off
4 by an AMP and a non-certified medical physicist would go
5 through the pathway I described just a moment ago, with
6 the appropriate degree and training, followed up with
7 experience on that particular device.

8 And likewise for the gamma stereotactic AMP,
9 a board certified physicist would demonstrate his ability
10 to calibrate the unit appropriately. A non certified
11 physicist would have again the degree and training
12 requirements, followed up by experience at an institution
13 with such a device.

14 So I'd like to conclude by stating that I've
15 intended to make two points here. One is that we believe
16 certification is a very important credential in our field
17 and that the requirements for an authorized medical
18 physicist should not in any way detract from the
19 importance of certification, and should take advantage of
20 the certification processes we have in place.

21 Second, that we propose that there be three
22 subcategories of authorized medical physicists to make it
23 more practical to bring people in under this credential.
24 And I'd like to finish by saying that the AAPM and ACR are
25 both willing to work with the NRC in any way we can to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 help with this ruling and with regulations that would
2 follow. Thank you and I'd be happy to answer any
3 questions.

4 DR. CERQUEIRA: Dr. Nag.

5 DR. NAG: You mentioned three subcategories.
6 Where would you put the category that exists in many
7 places where the physicist is certified and handles
8 Caesium, Iridium, has not had training in either gamma
9 knife or high dose rate or cobalt teletherapy? How would
10 you characterize that person?

11 MR. IBBOTT: Well, if that person is not
12 working with cobalt teletherapy or cobalt gamma knife or
13 the remote afterloading devices, then it's my
14 understanding that the AMP criterion doesn't come into
15 play.

16 DR. NAG: No, but then how would you handle
17 caesium and iridium? What will you call him? He's not a
18 teletherapy AMP. He's not a gamma knife AMP and he's not
19 a high dose rate AMP. So, what kind of an AMP is he?

20 DR. WILLIAMSON: I think the answer is, is
21 that in 35.400 the only requirement for the involvement of
22 an AMP is to perform decay calculations for strontium⁹⁰I
23 applicators and that's it. So essentially, the role of
24 the AMP is limited to 35.600 devices, except for that one
25 indication.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CERQUEIRA: I don't think that's what he
2 was asking.

3 DR. NAG: No, how are you handling, you know,
4 many patients are using a lot of caesium, iridium.

5 DR. WILLIAMSON: But the NRC basically does
6 not regulate the role of a physicist in those modalities
7 with the exception, you know, the NRC staff can correct
8 me, but my understanding is, is that the AMP is not
9 required for 35.400 modalities except for the strontium⁹⁰
10 I applicators and in the case where low dose rate sources
11 are used in a remote afterloading device.

12 DR. DIAMOND: Jeff, I don't think you
13 understand what Subir was asking. I think his question
14 is, with the new rubric that Geoff just explained, whether
15 it be an AMP with these three different qualifications for
16 the individuals coming through the training now, I think
17 Subir was asking what about those individuals who are
18 grandfathered in. Would there be specialized designations
19 indicating their training? Is that what you're asking?

20 DR. NAG: No, I was saying what about those
21 physicists who have training in low dose rate, all right,
22 but do not have training in any of these three. You only
23 have three top categories. What about the fourth category
24 which will be applicable to a lot of physicists who don't
25 have training in any of these three.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CERQUEIRA: So he's saying a general
2 physicist who wouldn't be specifically trained in those
3 three but --

4 DR. NAG: That means they can't handle
5 radioactive material if they don't have a category.

6 DR. WILLIAMSON: NRC doesn't have such an
7 entity, that's the answer Subir is there is no AMP for
8 manual afterloading brachytherapy with the exception of
9 strontium⁹⁰ decaic calculations.

10 DR. NAG: Oh.

11 DR. WILLIAMSON: If you read the definition,
12 it basically says AMP has this degree and so on, and gets
13 the experience at an institution and then there's a list
14 of section numbers out of Part 35 and they refer to all of
15 the things Dr. Ibbott mentioned, which are the full,
16 basically full calibrations and spot checks of the three
17 35.600 modalities, plus I think leak testing and
18 strontium⁹⁰ decaic calculation.

19 DR. NAG: No, if someone is doing
20 interventional brachytherapy and does not have any of
21 these three, he's not an authorized medical physicist.

22 DR. WILLIAMSON: I think he can become one
23 depending upon the proposal that's used. Now, in Dr.
24 Ibbott's proposal, if this person were board certified, he
25 would have to go and fulfill these supplementary training

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 requirements that he just mentioned in this scenario, and
2 then he could become an authorized medical physicist.

3 DR. NAG: No, but -- okay, under the
4 interventional brachytherapy procedure, it has to be done
5 in the presence of a physicist or authorized user and so
6 forth. Now, if it is not high dose rate, since this is
7 not gamma and this is not cobalt⁶⁰ he's not a physicist.

8 DR. WILLIAMSON: Well, it says actually in
9 this guidance, I hate to be argumentative, but it just
10 says medical physicist. It doesn't say authorized medical
11 physicist.

12 DR. NAG: Oh, okay.

13 DR. WILLIAMSON: So there still is a concept
14 of medical physicist and there still is a concept of board
15 certified medical physicist and that is quite separate
16 from the current category of teletherapy physicist which
17 is going to turn into the category of authorized medical
18 physicist.

19 So, I think the way to see this is in the old
20 regulation that we now have, the only mention of the
21 physicist in the regulations is for calibrating cobalt⁶⁰
22 teletherapy and that's why he's called a teletherapy
23 physicist. And there are other mentions or other
24 references to the physicist, but only in regulatory
25 guides.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CERQUEIRA: Dick, you understand this.
2 You're going to explain it, right?

3 DR. VETTER: Oh yes, Jeff is absolutely right
4 and I do understand the question. But it's sort of like
5 the old cliché, when is a dose a dose? Now we have a new
6 one. When is a physicist a physicist?

7 DR. NAG: Right.

8 MR. IBBOTT: And I have to say we were
9 responding to the wording in the revised ruling, and sort
10 of took it point by point.

11 DR. NAG: Thank you for the clarification.
12 Now I know when you're a physicist and when you are an
13 authorized physicist.

14 DR. VETTER: And a qualified medical
15 physicist.

16 DR. NAG: And a qualified physicist.

17 DR. WILLIAMSON: I mean it really is
18 confusing. We have actually the same trouble in our
19 radiation safety committee. We had nearly an identical
20 discussion. It was very confusing because we even had a
21 third definition which was authorized by the radiation
22 safety committee to do such and so which is different yet.
23 So, it's very confusing.

24 DR. CERQUEIRA: Any other questions for Dr.
25 Ibbott? Yes?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. WILLIAMSON: If I can make a comment and
2 I think what this proposal amounts to is accepting the
3 rule language as it is and is suggesting a procedure which
4 would be implemented more in guidance space rather than
5 rule space. The essence of the idea is to make board
6 certification cover as many of the 35.51-B requirements as
7 possible, so from a regulatory point of view, there would
8 be desirability of board certification, and the
9 willingness of physicists in the field to undergo the
10 rigors required to earn this certification would not be
11 diminished.

12 So you know, I think in view of how
13 controversial this is, I think it would be maybe a good
14 idea if this committee considered a motion to support, you
15 know, this type of proposal.

16 DR. CERQUEIRA: Well, why don't you work on a
17 short motion and Dick you wanted to make a comment?

18 DR. VETTER: Yes, just one brief comment more
19 or less in support of the whole discussion here, and that
20 is we all together hold some responsibility for the
21 dilemma we find ourselves in relative to the
22 interpretation of the requirements, not the requirements
23 to be uncertified, but the requirements for certification
24 to be recognized. So anything we can do in guidance phase
25 to try to clarify that to encourage, at least to not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discourage board certification will help improve the
2 safety of patients in my opinion.

3 DR. CERQUEIRA: Yes, I think that's true for
4 not just medical physicists, for all the groups we've
5 addressed today. Dr. Nag.

6 DR. NAG: I would like to know if, I know
7 there has been some problem between certified physicists
8 from the American Board of Radiology certified physicists
9 and I think the American Board of Medical Physicists.
10 Would this involve both or would it resolve the issue for
11 both or not? I'm not really up to date with the two, but
12 I know that there was a controversy. Someone who is
13 either a member of both, or not a member of either, I
14 think should address this position.

15 MR. IBBOTT: Well, I think I can address it if
16 you will. There are two answers. One is that we are
17 saying board certification without specifying ABR or ABMP.

18
19 But the second response is that an agreement
20 has been worked out between those two boards and
21 physicists certified by the ABMP can request and will
22 receive a letter from the ABR stating that their
23 certification is equivalent to ABR certification. It will
24 be a time limited certificate and at the appropriate
25 interval, they will then be able to become recertified by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the ABR if they so choose. Otherwise, they can become
2 recertified by the ABMP. But the boards have recognized
3 the equivalency of the two mechanisms, so I deliberately
4 did not state which board I was talking about. We
5 consider them equivalent.

6 MS. HOBSON: I assume you've discussed this
7 proposal with NRC staff?

8 MR. IBBOTT: We have written to the NRC staff.

9 MS. HOBSON: Right, have you had any
10 indication as to what their position might be?

11 MR. IBBOTT: Not to my knowledge.

12 DR. CERQUEIRA: How's the motion coming
13 Jeffrey?

14 DR. WILLIAMSON: Oh, I'm working on it here.
15 It's three pages long, so.

16 DR. CERQUEIRA: Good grief.

17 DR. WILLIAMSON: It's hard for me to write it
18 down. I'm not nearly as good as our departed colleague at
19 this.

20 DR. CERQUEIRA: That's right.

21 DR. NAG: You're better on your computer
22 typing.

23 DR. WILLIAMSON: I'm better at just ad-libbing
24 it actually. Maybe I should just do that. Well, I think
25 the motion would read: ACMUI recommends that NRC accept

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ABR or ABMP certification in radiation oncology physics as
2 prima facie evidence for satisfying as many of the 35.51-B
3 training requirements as possible.

4 DR. CERQUEIRA: That doesn't -- it has to
5 translate into the boards, you know, the application
6 process that we talked about earlier.

7 DR. NAG: Yes, the three subcategories.

8 DR. WILLIAMSON: Okay, well we could make it
9 more --

10 DR. CERQUEIRA: But there seems to be a
11 mechanism in place, although --

12 DR. WILLIAMSON: It's really sort of three
13 components to it, I guess. We've already had one motion
14 which endorses the idea of broadening the grandfathering.

15 DR. CERQUEIRA: To grandfather it in in three
16 levels.

17 DR. WILLIAMSON: We need to have essentially
18 two recommendations. One recommendation would be that NRC
19 utilize a modality specific definition of AMP which allows
20 separate credentialing of teletherapy AMP, remote
21 afterloading AMP, and gamma stereotactic AMP. That would
22 be one component of the recommendation.

23 DR. CERQUEIRA: But shouldn't part of this be
24 incorporated as part of the board approval process because
25 in a sense that's what we're -- I mean, how would that be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 -- I mean, we could make the motion.

2 DR. WILLIAMSON: No, this first part is
3 independent of the board certification to some extent I
4 think, the idea of having multiple modality AMPs is not
5 necessarily, I think, connected with the board
6 certification.

7 DR. CERQUEIRA: But it's a concept of --

8 DR. WILLIAMSON: The second component would be
9 is that I think to sort of iterate the essence of Geoff's
10 proposal, you know, the basic idea is that: ACMUI
11 recommends that NRC accept ABR or ABMP certification in
12 radiation oncology physics as evidence for complying with
13 all of the requirements of 35.51-B except the modality
14 specific requirements not covered by the board eligibility
15 criterion, which is in essence the various types of
16 calibration. Would that cover it?

17 MS. McBURNEY: Rather than this being a
18 motion, could it just be kind of a consensus that we
19 support the idea outlined by Jeff?

20 DR. CERQUEIRA: Dick?

21 DR. VETTER: I agree. In fact, I think in the
22 material that was in our packet, I think it's pretty well
23 outlined, board certified physicist plus demonstrating the
24 modality specific training. It's really well-outlined
25 there and if we could simply transfer to the NRC our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 consensus that we support this concept, it doesn't have to
2 be the exact words, this concept. I think that would
3 work.

4 DR. CERQUEIRA: I think consensus opinion is
5 probably right.

6 DR. WILLIAMSON: I think it would be
7 interesting to hear what the NRC reaction to this proposal
8 is.

9 MR. BROWN: Well I tried to operate by the
10 standard. If I don't know what I'm talking about, I shut
11 up, and unfortunately in the room right now, you don't
12 have any of the people dealing directly with this issue,
13 so I can't offer you anything more than that. I would
14 observe that if the issue is trying to modify the rule
15 language for blanket recognition of the board
16 certification, that's more difficult than if how this is
17 implemented is as a standard acceptable for license
18 amendment request to add an authorized medical physicist
19 to a license which is quite simple and readily amenable.

20 DR. WILLIAMSON: I think it's guidance for
21 identifying those physicists that comply with 35.51-B that
22 basically, if a candidate comes to you that has one of the
23 two specified certifications, you don't have to ask them
24 where they got their degree and what it was in.

25 You don't have to ask them about their year

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of training and their year of experience, because you have
2 already concluded that the board certification adequately
3 covers those requirements, and the only additional ones
4 you have to go after are those that the board does not
5 include.

6 So I think this is the idea and that the idea
7 is this would be something that exists in guidance space
8 and would not require a reworking of the regulatory
9 language itself, which requires a rule-making initiative
10 which I think should be discussed sometime soon, I hope,
11 to rectify the problem long-term.

12 So I guess what it would require is, is that
13 the boards would basically write to NRC and say our
14 requirements include this, this, this and this but not
15 this, and that could be used as the base by radiation
16 safety committees of broad scope licensees for
17 credentialing AMPs and I guess would be used by NRC
18 license reviewers in assessing the suitability of
19 applicants offered as authorized medical physicists file
20 license amendment.

21 DR. CERQUEIRA: The more you keep talking
22 about it, the more confused I'm getting here. Again, I
23 understand the point that you're making, but I'm not
24 certain why we shouldn't make this point for all the other
25 authorized users, whether it's physicians or whatever. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and I think this is covered adequately within the
2 certification board review process. I think that would
3 get it into, you know, out there and enforced much sooner
4 than anything else we could do. Dick, am I misperceiving
5 it?

6 DR. WILLIAMSON: I'm not sure I understand
7 your point.

8 DR. CERQUEIRA: I understand your points
9 though.

10 DR. VETTER: See I think one of the problems
11 is the way the language has been finalized. There's
12 nothing in the language that prevents someone from
13 becoming a qualified medical physicist or radiation safety
14 officer apart from being certified. Just fill out all the
15 paperwork. You send it in to the NRC and you get
16 approved. I think what Dr. Ibbott is saying is that there
17 is value in the certification process in helping to assure
18 safety of the medical use of radioisotopes, because
19 certification is one very strong indication of competency,
20 and the more competent our physicists are, at least we
21 would hope, the safer -- this is true for physicians as
22 well, I assume.

23 DR. CERQUEIRA: See but that's kind of a
24 generic.

25 DR. NAG: I think one way or the other, for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the authorized user, for the radiation safety officer. I
2 think the only difference I can see here is that in
3 addition to you having a certification, they should show
4 competence in these three --

5 DR. VETTER: Right, and his proposal does
6 that.

7 DR. NAG: Right.

8 DR. CERQUEIRA: Right, but the way to get this
9 through is part of the application process that they've
10 already initiated that we discussed this morning. I mean,
11 isn't that correct? I mean, David help me out here? I
12 mean, what am I missing?

13 DR. DIAMOND: Well, I was just laughing to
14 myself. Perhaps if the Society of Nuclear Medicine has
15 its way and this whole Part 35 rule-making is scrapped, we
16 have now learned some important lessons next time we do
17 this as to how to write these regulations.

18 DR. CERQUEIRA: Dick?

19 DR. VETTER: The problem that we have is that
20 the current language requires the board to certify that
21 the person has had the appropriate training and
22 experience. And the boards don't do that.

23 DR. CERQUEIRA: Well, they do in their
24 eligibility requirements and that's one of the things that
25 the board review process is looking at is they're looking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at the requirements for those candidates for
2 certification, and they're supposed to meet the NRC
3 requirements.

4 I know that the cardiology community
5 basically changed their rules to be in compliance with the
6 proposed changes. Now unfortunately, it's already been
7 done and if it just doesn't go through, they're in
8 trouble. But Jeff, briefly, how am I going to, what am I
9 missing?

10 DR. WILLIAMSON: Well, I think three points
11 I'll try to make.

12 DR. CERQUEIRA: Quick points.

13 DR. WILLIAMSON: I think what you're saying is
14 why can't this comment be generalized or essentially this
15 recommendation of Dr. Ibbott's be generalized to cover all
16 of the various individuals that are mentioned in the
17 regulation.

18 Well, I think the first reason is, is aside
19 from the health physics certification, I think medical
20 physics has been the sort of only individual where it
21 appears that we definitely know for sure the board
22 certification process has failed to meet the NRC
23 definition. I think at this point in my mind, all I've
24 heard it's very cloudy.

25 DR. CERQUEIRA: Wasn't the discussion this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 morning that we would basically break it down into
2 categories, and shouldn't that meet the board's
3 eligibility requirements?

4 DR. WILLIAMSON: Let me try to finish my
5 answer.

6 DR. CERQUEIRA: Okay.

7 DR. WILLIAMSON: So that's one point. The
8 medical physics, the definition for authorized medical
9 physics very clearly does not agree with the board
10 eligibility requirements that exist now. There probably
11 is no practical way ever to make it agree completely with
12 those requirements due to the demographics and how people
13 enter the field and the distribution of some of these
14 modalities, which is actually quite rare.

15 I think the second point is, is that board
16 certification is especially important to, I think, quality
17 of radiation medicine delivered because it's sort of
18 really the only credentialing tool we have. If board
19 certification in radiation oncology, you know, ceases to
20 have the significance that it does now, that's not as
21 serious I would argue because there is the residency
22 requirement, which is the sort of real teeth of the
23 regulation.

24 And again, due to the fact that residency
25 programs are a new concept in medical physics and do not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have the market penetration, it is not practical at this
2 time to insist on a uniform training experience. So we
3 really have to rely on the board certification mechanism
4 in order to weed out people, and it does have teeth. It's
5 rather difficult to pass in the sense that 30 or 40
6 percent of those who take the exams flunk them. So it is
7 an effective tool I think.

8 I think those are really the two main points.
9 I've lost track of what the third is, so I think the idea
10 was to make -- the third idea is or the third argument
11 was, is that the fact that board certification for
12 physicists has been the criterion used in the current Part
13 35, I think has been very important in making it have the
14 universality of acceptance that it now has and the concern
15 is, if it completely disappears as a tool for selecting
16 who can be an authorized medical physicist, that they'll
17 be little motivation for physicists in the future to
18 become board certified and there will be an influx of
19 people into the field who do not have the certified
20 credentials.

21 DR. CERQUEIRA: I'm president of a
22 certification board, so I understand a need and a concept
23 of why we want to do it. I'm just not certain how this
24 committee's going to advance it. But tell me what you
25 would like to do and we should probably take a vote and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 move on.

2 DR. WILLIAMSON: The proposal is that I think
3 this committee should pass a motion which endorses the
4 separate modality AMP concept and I think the second
5 proposition I think this committee should support is the
6 idea that, even though board certification at this time
7 can not be accepted as sort of the sole credential for
8 getting through the process, it should be utilized as much
9 as possible in determining who has satisfied the
10 alternative pathway requirements in 35-1B.

11 So, the board certification is not evidence
12 that the person has had specific experience in gamma
13 stereotactic, but it is evidence that the person has the
14 two years of training, the Graduate Degree.

15 DR. CERQUEIRA: See, that's just too many --
16 you got to make it simple.

17 DR. WILLIAMSON: What is your point?

18 DR. DIAMOND: The point is you made a very
19 good case just now that this is a special situation in
20 which there's a disconnector or dichotomy between current
21 training with respect to the board and what the new regs
22 have, a special case in that there's no residency training
23 so that the certification is really integral, and number
24 three, it's a special case because it's the historic
25 certification which has carried weight.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So you made a very good argument with these
2 three points. How do we get these points over here and
3 make it workable so for the next three years, we don't
4 have to spend a lot of time dealing with this?

5 MR. BROWN: I think that I suggest that there
6 was a proposal brought to the committee in the form of
7 the slides which will be part of the record.

8 DR. CERQUEIRA: Plus the letter.

9 MR. BROWN: And the letter. And I think if
10 the intent of the committee is to suggest to the staff
11 that we pursue this avenue to achieve a methodology of
12 getting authorized medical physicists into hospitals, then
13 you could simply so recommend to us and then we'll work
14 out the mechanism on how to make it work.

15 DR. WILLIAMSON: The recommendation is this,
16 that the NRC accepts board certification as having
17 satisfied all of the 31-1B requirements, except for the
18 specific experience with remote afterloading, gamma
19 stereotactic and Cobalt⁶⁰.

20 DR. MALMUD: I have a question.

21 DR. CERQUEIRA: Yes.

22 DR. MALMUD: Are there enough board certified
23 physicists to handle the clinical load nationally or are
24 we creating a possible obstruction to patients getting
25 care?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. IBBOTT: I don't believe there's any
2 evidence that there are not sufficient numbers.

3 DR. MALMUD: But I was asking the other
4 question. Is there evidence that there is a sufficient
5 number?

6 DR. NAG: You have the alternative pathway.
7 The pathway is there. I mean, this is a way to streamline
8 or make it faster, so you don't have to go through and
9 examine every training requirement. If you don't have a
10 board, you can always use the alternative pathway with
11 equivalence.

12 DR. WILLIAMSON: I think one answer is, I'm
13 not sure if there's direct evidence, but certainly the
14 current regulation and the current licensing guidance
15 basically requires board certification as the sole
16 criterion essentially for being authorized to do all of
17 these things. So this represents actually a change where
18 board certification is no longer going to be used as part
19 of an assessment.

20 MR. IBBOTT: But Jeff, could I follow up on
21 that? At the moment, yes board certification is
22 recognized as that level of competency in practice. In
23 institutions that have say a gamma knife, a physicist does
24 get training administered by the manufacturer or by a
25 practitioner of that field that's acknowledged by the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 manufacturer, and so does get some special training in
2 that field.

3 So, I think the answer is that yes, we do
4 have people out there now who are meeting the needs
5 because there isn't a clamoring for four people. Now,
6 we're experiencing some shortages just like all other
7 medical specialties are, but the fact is that hospitals
8 aren't prevented from delivering these treatments because
9 they don't have qualified and experienced medical
10 physicists to calibrate the equipment.

11 DR. CERQUEIRA: I'm not sure we're going to
12 get consensus, so unless somebody feels very strongly that
13 we need to take a vote on it, I think we've gotten
14 information to the NRC staff. I also think, you know, in
15 terms of Dr. Malmud's point, we should get some numbers.
16 I mean, how many certified physicists are there out there?
17 How many people are currently employed as medical
18 physicists were certification would be a necessity? That
19 would give us some idea of the numbers and the scope of
20 the problem, and I think that could be discussed at the
21 spring meeting.

22 So, unless somebody feels really strongly, I
23 vote --

24 DR. WILLIAMSON: Well, I feel quite strongly
25 and I think this is a seminal point in time which, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know, the role of physics board certification in the
2 regulatory process is really in doubt, and I think it
3 would behoove this committee to send a strong signal to
4 the NRC staff that this is an important credential and
5 should be used.

6 DR. CERQUEIRA: Maybe let's go around the room
7 and just short comments in terms of whether you feel we
8 need to have sort of a motion or whether we need more
9 information.

10 DR. NAG: I felt that, the way the ruling now
11 addresses that and that's true for all the others, I mean
12 authorized user a board requirement is there and all the
13 others and we have an alternative pathway for those who
14 are not board certified.

15 DR. CERQUEIRA: Yes, Neki?

16 MS. HOBSON: Well, it seems to me that if we
17 endorse Dr. Ibbott's proposal, it would just hopefully
18 give it more weight when it's being considered by the NRC
19 staff and hopefully, eventually a commission. So, I would
20 agree with Jeff that I think it's something that we could
21 go on record now as being in favor of it.

22 DR. CERQUEIRA: As endorsing, okay. David.

23 DR. DIAMOND: As I suggested, I'm in favor of
24 endorsing Jeff's points.

25 MR. LIETO: Same.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MALMUD: I agree.

2 MS. McBURNEY: I too am in favor.

3 DR. CERQUEIRA: All right. So, shall we take
4 a vote for endorsement?

5 MS. McBURNEY: Yes.

6 DR. CERQUEIRA: All in favor? Opposed? It's
7 unanimous, good. Thank you very much.

8 MR. IBBOTT: Thank you.

9 DR. CERQUEIRA: Any other new business before
10 we --

11 MR. BROWN: We are prepared.

12 DR. CERQUEIRA: To do?

13 MR. BROWN: To talk about the other subject.

14 DR. CERQUEIRA: All right, some people have to
15 jump ship momentarily, don't they?

16 DR. NAG: Yes, actually right now.

17 DR. CERQUEIRA: Okay, well maybe I think we
18 could let the three jump ship and then this is -- is there
19 any way we could send in the material?

20 MR. BROWN: We certainly can hand you what we
21 have.

22 DR. WILLIAMSON: What is the topic that's
23 being proposed, I'm sorry?

24 MR. BROWN: This is the follow-up to your
25 request this morning for information on recommended

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 treatment.

2 MS. McBURNEY: Medical update from accident?

3 MR. BROWN: Accident, right.

4 MS. McBURNEY: And non accidents.

5 MR. BROWN: Mark Sitek from our staff will go
6 through the slide. I'd like to just introduce the topic
7 by pointing out that within the NRC obviously, is as you
8 have pointed out to us quite often, we're not involved
9 with the practice of medicine or recommended medical
10 efficacy issues with respect to patients. We're
11 interested in radiation safety occupational specifically,
12 as well as to the patient from the treatment.

13 So we don't have a large in-house medical
14 capability to make the sort of recommendations or provide
15 you directly with the information on how you would treat
16 citizens who came to you with specific concerns or
17 specific exposures. Having said that though, we do have
18 some things that we can share with you, including who we
19 think the best people in the Federal Government to address
20 the issue are.

21 So, I'm going to let Mark go through that,
22 and then I'll kind of wrap it up at the end by letting you
23 know how we intend to proceed based on your concerns.

24 MR. SITEK: Again, my name is Mark Sitek and
25 I work for Fred. I quickly went through some of our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 internal documents and did a couple searches for other
2 Federal agencies or government entities that can offer
3 assistance. Internally, in one of our inspection manuals,
4 we have very brief and generic guidance on when we
5 recommend individuals exposed to radiation be referred to
6 a physician. This procedure is currently under review,
7 but as it stands now, we have basically two group.

8 Group A, those women that are pregnant that
9 receive or are believed to receive in excess of 500
10 millirem, we recommend that they see a physician. The
11 second group is everybody else, men, children and non-
12 pregnant females when they receive greater than 5 rem, we
13 recommend that they see a physician, and these dose limits
14 are based on Part 20 dose limits. Five rem is of course
15 the occupational worker limit and 500 millirem is the
16 limit for pregnant females.

17 MS. McBURNEY: Question, this is a single
18 dose?

19 MR. SITEK: Acute, yes.

20 MS. McBURNEY: Acute instantaneous.

21 MR. SITEK: Yes. And then if anybody receives
22 greater than 20 rem, we recommend that the physician
23 follow up with cytogenetic studies. But in all cases when
24 we refer it to the physician or ask the individual to see
25 a physician, we recommend that they contact REAC/TS which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is through the Department of Energy and is the
2 Radiological Emergency Assistance Center/Training Site,
3 for those individuals are truly the world experts in all
4 aspects of assessing radiation exposure and have the
5 state-of-the-art and the most current expertise on how to
6 deal with and treat internally, externally wounds
7 associated with radiological contamination.

8 Their web site is pretty good in providing
9 very general or generic guidance on how to treat
10 externally contaminated individuals, externally exposed
11 and internally contaminated individuals, but it does not
12 go into great detail on how to step through the process
13 like in a cookbook format. It doesn't say, Step 1,
14 administer 100 milligrams of potassium iodide for example.
15 It's just very general and provides to some degree various
16 drugs or blocking agents and chelating agents that are in
17 existence that can be used.

18 This center is available 24 hours a day, and
19 like I said, they are the world experts and are called
20 upon all the time. They also provide training to
21 physicians on how to treat and recognize signs of
22 radiation.

23 But the underlying message from them is,
24 these types of events and these patients should be treated
25 on a case-by-case basis, and if you don't know what you're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doing, then you should definitely contact the experts,
2 which in this case is this group of people.

3 They also refer you to, there's a national
4 counsel on radiation protection and measurements report
5 which is #65 which goes into a little more detail on the
6 recommendations and on how to treat, and other drugs that
7 have been used in the past. But again, it's also a very
8 general and the overall recommendation is to seek expert
9 advice.

10 MR. BROWN: This obviously goes hand-in-hand
11 with the function that we have more directly, which is in
12 the event that there is either an industrial accident or
13 a terrorist event, we'll be working with the other Federal
14 agencies involved and key players, the states, to make
15 recommendations on protective actions and over the course
16 of the long-term, decontamination of any exposed area.

17 So, that effort is actually right now being
18 coordinated through the Homeland Security Office and I
19 believe FEMA is the lead agency. So what we plan on doing
20 is to recommend to the commission and senior agency
21 management that we forward to that organization the issue
22 that you raised, that the medical community in general may
23 expect to be asked about what are protective action
24 guidelines, what should they do if they're directed to a
25 physician. And so that we'll propose that those branches

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the government with the lead on this be responsive, and
2 then we'll keep you informed as the ACMUI as we hear back
3 to that need.

4 So, I guess I should first ask whether we
5 scratched your itch at all here, or if we're totally off
6 target.

7 DR. CERQUEIRA: I think this is a start in
8 terms of once again -- I think the point we were getting
9 at this morning again, is just some general information.
10 Again with the anthrax concerns, our medical center has
11 been having almost daily briefings for staff and
12 physicians on what knowledge do we have about anthrax?
13 What are some of the issues that are going to come up?
14 How do we treat it? And just try to keep it very current
15 with what's going on in the public media, because that's
16 what patients come in and ask about.

17 So, the whole issue is, you know, obviously
18 sort of nuclear bioterrorism is a concern and how do we
19 sort of alert ourselves and the other physician
20 communities. It sounds like REAC/TS is the group that we
21 need to go to. Leon.

22 DR. MALMUD: There is a rich literature on the
23 subject. It tragically evolved in the same way that the
24 literature for anthrax evolved. Anthrax came out of the
25 Swerdlovsk incident in the Soviet Union, and our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 information has come from our own effort to close World
2 War II at Hiroshima and Nagasaki and then with one or two
3 radiation accidents that have occurred.

4 The individuals who would be involved in
5 treatment would be certain radiation oncologist,
6 environmental health and safety people, radiation safety
7 people. But then hematologists, burn specialists and then
8 the areas that are affected would require intensive -- for
9 patients who were subjected to large radiation burdens
10 externally but may or may not be externally burned, they
11 would have the typical reaction of patients who got too
12 much whole body radiation, begin sloughing their
13 gastrointestinal tract and have bone marrow shutdown.

14 But there's rich literature on it. It's not
15 timely, fortunately, and we hope it will never have to be
16 timely, but it is available and I suspect it's probably
17 accessible through those numbers that you've given us in
18 that page. The data will be updated as the Federal
19 Government gets to work on preparing us for possible
20 nuclear terrorism.

21 We have the largest emergency service in the
22 City of Philadelphia at Temple, and we've begun the
23 process of preparing for both biologic, chemical and
24 nuclear incidents. We're further ahead with biologic and
25 chemical than we are with nuclear because we wanted to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 deal with those two first.

2 We would be remiss in a facility of our size,
3 treating the volume of patients that we do in the city,
4 not to be prepared for this as well. And I suspect as you
5 well know, that's why we have the itch. I could respond
6 to you that you did help scratch it a bit. That list is
7 very useful. Thank you.

8 MR. BROWN: Thank you.

9 DR. CERQUEIRA: Ruth.

10 MS. McBURNEY: For those, as I mentioned this
11 morning, for those facilities that are in the vicinity of
12 nuclear power plants, they are geared to treating
13 exposures and contaminated individuals from the plant.
14 But in the case of a large-scale attack, you're talking
15 about having to take people to higher populated because
16 most of the power plants are in lower populated areas and
17 having to go into bigger facilities in the city, which may
18 or may not have had the training to deal with that.

19 DR. CERQUEIRA: Ruth, or Neki?

20 MS. HOBSON: Aside from, you know, the
21 technical and professional problems that the medical
22 community would need to address, and maybe someone's
23 already done this, but there should be put together by
24 some very credible organizations a packet of basic
25 information on radiation and radiation exposures that you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 can hand to the media and try to keep -- you know, the
2 media just goes hysterical and I think it would be really
3 helpful if we had that kind of information available that
4 we can just distribute to dampen that hysteria a little
5 bit at least.

6 DR. CERQUEIRA: Yes, I think that would be
7 very important, and obviously even if word got out that
8 some government committee had started asking about these
9 questions, then there would be concern it's imminent.

10 But I think just having information is
11 useful, and whatever the NRC could do to come up with it.
12 Maybe, you know, the REAC/TS people seem to have all the
13 information but maybe it needs to kind of be distilled and
14 made available for the medical community as well as for
15 the general public. Certainly, I think, that's within
16 sort of the mission of this committee to advise you that
17 that's a need, that people are going to come to the NRC
18 and to committee members in general to address.

19 MR. BROWN: And we took our web site down
20 where we had some of that general information. So, I
21 guess the other option --

22 DR. CERQUEIRA: Well, if it was there, maybe
23 you could provide, I mean -- it wasn't closed because of
24 that type of information. So if that could be made
25 available, that would be useful.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. BROWN: I guess the other obvious
2 reference are the BEIRs studies to go back to the best
3 science as we know it for dose effect relationship, but
4 your point's well taken Dr. Cerqueira. I think that's
5 what we'll pass on.

6 DR. CERQUEIRA: Yes.

7 DR. MALMUD: The Soviet literature too from
8 Chernobyl.

9 DR. CERQUEIRA: Chernobyl, yes.

10 MS. MCBURNEY: The Conference of Radiation
11 Control Program Directors is putting together a sort of a
12 series of links or referenced web sites for the general
13 public and on different topics, one of these being general
14 information on radiation. Also, even terrorism type links
15 that they've -- anyway, I'm on the committee that's
16 putting this together for public information type
17 information that people can go to to find information on
18 the various related -- you know, to get information,
19 general information on radiation and radiation effects.

20 DR. CERQUEIRA: The information is there. All
21 these things that have been mentioned have all the
22 information, but it's not distilled in a form that can be
23 easily presented to, certainly to lay people or even to
24 medical physicians. Okay. Thank you, that was very
25 useful. Other new business? I guess the next meeting is?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. WILLIAMSON: Before we discuss that, I
2 just want to mention to the committee members that if I
3 can get specific travel information and other information.
4 This is really committee business, more than public
5 business, but I just want to remind the committee members
6 that if I can get all of your travel information, your
7 professional pay information before you leave, that will
8 expedite the process of getting those reconciled. So, if
9 you can get those to me that will be helpful to us both.

10 DR. MALMUD: Is there a standard form?

11 MS. WILLIAMSON: Yes. I might have to speak
12 with you and Mr. Lieto offline since you're new to the
13 process, but the other members know exactly what I'm
14 talking about.

15 MS. McBURNEY: It's just the little expense
16 form, or do we need to have a voucher to sign as well or
17 would we be sent that?

18 MS. WILLIAMSON: Well, I thought -- if you
19 don't have both of the forms that you need, I can get you
20 both the forms.

21 MS. McBURNEY: Okay.

22 DR. WILLIAMSON: We might not have all of the
23 receipts and some of our expenses are yet to be incurred,
24 so it's sort of difficult to.

25 MS. WILLIAMSON: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. McBURNEY: Yes, the end of the night
2 tonight.

3 DR. WILLIAMSON: I think all we have to do is
4 fill out the simple form and give you the receipts that
5 are required, including the airfare information and such,
6 and then as I understand, your office generates some more
7 complicated voucher that comes back to us and then we sign
8 and then we send it back to you.

9 MS. McBURNEY: Is that right?

10 DR. WILLIAMSON: That's how it works.

11 DR. CERQUEIRA: Good, okay. So the next
12 meeting, I think everyone felt it was important to have
13 the meeting with the commissioners which we tried to
14 schedule this time but were unable to do so. But, we were
15 supposed to meet in April and hopefully we will have some
16 resolution on Part 35 by then, the revisions. We should
17 probably get availability for the commissioners in April?

18 MR. BROWN: We'll use April as a target to
19 work with the commission staff.

20 DR. CERQUEIRA: Okay, they can't project that
21 far I guess. I think otherwise first to try to settle on
22 a date without knowing when they're available is futile
23 and a waste of time.

24 Okay, any comments from the staff? Well,
25 then I'd like to thank everyone for coming and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 participating and giving us their input. And I'd like to
2 again welcome Ralph and Leon to the committee and hope
3 they weren't too discouraged by this first meeting. It
4 gets better I think. And with that, we'll adjourn. Thank
5 you.

6 (Whereupon, the above entitled matter was
7 adjourned at 2:39 p.m.)

8
9
10
11
12

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701