

# **Official Transcript of Proceedings**

## **NUCLEAR REGULATORY COMMISSION**

Title: Advisory Committee on the Medical Uses of  
Isotopes: Subcommittee on Training and  
Experience Requirements

Docket Number: (not applicable)

Location: Rockville, Maryland

Date: Friday, June 21, 2002

Work Order No.: NRC-439

Pages 1-157

**NEAL R. GROSS AND CO., INC.**  
**Court Reporters and Transcribers**  
**1323 Rhode Island Avenue, N.W.**  
**Washington, D.C. 20005**

(202) 234-4433

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

UNITED STATES OF AMERICA

NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

(ACMUI)

+ + + + +

SUBCOMMITTEE ON TRAINING AND EXPERIENCE REQUIREMENTS

+ + + + +

FRIDAY

JUNE 21, 2002

+ + + + +

ROCKVILLE, MARYLAND

+ + + + +

The Subcommittee met at the Nuclear  
Regulatory Commission, Room T2B3, Two White Flint  
North, 11545 Rockville Pike, at 8:03 a.m., Dr. Richard  
J. Vetter, presiding.

SUBCOMMITTEE MEMBERS PRESENT:

RICHARD J. VETTER, Chairman

JEFFREY A. BRINKER, Member

MANUAL CERQUEIRA, ACMUI Chairman

DAVID A. DIAMOND, Member

RUTH MCBURNEY, Member

JEFFREY WILLIAMSON, Member

JOHN W.N. HICKEY, Designated Federal Official

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 STAFF PRESENT:

2 ANGELA WILLIAMSON

3 INDA PSYK

4

5 ALSO PRESENT:

6 PHILIP O. ANDERSON, ABR

7 JAMES A. BOXALL, JR., ANSC

8 DR. PAUL CAPP, ABR

9 PAUL CHASE, AOBR/AOBNM

10 LYNNE FAIROBENT, ACR

11 DR. RICHARD FEJKA, BPS/APHA

12 RANDY FENNIN, SEIC

13 ANGELA FURERON-LEE, AAPM

14 SHAWN GOOGINS, ABHP/NIH

15 DR. WILLIAM HENDEE, ABR

16 DONNA BETH HOW, NRC

17 DAVID H. HUSSEY, ASTRO

18 WILLIAM D. NELLIGAN, CBNC

19 M. GARY SAYED, ABSNM

20 KRISTIN SIMONSON

21 DR. DAVID STEIDLEY, ABMP

22 WILLIAM R. UFFELMAN, ESQ., SNM/ABNM

23 DR. WILLIAM VAN DECKER, CBNC

24

25

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

C-O-N-T-E-N-T-S

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

<u>Agenda Item</u>	<u>Page</u>
Welcome . . . . .	4
Discussion of Charter . . . . .	8
Draft Subcommittee Recommendations . . . . .	12
Public Comments . . . . .	72
Additional Discussion . . . . .	127
Adjourn . . . . .	157

P-R-O-C-E-E-D-I-N-G-S

(8:03 a.m.)

1  
2  
3 CHAIRMAN VETTER: My name is Richard  
4 Vetter and I have been appointed by Dr. Cerqueira to  
5 be the Chair of this Subcommittee on training and  
6 education as it relates to the NEU Part 35. I would  
7 like to welcome members of the Subcommittee and Dr.  
8 Cerqueira, the NRC staff and our public visitors ,  
9 here today.

10 The subcommittee has been working via e-  
11 mail to come up with some preliminary recommendations  
12 and the purpose of the meeting here today is to  
13 discuss those preliminary recommendations and come to  
14 a consensus on a recommendation for the training  
15 education requirements as spelled out in Part 35.

16 Dr. John Hickey from the NRC, he and his  
17 staff have been supporting this activity, and John has  
18 some remarks to make this morning.

19 MR. HICKEY: Good morning, and welcome to  
20 the NRC. Thank you for attending the meeting. I am  
21 the designated Federal official for ACMUI, which means  
22 that I have day to day responsibility for the  
23 interactions between the committee and the Commission.

24 The function of the ACMUI is to provide  
25 advice and recommendations on medical issues to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 NRC, and the Commission appreciates the time that the  
2 Committee takes on these matters because they also  
3 have very busy schedules at their institutions.

4 This particular session is as Dr. Vetter  
5 said, is on training and experience requirements in  
6 the NEU Part 35, which was published on April 24th.  
7 The new rule has been published in the Federal  
8 Register and is available on our website, and there  
9 are excerpts in the handouts that are available on the  
10 shelves in the back of the room that include the  
11 training and experience requirements that were  
12 published.

13 Prior to publication the Commission was  
14 informed of implementation problems related to  
15 training by the ACMUI and by other parties.  
16 Therefore, the Commission changed the final rule to  
17 retain the old training experience requirements for  
18 two years in parallel with the new requirements.

19 And during that two year period the  
20 licensees can follow either the older requirements or  
21 the new requirements in establishing qualifications  
22 for their authorized users and other authorized  
23 persons.

24 In addition, the Commission stated that it  
25 would work with the medical community to address

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 implementation problems and work with the ACMUI. So  
2 it was in that context that this subcommittee was  
3 appointed. And the Commission looks forward to  
4 receiving the recommendations of the Committee.

5 And the recommendations will be carefully  
6 considered, but I want to emphasize that the  
7 recommendations to the Committee do not constitute  
8 final action by the Commission. The Commission will  
9 still need to determine if the changes will be made,  
10 and what changes will be made, and if the changes, if  
11 they are made, might not necessarily coincide with the  
12 recommendations of the Committee.

13 This is a transcribed public meeting, and  
14 so all speakers should keep in mind that they are  
15 speaking for the public record, and I will turn the  
16 meeting back to Dr. Vetter to introduce the other  
17 members of the subcommittee, and proceed with the  
18 meeting. Thank you, doctor.

19 CHAIRMAN VETTER: Thank you very much,  
20 John. Dr. Cerqueira, in his capacity as Chair of the  
21 ACMUI, at our last meeting appointed the subcommittee  
22 to address this training and education issue.

23 Members of the Committee, besides myself,  
24 are Ruth McBurney, who represents the States; Jeff  
25 Williamson, representing Therapy Physicists; David

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 Diamond, representing Radiation Oncologists; and  
2 Jeffrey Brinker, representing Interventional  
3 Cardiology.

4 The Committee has worked informally via E-  
5 mail and telephone to come up with some preliminary  
6 recommendations, and this is our first meeting to  
7 actually discuss those recommendations.

8 I will spend just a moment on the agenda,  
9 just so that everyone is in agreement here. The plan  
10 is to finish by noon or before. We will start by  
11 discussing the charter, and just review that very,  
12 very briefly, and then discuss the subcommittee  
13 recommendations, the goal being to come to a consensus  
14 on what those recommendations would be.

15 Now, the preliminary recommendations we  
16 have written. I'm sorry, I am getting ahead of myself.  
17 And we will discuss those recommendations and we will  
18 take a short break mid-morning, and then we will open  
19 it up for public comments.

20 Those who wish to make public comments  
21 should register. There is a sheet here to register and  
22 let the NRC staff know that you do wish to make  
23 comments, and then we will open the meeting for these  
24 public comments after our break.

25 We do request that public comments be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 limited to 10 minutes. And then finally at the end of  
2 the meeting hopefully we will have a consensus that we  
3 can review, and that consensus will be presented to  
4 the ACMUI for further deliberation. Is there anything  
5 that we want to say at this point about that, about  
6 that timing and so forth?

7 MR. HICKEY: Excuse me, doctor, but if I  
8 could just interject. Written comments were accepted  
9 prior to this meeting, and there are copies in the  
10 back. Those will be part of the record. Any written  
11 comments can be left today, and we will accept written  
12 comments up until June 28th for consideration by the  
13 full committee.

14 And the full committee will be holding a  
15 meeting by telecon on July 8th, and that meeting has  
16 been announced, and it will be conducted from our  
17 auditorium here at the NRC, and people can come to the  
18 auditorium to observe that meeting, and Dr. Cerqueira  
19 will be here in person to conduct that meeting.

20 CHAIRMAN VETTER: Thank you. So the  
21 public has had an opportunity to input to date, and  
22 they will have further opportunity for public input  
23 after we arrive at our consensus here today. Okay.

24 The charter of the subcommittee was to  
25 develop the concept for a draft rule that restores

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 board certifications as the primary pathway for  
2 becoming an authorized medical physicist, radiation  
3 safety officer, and authorized user.

4 As the Committee wrestled with that charge  
5 to develop some recommendations, there were three  
6 areas that basically came out that we needed to focus  
7 on. One was the issue of listing boards, and the  
8 subcommittee in our preliminary conversations felt  
9 that boards should be formally listed, but whether  
10 they were listed in the regulations or on the NRC  
11 website is a matter that needs to be decided, and  
12 perhaps that is more an issue of how that process is  
13 facilitated, as opposed to whether it really needs to  
14 be in the regulations.

15 The second area was criteria for  
16 recognition of boards, and we wrestled with that, and  
17 so hopefully our recommendations will reflect those  
18 criteria. And then the third was the issue of  
19 modality, specific training. Two issues there really,  
20 and that is a licensee hiring a new RSO or medical  
21 physicist, or whatever, and assuring that that person  
22 who might be board certified actually is experienced  
23 using the modalities that that licensee is authorized  
24 to use.

25 And the second issue is a licensee who has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 an authorized medical physicist, RSO, or whatever,  
2 that gets a new modality, and then assuring that those  
3 people get the appropriate training in the new  
4 modality.

5 So that basically was the charge, and as  
6 I mentioned, the committee worked by telephone and e-  
7 mail to come up with some preliminary recommendations,  
8 which we will discuss at this time. Any other  
9 comments from members of the subcommittee at this  
10 point in time? Yes, Jeff?

11 DR. WILLIAMSON: I am never without words  
12 here. I think that there are a couple of categories  
13 of individuals that we have not discussed and maybe  
14 should. We have not really developed a framework for  
15 35.300 modalities, and it is not clear to me whether  
16 there are not difficulties with the authorized nuclear  
17 pharmacy training and experience, and we should  
18 clarify whether that needs to be amended, if only to  
19 bring the language in line with the revised category.

20 CHAIRMAN VETTER: I think that is a good  
21 point, and I think that my personal perspective is we  
22 were charged to work on these three areas, and then  
23 secondly to that was the issue of consistently  
24 throughout Part 35.

25 So it was our understanding that if we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 came up with recommendations for a particular category  
2 -- for instance, just a simple one, the listing of the  
3 board. Should boards be listed, and they would not be  
4 listed in one category and not in another. So it  
5 would be consistent all the way across.

6 The same thing for criteria for boards.  
7 We would develop general criteria for boards, even if  
8 we didn't address a specific category like authorized  
9 nuclear pharmacy, and we would expect that our  
10 recommendation would be applied across the board.

11 DR. DIAMOND: Just to expand that,  
12 Richard. For example, when I was working on 690 for  
13 therapeutic uses, we really wanted to try and go and  
14 get a consensus on those points, and then the decision  
15 would be that once we got that consensus that we would  
16 go back and make housekeeping changes for parallel  
17 structure, and for example, 392 and 394, and 490, and  
18 491. Otherwise, our e-mails would become even more  
19 burdensome.

20 CHAIRMAN VETTER: Good point.

21 DR. CERQUEIRA: Another point that has  
22 come up is for the RSO. If you are a medical  
23 authorized user, should that criteria also allow you  
24 to meet the RSO criteria as well, and so I think that  
25 kind of needs to be addressed, because as stated, some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the 290 requirements aren't totally consistent with  
2 the RSO requirements.

3 CHAIRMAN VETTER: Okay. When we get to  
4 that. That is a good point, and we be sure to mention  
5 that. Okay. Well, let's turn to the draft  
6 recommendations that we have. If I could just make  
7 some preliminary comments, and I might be repeating  
8 myself a little bit as we look at these.

9 There are -- and let me just say that the  
10 intention was to develop a -- the intention was not to  
11 develop regulatory language. However, the  
12 recommendations look like regulatory language, and  
13 that's because the committee simply wanted to pay  
14 attention to detail and not leave some stuff out.

15 But we don't pretend to be those that  
16 would write the regulations. So once again, the main  
17 thing was that we wanted to make sure that we didn't  
18 miss something. So we wrote it in that kind of a  
19 format.

20 So on radiation safety officer, we did  
21 list the boards and basically just went back to the  
22 old list, and we asked ourselves whether or not that  
23 list of boards meets our broad criteria, the broad  
24 criteria being paragraph B, as certified by a  
25 specialty board, whose certification has been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 recognized by the commission and requires all  
2 diplomats to.

3 And then we have several different  
4 categories or criteria that we would expect all of  
5 those boards to meet, and we have no reason to believe  
6 -- even if we have not looked at those in extreme  
7 detail, there is no reason to believe that none of  
8 them would meet those criteria.

9 So the issue is -- the primary issue is  
10 that there are specific criteria that a specialty  
11 board would have to meet in order to be approved to be  
12 on the NRC authorized list of boards, the idea again  
13 being that anyone who is board certified by one of  
14 those boards then would automatically qualify as a  
15 person who a license could approve as the radiation  
16 safety officer.

17 The alternate pathway then is separate and  
18 the board would not have to meet that alternative  
19 pathway. Let me say that the way that we have got it  
20 worded here, it looks like they are mutually  
21 exclusive, and we certainly didn't intend that.

22 Certainly if a board -- and I think it  
23 would be reasonable if a board chooses to meet the  
24 alternate pathway as one of the criteria, and that  
25 certainly has to be acceptable, because that is the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 alternate criteria.

2 So we wouldn't want to rule it out. I  
3 mean, a board could certainly be listed if it meets  
4 those alternative criteria. Then paragraph (b) is an  
5 authorized user, and an authorized user of what. We  
6 didn't specify there, but we assumed that the next  
7 paragraph on modality and specific training would take  
8 care of that.

9 So as an authorized user, and authorized  
10 medical physicist, authorized nuclear pharmacist,  
11 identified on the license, and then second, has  
12 experience with radiation safety aspects of similar  
13 types. So an authorized user who is approved to us  
14 categories under 200 could be the radiation safety  
15 officer for those materials, but would not qualify to  
16 be the radiation safety officer for 600.

17 The intent was for all of the sections to  
18 sort of follow that general theme, that there is a  
19 listing of boards that would be maintained somewhere,  
20 either in the regulations or on the NRC website, or  
21 somewhere, where anyone who is interested in that list  
22 of boards could easily access it.

23 And then the criteria would be in the  
24 regulations. So the boards would understand what  
25 criteria they need to meet, or there is the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 alternative pathway, and there is the issue of  
2 authorized users, and so forth.

3 And then finally the modality specific  
4 training, which I mentioned is intended to assure that  
5 even if a person is board certified, they have  
6 experience and an understanding of the issues  
7 associated with the modalities for which the licensee  
8 is authorized. So let me just open it up for comments  
9 on radiation safety officer.

10 MS. MCBURNEY: Just a question. If  
11 someone were board certified in, for example, nuclear  
12 medicine -- for example, the American Osteopathic  
13 Board of Nuclear Medicine -- could they be the RSO for  
14 therapeutic material?

15 CHAIRMAN VETTER: No, because paragraph  
16 (e) says that in addition to all --

17 MS. MCBURNEY: And they have to have the  
18 additional training.

19 CHAIRMAN VETTER: Right. So they could be  
20 if they had the appropriate training, I guess, yes.  
21 That's a good point. So an authorized user in nuclear  
22 medicine could be the radiation safety officer that  
23 would include therapy, but only if --

24 MS. MCBURNEY: If they are board  
25 certified.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN VETTER: If they are board  
2 certified, and they have been trained in the safety  
3 aspects of therapy in accordance with paragraph (e).

4 DR. CERQUEIRA: Again, in terms of the  
5 cardiology community, the other issue that comes up is  
6 the CBNC, which has been recognized in the 290 should  
7 be included here as well.

8 CHAIRMAN VETTER: It should be, yes.

9 DR. CERQUEIRA: And for Part C on this,  
10 for the 290, we sort of break it down into 700 hours  
11 without putting specific hours -- you know, here it  
12 has got 200 hours, and we had sort of taken that out  
13 at some point.

14 So I think for those people, they may not  
15 necessarily meet this criteria if we had the specific  
16 200 requirement in there. So there is an  
17 inconsistency between those two, and I think we should  
18 try to get that rectified.

19 MS. MCBURNEY: But if they are an  
20 authorized user, they could be --

21 DR. CERQUEIRA: Well, certainly by board  
22 certification, yes.

23 MS. MCBURNEY: And (d).

24 DR. CERQUEIRA: And (d).

25 DR. WILLIAMSON: Yes, paragraph (d), which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 says, "is an authorized user, authorized medical  
2 physicist, or authorized nuclear pharmacist," there is  
3 no presumption that to qualify as an RSO under that  
4 provision that you have to meet the board's  
5 eligibility requirements if we want to call them that,  
6 or board qualification requirements.

7 DR. CERQUEIRA: Okay. So I guess that  
8 would do it, and then if we could just basically add  
9 the board to the list.

10 DR. WILLIAMSON: But I think there is --  
11 I think that Dr. Cerqueira is right. There is a  
12 contradiction between (a) and (b) in the proposal.  
13 There is not a contradiction between (b) and (d) by  
14 definition, and the intent and structure of the old  
15 sets of regulations.

16 But we did say in our covering memo that  
17 the intent was that the listed boards, explicitly  
18 mentioned boards, would meet the broad criteria in  
19 (b).

20 CHAIRMAN VETTER: And do you think they  
21 don't?

22 DR. WILLIAMSON: Well, that is a question  
23 -- I don't think there is any presumption to be, for  
24 example, American Board of Radiology certification,  
25 does not require you to have six or more years of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 responsible professional experience in health physics.  
2 So, in that sense I think it would be not appropriate  
3 --

4 MS. MCBURNEY: I think that the boards  
5 pertaining to radiation safety officer should only be  
6 those that are dealing with health physics.

7 DR. WILLIAMSON: I think that that is  
8 probably true.

9 MS. MCBURNEY: Because if you are an  
10 authorized user, then you go that route.

11 DR. WILLIAMSON: So actually I think maybe  
12 the authorized user certifications at the very least  
13 should probably be removed from paragraph (a).

14 CHAIRMAN VETTER: Okay. Because those  
15 people qualify under paragraph (d).

16 DR. WILLIAMSON: Right. They qualify  
17 under paragraph (d). And then, you know, we have to  
18 look carefully at paragraph (b), and make sure that it  
19 represents kind of the minimum bar for those boards  
20 that we do want to include, and I think that at the  
21 very least you would want to include the American  
22 Board of Health Physics, and probably ABMP  
23 certification and medical health physics. And we can  
24 discuss whether --

25 MS. MCBURNEY: ABR.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. WILLIAMSON: Yes, ABR medical  
2 certification in therapeutic radiological physics, and  
3 ABMP certification in radiation oncology physics,  
4 should be on that list. And we might want to fine  
5 tune these criteria so that there would not be an  
6 incompatibility between their eligibility  
7 requirements.

8 CHAIRMAN VETTER: Okay. So what I am  
9 hearing is that the list should be focused on those  
10 who qualify -- the list of boards should be those who  
11 qualify in basically medical health physics. So that  
12 is the approved list of boards.

13 DR. CERQUEIRA: Right.

14 CHAIRMAN VETTER: And they would meet  
15 those criteria under (b), but that would not rule out  
16 someone who is certified in radiology.

17 MS. MCBURNEY: In theory.

18 CHAIRMAN VETTER: In nuclear medicine to  
19 be the RSO, and because they would qualify under (d),  
20 they are an authorized user. I think that makes sense.  
21 Dr. Brinker or Diamond? So the list that we would be  
22 recommending to the NRC, wherever they maintain it,  
23 would be focused on health physics, and initially at  
24 least we would be crossing off the medical boards.

25 DR. WILLIAMSON: I think if maybe John can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 clarify this, but I think the intent of (a) and (b) is  
2 to define those individuals who could be RSOs of the  
3 very largest licensee organizations is it not?

4 DR. CERQUEIRA: Right, independent of  
5 being an authorized physicist or medical physician.

6 DR. WILLIAMSON: Right. So that is what  
7 the ultimate function or role of this category that we  
8 have to keep in mind.

9 DR. CERQUEIRA: With the provision that  
10 there be a sort of specific training in the area in  
11 which you are applying, and it is not part of the  
12 recognized training requirements.

13 CHAIRMAN VETTER: Okay. I think we have  
14 consensus on that. And the criteria for (b) was  
15 basically our minimum criteria that currently are  
16 required by the American Board of Health Physics, and  
17 the American Board of Medical Physics actually  
18 requires a Masters Degree.

19 And I am not sure about the American Board  
20 of Science and Nuclear Medicine.

21 DR. WILLIAMSON: I don't think that ABMP  
22 for Medical Health Physics requires six years  
23 experience.

24 MS. MCBURNEY: It does require a Masters.

25 DR. WILLIAMSON: It does require a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Masters?

2 MS. MCBURNEY: I think that can -- I am --

3 CHAIRMAN VETTER: I think that is a minor  
4 point, and we can check on that and be sure that we  
5 aren't inconsistent with either of those boards.

6 DR. WILLIAMSON: I think that both ABMP  
7 and ABR may in some cases accept candidates that have  
8 two years. As I recall for ABMP, at the function of  
9 what kind of a degree you have, and if you have, for  
10 example, a doctoral degree in medical physics, it is  
11 a smaller number of years of experience, versus having  
12 a Masters Degree not in medical physics, would require  
13 the most years of experience. I think four. And I  
14 think it is 2 to 4.

15 CHAIRMAN VETTER: We can check on that.  
16 We can check on that.

17 DR. CERQUEIRA: Richard, under (b)(3), it  
18 sort of comes again to the written certification and  
19 what does that mean. You know, part of the charge of  
20 the committee was that the preceptor concept should be  
21 modified to become documentation of successful  
22 completion of a training program, rather than a  
23 testimony to clinical competence.

24 CHAIRMAN VETTER: Right.

25 DR. CERQUEIRA: And we had tried, you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 know, during the initial discussions over the course  
2 of the last six years, we wanted to put a little bit  
3 of bite into the preceptor statement, in the sense  
4 that we didn't want people to just sit through a  
5 program, but that they have had some mastery of the  
6 material, and whether competence is too strong a word.

7 But at some point, we are going to have to  
8 deal with or address the issue of whether just having  
9 completed a program, versus some requirement for the  
10 preceptor who is signing for this person, and saying  
11 that this person not only has completed the program,  
12 but has mastered the material in some way.

13 DR. CERQUEIRA: That is what the exam  
14 does.

15 CHAIRMAN VETTER: That is what the exam  
16 does, but this is the alternative pathway.

17 DR. CERQUEIRA: No.

18 MS. MCBURNEY: No, this is the requirement  
19 of (a).

20 CHAIRMAN VETTER: Okay.

21 DR. WILLIAMSON: Perhaps you should delate  
22 paragraph (b)(3). Why is it necessary to have a  
23 preceptor statement in the board certification  
24 criteria if they are already passing an exam. Isn't  
25 that a sufficient credential?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 DR. CERQUEIRA: We will come back to that  
2 later on, because in order -- you know, what are the  
3 eligibility criteria for the board, and are we going  
4 to require some sort of a preceptor statement as to  
5 mastery of the material.

6 MS. MCBURNEY: Most board certifications  
7 do require some sort of reference or supervisor  
8 reference, or something like that.

9 CHAIRMAN VETTER: They do, but I think the  
10 point for us to wrestle with would be whether or not  
11 we want someone to testify that in fact the person was  
12 around going through some training, or did they simply  
13 read a book.

14 It is a matter of being in contact with  
15 the material, and with the environment, because that  
16 would be the issue. Do we think that the regulations  
17 should require that, or --

18 DR. DIAMOND: I see that kind of like a  
19 letter of reference almost that that person was around  
20 performing that supervised experience, because again  
21 at this point they are not in a formal degree program,  
22 let's say, if they are going through  
23 (b)(2).

24 You need someone to sign off that this  
25 person was there and they did fulfill these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 responsibilities.

2 CHAIRMAN VETTER: Right. Okay. Well, let  
3 me present sort of a principle by which we may be able  
4 to decide what to do, but I think the principle is, is  
5 that the boards as currently configured that are  
6 nominally accepted as valid credentials for these  
7 roles are doing a good job, and that there is no  
8 threat to public safety by virtue of these boards not  
9 working well.

10 So therefore we should not or we are not  
11 in the business of imposing criteria that forces them  
12 to make certain changes. I mean, the NRC should only  
13 do that if they believe there is a threat to public  
14 safety from the existing credentialing system.

15 So I think that the consequence of this  
16 principle, if we accept it, is that we want to very  
17 carefully -- that we want to recommend to the staff  
18 that they very carefully tailor the wording of this  
19 preceptor statement so that inadvertently well-  
20 functioning boards that do a good job of identifying  
21 competent practitioners aren't inadvertently excluded  
22 from the process.

23 So maybe we can sort of leave it to the  
24 staff to worksmith this according to the ball of the  
25 principle that I just articulated.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. WILLIAMSON: Right. I agree with that.  
2 But if the consensus to leave that paragraph 3 in  
3 there or delete it?

4 DR. DIAMOND: I would suggest leaving it  
5 in.

6 CHAIRMAN VETTER: Okay.

7 DR. DIAMOND: I think it serves a useful  
8 function.

9 CHAIRMAN VETTER: Okay.

10 DR. CERQUEIRA: Getting back to Jeff's  
11 point then, so if we take it out of the board  
12 requirements do we want to leave it in for the  
13 alternative pathways? So that if somebody is meeting  
14 this by training and experience, that sort of  
15 preceptor statement, which doesn't require board  
16 certification, would put a little bit more pressure on  
17 the person certifying them, and not only that they  
18 have sat through the program, but they have been in  
19 the environment and have some master.

20 DR. WILLIAMSON: I think that is  
21 reasonable, since they are not taking an examination,  
22 and this is not a formal or structured certification  
23 mechanism, that there be more teeth in the board free,  
24 or boardless alternative pathway requirements.

25 But I think that we have to recognize that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the board requirements and the alternative pathway  
2 requirements can be different.

3 So a more or a tougher preceptor statement  
4 would probably be warranted in that.

5 DR. DIAMOND: I would concur with that.  
6 For example, in 690, we tried to use language such  
7 that the alternative pathway was a little more  
8 prescriptive, and a little more enumerative if you  
9 will, of these details.

10 CHAIRMAN VETTER: Okay. So we are  
11 recommending that to the alternative pathway we add  
12 some sort of written certification or preceptor  
13 statement, something of that sort. All right. Moving  
14 on, and we need to move through these reasonably  
15 quickly. We can't spend all day on this particular  
16 section.

17 And let's do or focus a moment on  
18 paragraph (e), because this would be something similar  
19 throughout. Simply saying that whoever this  
20 individual is who the license wants to appoint as  
21 radiation safety officer needs to have experience.

22 We don't specify or we don't get into  
23 detail what that is, and I guess I don't think we  
24 should. That should be left to guidance. But we  
25 specify that the individual must have training and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 experience in the materials that are being used by the  
2 licensee, and if they don't have it, there is a  
3 pathway to get it.

4 For instance, you get a new modality. If  
5 a licensee gets gamma knife and has not had one  
6 before, then the radiation safety officer can get  
7 training in the emergency preparedness, et cetera,  
8 from the authorized medical physicist, or another RSO  
9 who is authorized to use that material. Jeff.

10 DR. WILLIAMSON: Yeah, I think we should  
11 recognize that the level and intensity of training for  
12 an RSO is different than what would be required for an  
13 authorized, an authorized medical physicist.

14 There is on presumption that the RSO is a  
15 hands-on person and has to operate the device and  
16 treat patients. They are kind of a level up in the  
17 management structure, and so that is one point. I  
18 think the second point is that to my knowledge there  
19 really are not formal mechanisms or training programs.

20 I don't believe other than supplying  
21 installation guides and licensing guides for these  
22 devices that the vendors really don't provide a  
23 mechanism that gives the appropriate introduction.

24 And so I think we should be on the record  
25 as stating that in defense of the vagueness or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 looseness of these requirements.

2 DR. CERQUEIRA: I guess in terms of (b) as  
3 well, we are saying that it is supervised by an  
4 authorized medical physicist or radiation safety  
5 office. And in the case of diagnostics, could that  
6 supervision be by an authorized user physician?

7 DR. WILLIAMSON: I think that is a good  
8 point.

9 CHAIRMAN VETTER: Sure. I think so. So  
10 we will add, "or authorized user" in there.

11 DR. WILLIAMSON: Probably as appropriate  
12 maybe should be also put in there.

13 DR. CERQUEIRA: Yes, to make certain that  
14 if you are an authorized user for diagnostics, then  
15 you are not going to train somebody in therapeutics.

16 DR. WILLIAMSON: That's right.

17 CHAIRMAN VETTER: So AU/AMP, or radiation  
18 safety officer, as appropriate.

19 CHAIRMAN VETTER: Good point. Other  
20 discuss on paragraph (e)? Okay. So we will add  
21 authorized user as appropriate. All right. Let's  
22 move on to training for authorized medical physicist.

23 And once again, trying to carry the same  
24 theme through the entire recommendations, first would  
25 be a listing of the boards. Jeff, do you want to --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and again we don't want to nitpick on words that carry  
2 the basic concept through.

3 So on the listing of the boards that would  
4 be maintained by the NRC, this would be limited to  
5 boards that certify medical physicists specifically.

6 DR. WILLIAMSON: That's right. So, we  
7 would define the general phrase, "radiation oncology  
8 physics," which refers to the core material covered by  
9 paragraph (a), those boards.

10 CHAIRMAN VETTER: Right. Do you want to  
11 explain why (b) is different? Why is--

12 DR. WILLIAMSON: You mean why is (b) a  
13 separate paragraph?

14 CHAIRMAN VETTER: Yes.

15 DR. WILLIAMSON: It could be changed, but  
16 it is because the American Board of Radiology has  
17 historically had a number of credentials, and some of  
18 them very broad. So, for example, radiological  
19 physics actually includes examinations in nuclear  
20 medicine, radiation oncology, and diagnostic x-ray  
21 imaging.

22 So it was just time saving. You know,  
23 there were four board certifications maintained by the  
24 ABR, and so I made an ABR section, and then an ABMP.  
25 But we could change it and have paragraph (a).

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN VETTER: I don't think we need to  
2 worry about level of detail.

3 DR. WILLIAMSON: It is detail, and I don't  
4 think it is important.

5 CHAIRMAN VETTER: I agree.

6 DR. WILLIAMSON: But we could collapse (a)  
7 and (b) into one paragraph if that were desired. No  
8 problem.

9 CHAIRMAN VETTER: Well, they probably  
10 won't be in the regulations anyway. They will be  
11 maintained on a separate list. And so just that it is  
12 clear what the intent is. So the intent is the  
13 American Board of Radiology and those four areas, the  
14 American Board of Medical Physics, and Radiation  
15 Oncology Physics.

16 DR. WILLIAMSON: That's correct.

17 MS. MCBURNEY: And just again, and pardon  
18 my ignorance, but are we then saying that if the  
19 physician is certified by the ABR that they could then  
20 quality as an authorized medical physicist?

21 DR. WILLIAMSON: No.

22 MS. MCBURNEY: So there is a separate ABR  
23 examination for a physicist?

24 DR. WILLIAMSON: That's correct

25 MS. MCBURNEY: And is there some way that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 we can separate that out? Otherwise, it could be  
2 somewhat ambiguous.

3 CHAIRMAN VETTER: They are there, those  
4 four areas.

5 MS. MCBURNEY: It says these things.

6 DR. WILLIAMSON: It says specifically  
7 therapeutic radiological physics; roentgen ray and  
8 gamma ray physics.

9 DR. CERQUEIRA: But those are separate  
10 examinations that are given?

11 DR. WILLIAMSON: Yes.

12 DR. CERQUEIRA: They are? Okay.

13 DR. WILLIAMSON: Well, it is very similar  
14 to the old Part 35

15 CHAIRMAN VETTER: Okay. Let's move on to  
16 paragraph (c). These are the general requirements  
17 that we would expect, or our general criteria that we  
18 would expect to recognize a board. Do you want to say  
19 anything about that, Jeff?

20 DR. WILLIAMSON: Yes. I will mention that  
21 there are -- there is a move in radiation oncology to  
22 have formal two year clinical training programs, which  
23 we call radiation oncology physics residences. But  
24 they are not widespread, and I don't think the market  
25 penetration of those training vehicles is great enough

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that they could form the basis of a regulation at this  
2 time.

3 So this was quite a difficult task to  
4 figure out what to do. So I went through and I  
5 compared the ABMP and ABR eligibility requirements and  
6 tried to distill the common subset, which is basically  
7 a graduate degree in a physical science or  
8 engineering, a Masters Degree, and a minimum of two  
9 years of supervised experience.

10 And to make sure that this was experience  
11 in an appropriate facility, I included in here that it  
12 had to occur in a radiation oncology facility that  
13 provides mega-voltage external beam therapy and  
14 brachytherapy.

15 And that I further, to make sure that this  
16 experience doesn't occur in Bermuda, or some place  
17 that does not follow customary -- and I mean no slam  
18 against Bermuda.

19 But some place that does not follow the  
20 standards of practice characteristic of North America,  
21 and that I put that it had to be under the direction  
22 of physicians who meet the requirements of 35.400 or  
23 600, which would have effectively I think limited it  
24 to experience in the U.S.

25 And so how to do this certainly is open to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 debate, and whether Canada should be included, for  
2 example, and Europe. I don't know how exactly. So  
3 there is an issue there that I want to point out, and  
4 that is why I included this paragraph (c)(2)(ii),  
5 because otherwise I felt that some very marginal  
6 experience in something peripherally related to health  
7 care could be substituted, and I didn't want that.

8 And so the intent was to restrict this  
9 training and experience that occurs in a reasonable  
10 full-service radiation oncology department.

11 DR. DIAMOND: So, Jeff, right now the  
12 specialty boards that are granting this radiation  
13 oncology physics certification, is it just ABR, or  
14 ABMP, or --

15 DR. WILLIAMSON: Well, ABR and ABMP both  
16 have diplomates that are in the field. Recently there  
17 has been a negotiation between ABR and ABMP, and ABMP  
18 is going to not in the future certify radiation  
19 oncology physicists in competition with the AABR.

20 DR. DIAMOND: And you did not want to  
21 enumerate ABR or ABMP in this paragraph because it may  
22 be evolving to include other certified positions?

23 DR. WILLIAMSON: Well, the whole purpose  
24 of paragraph (c) is to allow for other certification  
25 mechanisms that might arise in the future. You know,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we had made the decision, or it was suggested to us  
2 that one way or another we had to include broad  
3 criteria that defined what were acceptable boards in  
4 the different areas.

5 And to do that by enumerating physics  
6 boards would be a circular definition. So you can't  
7 define what is an acceptable radiation oncology  
8 physics board by saying it is one of these boards.  
9 You have to have an independent list of criteria. So  
10 I made an independent list.

11 It doesn't mention physics certification  
12 or any specific certification mechanism. It  
13 indirectly by 35.400 and 600 reference refers to the  
14 certification of the authorized users presumably, but  
15 they could be alternative pathway physicians, too.

16 Then finally pass as an examination  
17 administered by diplomats of the board in questions  
18 that assesses the following broad list of functions  
19 and skills.

20 MS. MCBURNEY: The term megavoltage,  
21 external being therapy, would that include materials?

22 DR. WILLIAMSON: It would include  
23 materials, but it would include linacs, and I think  
24 that is important because there are actually very few  
25 cobalt 60 teletherapy units operating in the country,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and it would be completely unrealistic to expect that  
2 physicists, authorized medical physicists for taking  
3 care of Cobalt 60 teletherapy would have Cobalt 60 in  
4 their training experience, and this is one of the  
5 central efficiencies of the old set of requirements  
6 that I think we were asked to address.

7 CHAIRMAN VETTER: All right. And then the  
8 alternate pathway is pretty much as it was before, and  
9 you do have the written certification from the  
10 supervising medical physicist.

11 DR. WILLIAMSON: Yes, and I put here  
12 satisfactorily completed.

13 CHAIRMAN VETTER: Right.

14 DR. WILLIAMSON: And I assume that means  
15 more than just sleeping or sitting there.

16 CHAIRMAN VETTER: Right.

17 DR. WILLIAMSON: And again we could debate  
18 exactly how that --

19 MS. MCBURNEY: Usually there is an exam  
20 involved in that training.

21 DR. WILLIAMSON: -- should be. But this  
22 is the alternate pathway, and so there is not  
23 necessarily an exam. Remember that there is no --

24 MS. MCBURNEY: Right, it is not board  
25 certification, but a lot of times with training there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is some sort --

2 DR. WILLIAMSON: Only in a formal  
3 structured program, and again we talk about requiring  
4 a physics residency here, but I really do think that  
5 would be contrary to the intent of either the old or  
6 current set of regulations.

7 CHAIRMAN VETTER: Any other comments on  
8 the alternative pathway? Okay. Then paragraph (e) is  
9 the modality specific training. Any comments there,  
10 Jeff?

11 DR. WILLIAMSON: Just to say that the  
12 basis was to put the burden of defining the content of  
13 this curriculum really on the vendor, and use the sort  
14 of training that the vendor typically supplies to a  
15 new purchaser of a unit. This will of course vary  
16 with the type of unit.

17 For HDR, it may be on the order of several  
18 days, and for stereotactic it is a week usually at a  
19 facility treating patients, or for Cobalt 60, it might  
20 be an hour.

21 MS. MCBURNEY: I would suggest removing  
22 the phrase, "that is equivalent to instruction  
23 provided by the vendor to new customers," because I  
24 think it is covered in the next sentence. Whereas, if  
25 you just say in addition to meeting the requirements

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of (a), (b), (c), or (d), an authorized medical  
2 physicist must have training in the modality for which  
3 authorization is sought, that includes device  
4 operation, safety procedures, clinical use, and  
5 operational treatment planning system.

6 And then I think the next sentence that  
7 this may be satisfied by a training program provided,  
8 et cetera.

9 CHAIRMAN VETTER: I agree. I think that  
10 is a good point. In the first sentence, we don't want  
11 to limit it to some level of vendor provides. We  
12 might want to exceed that.

13 DR. WILLIAMSON: All right. So just  
14 strike, "that is equivalent to instruction provided by  
15 the vendor to new customers."

16 MS. MCBURNEY: Right.

17 CHAIRMAN VETTER: And then the second  
18 sentence allows that pathway for other training  
19 through the vendor. Other questions on (e)? Yes,  
20 John.

21 MR. HICKEY: I wanted to go back to (c)  
22 when we are done with (e).

23 CHAIRMAN VETTER: Okay. Any other  
24 questions on (e)? All right.

25 MR. HICKEY: I wasn't clear. Paragraph

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (c) would not have a written certification, but  
2 paragraph (d) would?

3 DR. WILLIAMSON: Well, as I think we have  
4 made -- we have decided by consensus that some kind of  
5 a letter addressing the performance of the candidate  
6 for the board examination is required.

7 MR. HICKEY: Okay. Because it seems to me  
8 when someone presents their credentials that they  
9 provide some testament that they actually have  
10 completed those credentials.

11 DR. WILLIAMSON: That's correct, and I  
12 think that both physics boards that I have experience  
13 with would have no difficulty meeting or in fact do  
14 require letters of reference to attest to their  
15 satisfactory completion of this experience.

16 So we could put it in there. At the time  
17 that I did this, I didn't think it was necessary  
18 because the examination seemed to be a substitute for  
19 assessing confidence.

20 CHAIRMAN VETTER: So we will put something  
21 in. Go ahead.

22 DR. CERQUEIRA: The default statement that  
23 seems to be coming out that we have both for the 290,  
24 as well as for the medical physicist, is that the  
25 individual has satisfactorily completed the training

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 and experience described above.

2 So do we feel that is the way that we want  
3 to go, rather than saying it is competent or is  
4 mastered?

5 DR. DIAMOND: Yes.

6 DR. CERQUEIRA: So we basically would make  
7 it uniform for all RSOs, medical physicists, and  
8 authorized users?

9 DR. DIAMOND: I think that is good  
10 verbiage to use.

11 CHAIRMAN VETTER: Okay. Just looking to  
12 know that or for some one to testify that in fact a  
13 person really was here, and really did train.

14 DR. WILLIAMSON: And did an acceptable and  
15 satisfactory job, and wasn't incompetent, I think.  
16 You know, satisfactorily completed, it seems to be a  
17 broad enough statement, I hope. Maybe in the public  
18 comments the representatives of the different board  
19 organizations can address this, but if we go back to  
20 the principle I enunciated we want, whatever the  
21 verbiage is.

22 It has to be common enough that all of the  
23 boards that are currently accepted as credentialing  
24 those functions would be able to satisfy that  
25 requirement.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           CHAIRMAN VETTER: Well, if we use as an  
2           example the current preceptor statement, or I'm sorry,  
3           the old -- yeah, the current preceptor statement that  
4           is required by the NRC, it simply lists the hours of  
5           training and the number of generators alluded, and  
6           that sort of thing, and it is signed by the preceptor.

7           The preceptor doesn't have to testify  
8           whether the person did a good job, a bad job, an  
9           indifferent job, but completed those requirements.

10          DR. CERQUEIRA: I think what this does,  
11          and again when we started this process we wanted to  
12          take the NRC out of the practice of medicine, or  
13          responsibility upon the boards, or the physician, or  
14          medical physicist.

15          And I guess this will do it. Basically,  
16          the NRC will accept either the boards or a statement  
17          from an authorized user, but it really makes it  
18          incumbent upon the boards to make certain that the  
19          people have had some mastery or competence of the  
20          material.

21          CHAIRMAN VETTER: So satisfactorily  
22          completed. Those are the words that we are looking  
23          for? Does that sound okay?

24          DR. CERQUEIRA: Yes. But I guess the  
25          public comments will be important later on.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN VETTER: Right.

2 DR. CERQUEIRA: And to see what the boards  
3 can tell us.

4 CHAIRMAN VETTER: Okay. John, did that  
5 answer your question?

6 MR. HICKEY: Yes, thank you.

7 CHAIRMAN VETTER: Okay. Jeff, any -- I  
8 guess that takes care of your section, right?

9 DR. WILLIAMSON: Yes.

10 CHAIRMAN VETTER: Moving on to 35.190,  
11 training for uptake, dilution, and excretion studies.  
12 Ruth.

13 MS. MCBURNEY: Okay. The first section  
14 there is just to put back in the boards that had  
15 previously been accepted for uptake, dilution, and  
16 excretion studies.

17 These would be the board certification  
18 requirements for acceptance of a board. The question  
19 here arises for consistency do we want to add  
20 requirements for some sort of residency, or have that  
21 as an optional pathway for acceptance of the board  
22 certification process.

23 Otherwise, it would just be a board  
24 certification whose process includes the requirement  
25 for (b)(1), and success completion of the exams, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 has been recognized by the commission. So that is  
2 basically just a minimum of 60 hours training  
3 experience.

4 And certification by an authorized user  
5 that the person has successfully completed those  
6 requirements.

7 CHAIRMAN VETTER: So the question that you  
8 were asking under paragraph (b) was whether we thought  
9 a residency should be completed?

10 MS. MCBURNEY: Option.

11 CHAIRMAN VETTER: Oh, an option.

12 MS. MCBURNEY: Or an option for uptake and  
13 dilutions, since these are low risk.

14 CHAIRMAN VETTER: So they have completed  
15 a residency and approved by the American --

16 MS. MCBURNEY: Nuclear Medicine.

17 CHAIRMAN VETTER: Right.

18 DR. CERQUEIRA: But I guess that would  
19 sort of look at people who have completed a residency,  
20 but are not necessarily board certified, but wouldn't  
21 they meet the requirements under (d)?

22 MS. MCBURNEY: Oh, yeah. The question is  
23 of course that the residency should include those 60  
24 hours and a minimum of that, but whether we want to  
25 put into rule space an option would be that one has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 completed something similar to what is in --

2 DR. WILLIAMSON: If you look at subpart  
3 (j) 35.190, it has three options. You can be  
4 certified in one of the listed boards, or (b), have  
5 the classroom and training experience, et cetera, as  
6 listed here, or (c), have successfully completed a six  
7 month training program in nuclear medicine as part of  
8 a training program that has been approved by, et  
9 cetera, et cetera.

10 It seems to me that we should probably  
11 follow the old regulation.

12 DR. CERQUEIRA: But there are no six month  
13 training programs in nuclear medicine. I mean, that  
14 has been pointed out quite often.

15 MS. MCBURNEY: Right. That is an issue.

16 CHAIRMAN VETTER: But as I interpret the  
17 question, do we think it is appropriate for a new  
18 medical specialty board to come along to certify  
19 candidates for 190, and the only requirements for the  
20 board are that you have 60 hours of training  
21 experience?

22 MS. MCBURNEY: I don't know that any  
23 specialty board is going to come along to do that.

24 CHAIRMAN VETTER: And we don't know what  
25 anyone might do, might or might not do. So I guess

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the question is do we feel that would be appropriate  
2 if that in fact -- that they are meeting the minimum  
3 requirements for the alternate pathway.

4 MS. MCBURNEY: It will become more  
5 important when we get to 290.

6 CHAIRMAN VETTER: Right. But the way it  
7 reads now, a board could come along to offer a  
8 specialty specification. Even ABR could offer a  
9 specialty certification in 190. Of course, ABR  
10 requires more than that.

11 But let's say a new board would come along  
12 and only require 60 hours of training experience to  
13 qualify for the board.

14 DR. WILLIAMSON: Well, this individual  
15 would have a medical degree, and has to have completed  
16 an internship just to have basic licensure, right?

17 CHAIRMAN VETTER: Right.

18 DR. WILLIAMSON: Basic licensure as a  
19 practicing physician, and so is this uptake and  
20 dilution considered sufficiently low risk that the  
21 NRC does not have to require them to have a residency  
22 in something? I guess that is the issue.

23 CHAIRMAN VETTER: Right. I am not arguing  
24 that one way or another. I just wanted us to feel  
25 comfortable with what this says. This says a board

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       could do that.

2                   DR. WILLIAMSON: I think Dr. Cerqueira is  
3       the closest to a nuclear medicine practitioner. What  
4       do you think?

5                   DR. CERQUEIRA: I would feel uncomfortable  
6       having somebody with a one year internship as is only  
7       medical training, be able to use this, even if they  
8       met the hourly requirements. I just don't know how --

9                   MS. MCBURNEY: Well, I guess the medical  
10      specialty board whose certification process requires  
11      the successful completion of a residency program in  
12      nuclear medicine, approved by --

13                  CHAIRMAN VETTER: Well, again, we need to  
14      focus on the safety aspects, and not --

15                  DR. WILLIAMSON: Right.

16                  MS. MCBURNEY: And the board certification  
17      process and not the alternate pathway.

18                  DR. WILLIAMSON: Well, let me be a  
19      contrary in here for a minute. I think that when back  
20      when, in the last six years, the ACMUI and the NRC  
21      made a determination that nuclear medicine type  
22      imaging applications, and those areas using relatively  
23      small doses of radioactivity, were considered  
24      sufficiently low risk that all the NRC had to concern  
25      itself with was the technical and safety training of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the individual, and not the clinical competency,  
2 whether they will competently execute these dilution  
3 and uptake procedures, and so on.

4 And so it seems to me that our scope is to  
5 fix problems, and not to overturn major -- how should  
6 I say -- principles that were decided on long ago as  
7 being the basis of these regulations. So it would  
8 seem to me that since neither the old regulation that  
9 the NEU Part 35 has superseded, nor the NEU Part 35,  
10 requires a residency in something.

11 And that we should look very carefully at  
12 this, and ask the NRC to produce a list of what kinds  
13 of specialists have availed themselves of 35-190, and  
14 make sure that we are not unnecessarily  
15 disenfranchising some segment of the practicing  
16 community, unless there really is a public health  
17 issue at stake.

18 MS. MCBURNEY: I think like a lot of  
19 endocrinologists and so forth, and clinical  
20 pathologists, go through the alternate pathway  
21 usually.

22 DR. CERQUEIRA: I think we also decided  
23 that we would leave a lot of this up to credentialing  
24 bodies at hospitals at the State level.

25 DR. DIAMOND: Exactly.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 DR. WILLIAMSON: That's right.

2 DR. DIAMOND: I was just going to make the  
3 point in response to what Manny said that in a  
4 circumstance where you have some disillusioned  
5 individual that just finished an internship in  
6 pediatrics and wants to go and start doing these  
7 studies that there is no way that any credentialing  
8 subcommittee in a hospital is ever going to grant  
9 privileges to do this.

10 CHAIRMAN VETTER: So I guess we are okay  
11 with the way that it is.

12 MS. MCBURNEY: Okay. So that covers the  
13 certification, and certainly if they are an authorized  
14 user under 290 or 390, they can do the 190 stuff.  
15 Once again, (d) with alternate pathway, requires some  
16 sort of written certification that the individual has  
17 satisfactorily completed the requirement. And then to  
18 290.

19 DR. CERQUEIRA: So I guess we are all  
20 comfortable with the concept that if a cardiologist  
21 meets the 290 that he is not going to be treating  
22 patients for thyroid disease, but that is going to be  
23 sort of regulated by the medical community.

24 CHAIRMAN VETTER: Right.

25 DR. BRINKER: But this isn't treatment?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. MCBURNEY: Right.

2 CHAIRMAN VETTER: No.

3 MS. MCBURNEY: Going on to 290 then. One  
4 again in (a) was the certifications that had been  
5 accepted in the old rule, and then (b), certified by  
6 a medical specialty board. The certification process  
7 includes the minimum training experience that is in  
8 alternate pathway.

9 The question becomes here do I add an  
10 option for a residency program in nuclear medicine.  
11 Of course, the residency program would include all the  
12 training experience requirements in (b) probably if it  
13 was --

14 DR. WILLIAMSON: Wait. I'm not sure I  
15 understand your question.

16 MS. MCBURNEY: Okay. In 690, Dr. Diamond  
17 has included a residency program as a requirement.

18 DR. WILLIAMSON: That's right.

19 MS. MCBURNEY: The question is do we want  
20 to add a residency program in nuclear medicine as an  
21 optional --

22 DR. WILLIAMSON: As a criteria --

23 MS. MCBURNEY: For criteria for a board  
24 certification process acceptance.

25 DR. WILLIAMSON: I would make the same

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 argument that I did for 190, that we went through this  
2 ad infinitum for two years, and decided that the break  
3 point was 200 versus 300 and above, and for 200 and  
4 100, we were not going to require a demonstration of  
5 clinical competence, and that the requirements should  
6 focus more on safety, and technical competence, and  
7 handing, et cetera.

8 And I am afraid that if we open that up  
9 again that it will cause a big controversy, because  
10 that took a lot of effort, and compromise, and  
11 negotiation, to sort out.

12 So it seems to me that we should apply the  
13 principle that if it is not broken, let's not fix it.

14 CHAIRMAN VETTER: And so we are okay with  
15 the way it is worded now. Anyone disagree with that  
16 and the way that it is worded now?

17 DR. WILLIAMSON: I think we do need to  
18 make sure that we have identified all the things that  
19 are broken, and make sure that these changes do fix  
20 it. And it is obvious from the comments that some of  
21 these things are very controversial with the  
22 community.

23 MS. MCBURNEY: And then going along with  
24 that, and this sort of went back and forth, but the  
25 nuclear cardiology certification in nuclear cardiology

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 does include all of those requirements.

2 Now, the fact that they are limited by  
3 their scope of practice -- and not under a license,  
4 but under what they are doing in practice would be  
5 just nuclear cardiology.

6 DR. CERQUEIRA: The practice of medicine  
7 would probably propose the appropriate restrictions on  
8 it.

9 MS. MCBURNEY: And then you would be going  
10 after in-bone scans and that sort of thing.

11 DR. CERQUEIRA: Right.

12 DR. WILLIAMSON: Yeah, I agree with that.

13 MS. MCBURNEY: Since we are focusing just  
14 on the radiation safety issue and handling techniques.

15 CHAIRMAN VETTER: Okay. Continuing on, is  
16 there anything else?

17 MS. MCBURNEY: Let's see. (d)(1) with  
18 parallel structure, and having the certification by  
19 the preceptor that they meet the requirements in  
20 (d)(1).

21 CHAIRMAN VETTER: Right.

22 DR. WILLIAMSON: Now, are we -- do we know  
23 whether all these certification boards in fact do meet  
24 the proposed requirements in (d)(1), or have we fixed  
25 the problem for --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. MCBURNEY: Oh, of the current board?

2 DR. WILLIAMSON: Yeah, of the current  
3 boards. For example, diagnostic radiology by the  
4 American Board of Radiology. Would their eligibility  
5 requirements include the requirements in (e)(1)?

6 MS. MCBURNEY: Has the NRC --

7 DR. WILLIAMSON: John, could you maybe  
8 fill us in on that?

9 MS. MCBURNEY: On what requirements each  
10 of these boards has?

11 MR. HICKEY: Yeah, and I think don't I can  
12 do that off the top of my head. The only one I recall  
13 is the Board of Nuclear Medicine meets the  
14 requirements, except that there is a possible question  
15 about the preceptor statement.

16 But I might be able to check during the  
17 break to see what the other ones are and where we are  
18 on those.

19 MS. MCBURNEY: Okay.

20 MR. HICKEY: I would also ask again on  
21 paragraph (b) for both 190 and 290, is there going to  
22 be a requirement for some sort of a certification that  
23 the training was completed?

24 MS. MCBURNEY: Yes. Oh, I see what you  
25 mean, because (d)(1) --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. CERQUEIRA: You mean a preceptor's  
2 statement?

3 DR. WILLIAMSON: Well, it depends on how  
4 you define a preceptor statement, but it was what  
5 before we were calling a preceptor statement.

6 MS. MCBURNEY: Rather than (d)(2).

7 DR. WILLIAMSON: So why don't you just  
8 paragraph (d), and delete or cross out the (1).

9 MS. MCBURNEY: Both 190 and 290 and cross  
10 out the one?

11 DR. WILLIAMSON: Yeah.

12 CHAIRMAN VETTER: In that regard, I would  
13 like to -- I don't want to get into a long detailed  
14 discussion of this, but relative to the option of a  
15 residency, why don't we allow the boards to require  
16 either a residency or (d)?

17 MS. MCBURNEY: That way if there is some  
18 question on the number of hours, and if it is a  
19 residency --

20 CHAIRMAN VETTER: So the American Board of  
21 Radiology would not have to determine that in fact the  
22 person had 700 hours of training, but that they had in  
23 fact completed the residency?

24 MS. MCBURNEY: A two year residency  
25 program.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. WILLIAMSON: Do we know that this is  
2 a problem that we have to fix? I thought 700 hours  
3 was selected because it is the number of hours that a  
4 radiology resident typically spends in nuclear  
5 medicine. I am not a specialist --

6 DR. CERQUEIRA: Yeah, I think that is how  
7 it was decided. There was a lot of discussion about  
8 whether to put in specific hourly requirements for the  
9 classroom, and didactic it, and come up with like 80  
10 hours at one point.

11 But then I think the Nuclear Medicine  
12 Society basically felt that it should just be 700  
13 hours in the environment. And I think that is what  
14 the radiologists are required to do, 6 months, 4 to 6  
15 months.

16 MS. MCBURNEY: Maybe we could get into  
17 from the board's comment period.

18 CHAIRMAN VETTER: We will ask that during  
19 the comment period.

20 DR. WILLIAMSON: Again, I think we should  
21 be careful and not change it.

22 MS. MCBURNEY: But even if it is an option

23 CHAIRMAN VETTER: What I am trying to do  
24 is to add some flexibility to the process for the  
25 boards.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. MCBURNEY: Right.

2 DR. WILLIAMSON: Well, you want to make  
3 sure that somebody doesn't substitute a pathology  
4 residency or something.

5 MS. MCBURNEY: No, it would be a residency  
6 in nuclear medicine or in radiology.

7 CHAIRMAN VETTER: And approved by ACGME.  
8 We will ask that question later as to what would be --  
9 whether or not that would be problematic. Okay. So  
10 mainly the only changes under (b)(1), to include the  
11 requirements of the entire paragraph (d).

12 MS. MCBURNEY: Yes, and the same with back  
13 on 190, the same way.

14 MR. HICKEY: Dr. Vetter, on that change,  
15 I just want to point out that that paragraph calls for  
16 the certifier to be an authorized user. So you just  
17 need to make sure that is your intent.

18 CHAIRMAN VETTER: Good point. I think  
19 that is their intent isn't it?

20 MS. MCBURNEY: I believe so.

21 DR. WILLIAMSON: Do we want it to be an  
22 authorized user, or someone who meets the requirements  
23 for an authorized user?

24 CHAIRMAN VETTER: Why wouldn't it be an  
25 authorized user?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 DR. CERQUEIRA: Right. I think --

2 MS. MCBURNEY: To provide the training?

3 DR. CERQUEIRA: I think we all felt that  
4 being an authorized user was essential. Otherwise,  
5 there is no way of identifying that that person has  
6 the hourly requirements to sign off.

7 CHAIRMAN VETTER: Everybody okay with  
8 that? Then let's move ahead to 35.690, training for  
9 use of remote after-loader units, teletherapy units,  
10 and gamma stereotactic radiosurgery units. Dr.  
11 Diamond.

12 DR. DIAMOND: Okay. Yes. So, again the  
13 general framework of this is authorized user status  
14 granted through a board pathway, which is paragraph  
15 (a), and board alternate pathway, paragraph (b). The  
16 currently approved boards are listed in paragraph (c).

17 And then a specific delineation for  
18 modality specific training in Part (d). Problems or  
19 changes in paragraph (a) would be the fact that  
20 currently certification requires the successful  
21 completion of a three year residency programming  
22 radiation oncology approved by the residency review  
23 committee on the ACGME.

24 It was pointed out to me that all of the  
25 American Radiation Oncology Residency Programs have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 now moved to four years. However, if you change that  
2 verbiage from a 3 to 4 years, that may not be  
3 consistent with some of the foreign boards that are  
4 currently recognized; Canada, the World College, and  
5 Great Britain.

6 So my suggestion would be to leave it at  
7 3 years to prevent that problem.

8 MS. MCBURNEY: At a minimum.

9 CHAIRMAN VETTER: Add the word minimum?  
10 A minimum of?

11 DR. DIAMOND: A minimum, that's fine.  
12 Continuing on that same paragraph (a)(1), is this is  
13 the only section that we have discussed thus far in  
14 which we do not delineate that the residency program  
15 must satisfy the requirements enumerated in paragraph  
16 (b)(1), and in the final draft, which we are looking  
17 at today, several members of my stakeholder community  
18 said that it became onerous on the residency programs  
19 to keep track of the number of hours of classroom time  
20 and laboratory training, and suggested that that  
21 specific reference be deleted.

22 I don't have a specific problem in  
23 removing that language, except that it makes this  
24 inconsistent with the other sections that we have just  
25 discussed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. WILLIAMSON: I am confused. I don't  
2 think so. It is not with medical physics.

3 DR. DIAMOND: If you take a look --

4 CHAIRMAN VETTER: It is consistent with  
5 the diagnostic.

6 DR. DIAMOND: Correct.

7 CHAIRMAN VETTER: But not with the RSO or  
8 authorized medical physics.

9 DR. DIAMOND: That's correct.

10 DR. CERQUEIRA: I think it's fine.

11 DR. DIAMOND: Okay. I am just pointing  
12 out key differences. We included the examination of  
13 paragraph (a)(2), and the alternate pathway is  
14 essentially unchanged from the current regulation.

15 Going on to paragraph (b)(2), that is  
16 unchanged. And paragraph (b)(3) is the preceptor  
17 statement, which has the parallel verbiage of having  
18 written certification that the individual has,  
19 "satisfactorily completed."

20 So that is parallel to what we discussed  
21 a few moments ago, and the caveats there is that the  
22 written certification must be signed by a preceptor  
23 who meets or who has experience in that particular  
24 modality.

25 In other words, you need to have that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 preceptor statement signed by someone who knows what  
2 they are doing in that particular area.

3 CHAIRMAN VETTER: Right.

4 DR. DIAMOND: It would be ridiculous to  
5 have a preceptor statement signed that this person has  
6 satisfactorily completed training in the use of  
7 gammaknife when that person who is offering that  
8 statement has never seen a gammaknife unit.

9 So that is why that is written in that  
10 fashion. Paragraph (c) represents to the best of my  
11 knowledge the board's currently recognized by the  
12 commission, and we would probably want to modify that  
13 to be specific, and that it is radiation oncology  
14 training within ABR, the American Osteopathic Board of  
15 Radiology, and so forth.

16 In other words, to make it clear that  
17 someone can't just be a diplomate of the ABR in  
18 diagnostics.

19 MS. MCBURNEY: It has to be in whatever it  
20 is.

21 DR. DIAMOND: Right.

22 MS. MCBURNEY: Radiation oncology.

23 DR. DIAMOND: Right. Radiation oncology  
24 training in.

25 DR. WILLIAMSON: Why did you choose to --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you know, all the other statements have up front as  
2 option (a) board certification in X, Y, or Z by so and  
3 so, and you have kind of put it down here in (c).

4 CHAIRMAN VETTER: It won't really matter  
5 because they are not going to be in the regulation.  
6 They are going to be listed separately from the  
7 regulations.

8 DR. WILLIAMSON: Well, we don't know that.  
9 That was something to be discussed wasn't it?

10 CHAIRMAN VETTER: We were going to discuss  
11 that, right. Well, we are not writing the regulation  
12 either.

13 MS. MCBURNEY: Right, and they will do the  
14 parallel work.

15 CHAIRMAN VETTER: If the NRC wants to  
16 maintain them in the regulation, they will place them  
17 in whatever paragraph they wish.

18 DR. DIAMOND: And finally in paragraph  
19 (d), my only suggestion for the modality specific  
20 training paragraph is that the second paragraph, which  
21 states that this includes training in device  
22 operation, common safety procedures, common clinical  
23 use, and so forth, I would just go and end the  
24 sentence there, and delete the phrase, "that is  
25 equivalent to that instruction provided by the vendor

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to new customers."

2 MS. MCBURNEY: Right.

3 DR. DIAMOND: And with the same rationale  
4 that was discussed a few moments ago. So I think that  
5 is a good start for us. I would again remind the  
6 staff that if these principles are accepted, that we  
7 need to go back and make parallel changes to other  
8 sections, including 392, paragraph (c)(3); 394,  
9 paragraph (c)(3); 490, paragraphs (a) and (b)(3); and  
10 491, paragraph (b)(3).

11 And just as far as language regarding  
12 competency and just minor housekeeping changes.

13 CHAIRMAN VETTER: Okay. Ruth.

14 MS. MCBURNEY: I guess parallel language  
15 in 300 as well.

16 DR. WILLIAMSON: Yeah, and in that there  
17 are going to be some more substantive issues.

18 CHAIRMAN VETTER: Okay. Questions for Dr.  
19 Diamond? Good job.

20 DR. DIAMOND: Thank you.

21 CHAIRMAN VETTER: Okay. And then the other  
22 issue that we were simply asked to consider and I  
23 think we all agreed, that we simply want consistency  
24 in all of the sections relative to requirements, or  
25 criteria, that is, that boards would need to meet in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 order to be listed, or whether or not we need to look  
2 at each one of those and go through and develop  
3 criteria is another matter.

4 We were not asked to address nuclear  
5 pharmacist, authorized nuclear pharmacist, for  
6 example. But we would expect that it would simply be  
7 consistent throughout, and the same for the other, the  
8 radiopharmaceutical therapy.

9 We would want consistency in those  
10 sections as well, but we were not asked to address  
11 them specifically. But that takes us through those  
12 sections that we were asked to address. John.

13 MR. HICKEY: Yes. If I could just ask one  
14 question back on 690. Again, on the preceptor  
15 statement, I believe there still are questions that  
16 are parallel to the concerns about the medical  
17 physicist.

18 As written, I believe that the authorized  
19 user -- first of all, the authorized user would sign  
20 the preceptor statement. And second of all, there  
21 would have to be coverage of each type of unit. So in  
22 order for someone to be certified on a gammaknife,  
23 they would have to have training on the gammaknife and  
24 the preceptor would have to be authorized for the  
25 gammaknife.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And all of this would have to be part of  
2 the board process in order for the board to be  
3 recognized, and I think there are some issues there  
4 that parallel the issues that were raised with the  
5 medical physicists.

6           CHAIRMAN VETTER: Right. That's a good  
7 point. We don't mean to constrain the boards to that  
8 point, to that extent. We want to be sure to capture  
9 all of the requirements for training in the paragraph  
10 that addresses training in specific modalities.

11           But we don't mean to constrain the boards  
12 to require that everyone who is going to be certified  
13 have gammaknife experience.

14           DR. WILLIAMSON: I don't think that Dr.  
15 Diamond's write-up does that. He basically gives the  
16 requirements for boards in Section D of 35.690(a).  
17 And I think what needs to be done to make it parallel  
18 to the others is that you have to add a four, and it  
19 includes a preceptor statement testifying to  
20 satisfactory completion of the above-requirements.

21           MS. MCBURNEY: Of the residency.

22           DR. WILLIAMSON: Yes, basically the  
23 residency. But the intent is to -- and the  
24 description of what the examination contents include,  
25 they include radionuclide handling, and stereotactic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 radiosurgery, high and low dose brachytherapy, which  
2 are all topics that the boards do cover.

3 But then the contact with actual units and  
4 actual experience with a given unit is cast on to  
5 Section D, the modality specific training. So in that  
6 sense it is parallel to the medical physicist. And it  
7 is only in the alternative pathway, Section B, where  
8 the preceptor is attesting to specific competence of  
9 the physician in the modality being requested.

10 And that is also similar for the medical  
11 physicist, and seems consistent with our principal  
12 that the non-board certification route alternate  
13 pathway requirements can be a little stiffer and more  
14 focused than the broader requirements of the boards.

15 MS. MCBURNEY: Does the board  
16 certification require that the residency -- that  
17 whoever is in charge of the residency program, send in  
18 a letter?

19 DR. DIAMOND: Yes, your residency program  
20 director has to send in a letter.

21 MS. MCBURNEY: So if we add that as a  
22 requirement under the board certification process, a  
23 written statement of the completion of (a)(1) --

24 DR. DIAMOND: Right, and so we will make  
25 that (a)(4), preceptor statement, which could be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 interpreted to be a residency program director  
2 statement indicating or certifying that the above  
3 requirements have been satisfactorily met.

4 MR. HICKEY: Thank you.

5 DR. CERQUEIRA: And John, I guess the  
6 staff is going to go through the minutes and all of  
7 these changes will be put into the revised version of  
8 this.

9 And I think it is really incumbent upon us  
10 before the main meeting on July 8th that we go through  
11 it and check it, especially all of the ands or ors, as  
12 well as the parallel nature between the various  
13 groups.

14 CHAIRMAN VETTER: Right. I think they are  
15 expecting us to provide a report to you, that this  
16 subcommittee would provide a report to you with those  
17 changes in it.

18 DR. CERQUEIRA: Right.

19 MR. HICKEY: Yes, and we can assist with  
20 the administrative review, in terms of noting  
21 editorial inconsistencies and things like that.

22 DR. CERQUEIRA: Well, we have got like two  
23 weeks.

24 CHAIRMAN VETTER: Right. So it is not a  
25 lot of time. That takes us through the sections that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we were asked to address. Are there any other  
2 additions or questions on these sections? If not,  
3 John, are there any other additions or questions at  
4 this point from you?

5 I know that you have not had a chance, or  
6 you and your staff have not had a chance to discuss  
7 any changes that we have made here. But any questions  
8 at this point?

9 MR. HICKEY: No, I think the discussions  
10 and conclusions this morning hold together very well.  
11 I want to emphasize though that the subcommittee  
12 recommendations should be clear on the list, or on the  
13 issue of the listing of the boards, and the rationale.

14 It is my understanding that the  
15 subcommittee believes that all of the boards should be  
16 reevaluated against criteria, and there should not be  
17 any presumption that any boards that are currently  
18 listed in Part 35 meet the criteria, and that those  
19 have to be reevaluated.

20 And there will be a lot of people who are  
21 not in this meeting that will be asking that question;  
22 is there any presumption that any board that was  
23 listed in the old rule does not have to be reviewed  
24 again.

25 CHAIRMAN VETTER: We are a little ahead of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 schedule, and so let's go ahead and discuss that point  
2 right now.

3 DR. WILLIAMSON: Well, I argued for the  
4 explicit mentioning or listening of the currently  
5 recognized or accepted boards in the revised rule  
6 making that might come out of this. So we had I guess  
7 a tentative consensus that was reasonable, or at least  
8 we would go with that initially.

9 But I would agree that there was also the  
10 presumption that to be so listed that the listed  
11 boards would have to meet the broad criteria for being  
12 an eligible board.

13 But the rationale was that as part of the  
14 package of writing this regulation that it would force  
15 the NRC and the staff to go through and comb the  
16 eligibility criteria of these boards very carefully  
17 and compare them against the proposed criteria. So  
18 that a terrible error wouldn't happen again as it has  
19 happened now with the recently published rule.

20 And secondly that as soon as the rule hits  
21 the streets, then those boards are mentioned, and so  
22 there would be no disruption. So that is the  
23 rationale from my perspective.

24 CHAIRMAN VETTER: Ruth.

25 MS. MCBURNEY: Given that information and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that all of these are going to have to be relooked at  
2 to see if they meet the new criteria, and going back  
3 to 35.50, the way the written certification of the  
4 supervising or RSO that an individual completed for  
5 training and experience, would the American Board of  
6 Health Physics still meet that.

7 CHAIRMAN VETTER: Yes.

8 MS. MCBURNEY: Because it doesn't mention  
9 that it is specific in medical physics.

10 DR. WILLIAMSON: Right. Well, this is  
11 health physics now?

12 MS. MCBURNEY: Yes, in (b).

13 CHAIRMAN VETTER: It says professional  
14 experience, and it does not say professional  
15 experience in medical.

16 MS. MCBURNEY: Right. Because I think  
17 they do require a residency signed by the supervisor.

18 CHAIRMAN VETTER: They do. They require  
19 2 or 3 residences, yes, and one of them signed by the  
20 supervisor. And the American Board of Medical Physics  
21 is somewhat similar to that.

22 MS. MCBURNEY: Yes.

23 DR. DIAMOND: I must happened to note,  
24 Richard, that when I was doing paragraph (c), which  
25 enumerated the boards, included was the American

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Osteopathic Board of Radiology. I am not even sure if  
2 the American Osteopathic Board of Radiology has a  
3 radiation oncology training program in existence. I  
4 don't know, but I am not aware of it offhand.

5 CHAIRMAN VETTER: I think that gets back  
6 to John's point. We would not presume that any board  
7 at this point in time meets these criteria. This  
8 would require the NRC staff to go back out to the  
9 boards, and similar to what they did before, two years  
10 ago, and ask them do you meet these requirements, and  
11 demonstrate that you do.

12 And presumably they would be able to  
13 simply send the literature back to the NRC, the  
14 literature that the candidates received that spell out  
15 what is expected of the candidate, and what the  
16 minimum requirements are.

17 DR. CERQUEIRA: Yeah, I think we do have  
18 a history on this, in the sense that Bob Ayres was  
19 sort of detailed to go through the boards, and there  
20 were some issues that arose more related to the  
21 preceptor statement rather than the content was my  
22 understanding.

23 But we really need to look at that, and if  
24 David brings up the point that the American  
25 Osteopathic Board of Radiology, that if they don't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 provide that training, then they definitely should not  
2 be listed, because it really opens this up.

3 DR. WILLIAMSON: Well, if they don't  
4 provide the training, then nobody will be a diplomate  
5 of their board, and it is kind of a moot point. I  
6 mean, it does no harm. It sort of is unnecessary.

7 But the one concern that I have is that  
8 this process of the American Board of Radiology  
9 applying or trying to get a definitive answer from the  
10 NRC about whether they are going to be recognized or  
11 not has taken two years, and to my knowledge, still  
12 the boards do not have definitive answers and have not  
13 -- and so this is a major reason why I would like to  
14 see the reasonable collection of boards listed up  
15 front in the regulation, because it will stop all this  
16 nonsense, and it will force them in the process of  
17 crafting this regulation to ensure that there is not  
18 a contradiction between those board eligibility  
19 requirements.

20 And to give them an opportunity to fine-  
21 tune these criteria so that everything will work out,  
22 and I am afraid that if they just ignore that issue,  
23 and go ahead with some criteria, some little  
24 conjunction, or disjunction, or some turn of phrase,  
25 will be incorrect.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And then we will find ourselves in the  
2 position that the Office of General Counsel, based on  
3 some legal technicality, disenfranchises some part of  
4 the community for no reason at all.

5           So this way by putting or listing the  
6 board's explicitly, the task of once and for all  
7 definitively figuring out if these criteria fit the  
8 boards will be done before the rule is cast in  
9 concrete.

10           CHAIRMAN VETTER: We will actually arrive  
11 at our answer to that question at the end of the day  
12 after we have heard public comment, but are there any  
13 other comments at this point in time that anybody  
14 would like to make in that regard? John.

15           MR. HICKEY: I just wanted to add that  
16 there are a couple of boards that have told us that  
17 they do not want to request recognition until they  
18 know what the criteria are.

19           CHAIRMAN VETTER: Right. Okay. Good  
20 point. Any other comments or questions at this point  
21 in time? If not, we will take our break 15 minutes  
22 early, and when we come back from the break, we will  
23 hearing public comments.

24           So once again, any members of the public  
25 who wish to make comment, if you have not already

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 registered with the NRC, be sure that you do that.

2 DR. CERQUEIRA: We should check just to be  
3 sure, because we are changing the schedule, and there  
4 may be people that are coming and expecting to start  
5 at a certain time. So by starting early --

6 CHAIRMAN VETTER: That is a very good  
7 point.

8 MR. HICKEY: Let's get the list and read  
9 it off and take attendance here.

10 DR. CERQUEIRA: Just to make sure that  
11 everybody is here. And basically we are going to have  
12 quite a long period, and so if people --

13 CHAIRMAN VETTER: They will still have  
14 time. But let's look anyway. Let's look at the list  
15 and let's see if those people who have registered are  
16 in fact here, and then we will take our break and get  
17 to the public comment when we come back.

18 (Discussion off the record.)

19 CHAIRMAN VETTER: We did not give specific  
20 times for anyone to speak. We simply said they needed  
21 to sign up to speak and they would be given up to 10  
22 minutes. Now we have eight people signed up. So that  
23 would be 80 minutes.

24 So we are hoping that people wouldn't take  
25 a full 10 minutes, but could we just see if these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 people are here. William Van Decker?

2 MR. VAN DECKER: Yes.

3 CHAIRMAN VETTER: William Hendee?

4 MR. HENDEE: Yes.

5 CHAIRMAN VETTER: David Steidley?

6 MR. STEIDLEY: Yes.

7 CHAIRMAN VETTER: Paul Capp?

8 MR. CAPP: Yes.

9 CHAIRMAN VETTER: Richard Fejka?

10 MR. FEJKA: Here.

11 CHAIRMAN VETTER: Gary Sayed?

12 MR. SAYED: Yes.

13 CHAIRMAN VETTER: Bill Uffelman?

14 MR. UFFELMAN: Yes.

15 CHAIRMAN VETTER: Paul Chase?

16 MR. CHASE: Yes.

17 CHAIRMAN VETTER: Okay. They are all  
18 here. So what we will do is come back at a quarter-  
19 to, and have a 15 minute break, and come back and  
20 begin hearing public comment from Dr. William Van  
21 Decker.

22 (Whereupon, the Subcommittee meeting was  
23 recessed at 9:30 a.m., and resumed at 9:45 a.m.)

24 CHAIRMAN VETTER: Okay. Here we are all  
25 back again. Thank you all very much. We are at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 point in the agenda where we are ready to receive  
2 public comments.

3 We now have nine people signed up, and we  
4 had originally said you have up to 10 minutes, and you  
5 still do have up to 10 minutes, but we would urge you  
6 if you can make your points in less time than that to  
7 do so.

8 We would also ask that you leave a minute  
9 or so for the subcommittee to ask you questions, and  
10 if you could do that, please. The first person who  
11 has signed up is Dr. William Van Decker. His  
12 affiliation is with the CBNC. Dr. Van Decker.

13 MR. HICKEY: Let me suggest that the  
14 speakers join us up at the table for more comfortable.

15 CHAIRMAN VETTER: That would be good, yes.

16 DR. VAN DECKER: Good morning. As an  
17 affected stakeholder in this process, I want to thank  
18 both the NRC and the ACMUI subcommittee for allowing  
19 us to be present today. I would just like to touch on  
20 five quick points if I could.

21 Number One, the CBNC would like to thank  
22 the NRC for its written May 21st, 2002 notification  
23 that the Board meets the requirements for being an  
24 authorized user, the board has worked very hard over  
25 the past few years to make sure that this is true, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we appreciate that in writing, and we appreciate the  
2 ACMUI Subcommittee recognizing that in its drafts for  
3 where we are going from here.

4 Secondly, we wanted to note with some  
5 bemusement that the CBNC has always been aboard and  
6 has had strict criteria that a person sitting for  
7 authorized user status before sitting, because it had  
8 not had board status in the old subpart (j).

9 And therefore we want everyone to at least  
10 notice now how exactly and painstaking a process this  
11 can be if that is part of the issue going in. But it  
12 is something that we have done for years, and so it is  
13 not that much of an issue, per se.

14 The third point that I would like to make  
15 is at least a thought provoking point. In regards to  
16 .290, if you look at the current draft, passing a  
17 board actually makes the alternative pathway as a  
18 building block for authorized usership actually moot.

19 Because just passing the board on to  
20 itself will give you the ability to be an authorized  
21 user. Therefore, I think it is important obviously  
22 that all the boards have relatively industry standard  
23 means for sitting the boards.

24 I also want to raise the point to remember  
25 that whatever the boards are now, they may not be 10

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 years from now, and assist them where we try to do  
2 innovative things for patient care.

3 So a board changing its criteria five  
4 years from now, and another one changing its criteria  
5 eight years from now, by the end of 10 years, you may  
6 have multiple boards with multiple boards, with  
7 multiple diversity of how you become an authorized  
8 user.

9 And some consideration needs to be given  
10 to how you address that type of a consideration. The  
11 fourth point that I wanted to touch on I think was  
12 touched on quite heavily this morning, and so I won't  
13 spend too much time on it, but that was the issue of  
14 radiation safety officership.

15 We are a little less bemused by the fact  
16 that the draft specifically lists 11 different boards,  
17 which is a fairly diverse community, and did not list  
18 CBNC.

19 We recognize that most people involved in  
20 nuclear cardiology would have been covered under the  
21 nondescript paragraph (d) for that use. But certainly  
22 an authorized user should be able to be the RSO for a  
23 single modality diagnostic imaging type setup, if that  
24 is what their expertise is in, and if they should so  
25 desire.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And leaving that board out, and just to  
2 point out the political sensitivities of life, and  
3 make somebody feel like a second citizen to somebody  
4 else whose board is listed in some way.

5           And I think that happens in any of the  
6 different categories when you begin to board lists.  
7 And the last thing that you want to do is look like  
8 you are restricting the scope or practice of medicine  
9 in ways that are beyond just radiation safety, and I  
10 think that is something that we all need to keep in  
11 mind as we go about dealing with this type of  
12 situation.

13           And I guess that is the last point that I  
14 want to talk about, is number five. Coming from a  
15 constituency who has always sensed in some way that  
16 subpart (j) was used as an unequal restriction of the  
17 scope of practice among physicians, and this may be a  
18 point to remember when we talk about having alternate  
19 pathways with more teeth and quotes from those people  
20 who are quotes are already in.

21           And we are particularly sensitive to rule  
22 wording, and that really places the NRC in the  
23 position of regulating the practice of medicine.  
24 Certainly we have had a lot of workshops on the  
25 guidance and inspection documents, and talking about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 being more risk informed and performance based, and  
2 how we just go through inspections, and guidance, and  
3 licensing.

4 I think we need to be taking that same  
5 type of thoughtful process to everything else that we  
6 do. The key role here is that the NRC wants safe  
7 authorized users, and not to be involved in the  
8 regulation of medicine.

9 And therefore any wording of any ruling  
10 must allow room for new paradigms, for patient care,  
11 and even new boards that meet industry standards,  
12 remembering where we have come from.

13 And new training and experience for  
14 emerging technologies. That will be thought out in  
15 the future since it is -- and perhaps such as  
16 intervascular brachy, and that the alternative  
17 pathways should not be super restrictive to the  
18 practice of medicine, but should looked at as building  
19 blocks to the other boards.

20 And anything less than that probably begs  
21 for stagnation and antitrust arguments as board shift  
22 criteria as time goes by and everything else, and I  
23 think we should just be trying to do this in an  
24 appropriate manner for everyone involved in the  
25 community. And I think that I will end my comments on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that note, and I thank You very much for the time.

2 CHAIRMAN VETTER: Thank you, Dr. Van  
3 Decker. I appreciate it very much. Does anyone on  
4 the subcommittee have questions or comments?

5 DR. WILLIAMSON: Well, we certainly  
6 apologize for inadvertently leaving out your board,  
7 and I think you can see that we have reversed our  
8 mistake by taking all of the specialty physician  
9 imaging boards out, and that was not the intent.

10 DR. VAN DECKER: I understand that it was  
11 not the intent, but I am just trying to say that we  
12 recognize how difficult this is once you start listing  
13 specific things as to who you include and exclude kind  
14 of thing.

15 DR. WILLIAMSON: Let me ask my question.  
16 The way the proposed. draft statements are worded now,  
17 it says that you can be an authorized user if you are  
18 a diplomate of one of these listed boards, or a  
19 diplomate of a recognized board meeting the following  
20 broad criteria.

21 And then we do have to work on the problem  
22 of how to make sure that the listed boards maintained  
23 their adherence to that criteria. But would you find  
24 the combination of those two statements acceptable  
25 from the scenario or the perspective of your board and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 the struggle that it has had to be recognized?

2 Do you think that this sort of alternate  
3 board pathway is a reasonable framework for  
4 recognizing new boards that come along in a field?

5 DR. VAN DECKER: I think that in all  
6 things the devil is in the details, and so as long as  
7 the review process is reasonable, and that there is a  
8 clear cut building block of what needs to be there and  
9 what doesn't need to be there, and that that building  
10 block is not four times the standard for whatever  
11 anyone else in the rule is, that that is something  
12 that could probably be worked with.

13 CHAIRMAN VETTER: Any other questions?  
14 Manny?

15 DR. CERQUEIRA: You brought up one item  
16 about change in requirements for boards, and I guess  
17 once we started listing boards, we are assuming that  
18 there is a criteria for -- that eligibility criteria  
19 is going to stay the same.

20 And I guess in terms of the committee, do  
21 we have any mechanism in place which would allow us to  
22 look if a board all of a sudden decides that they are  
23 not going to have requirements for certain things?

24 Is there some way that we can take them  
25 off the list and do we need to develop some sort of a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 process for that.

2 CHAIRMAN VETTER: David, did you --

3 DR. DIAMOND: I was just thinking of the  
4 same thing as Dr. Van Decker was speaking. There are  
5 a lot of advantages to enumerating the boards for  
6 clarify sake, and for removal of all of these  
7 nitpicking questions that may occur.

8 But then you have to have a mechanism for  
9 updating them, and for deleting boards should they  
10 for some reason they not adhere to. So if you are  
11 going to do that, it works both ways.

12 DR. WILLIAMSON: Well, I have a  
13 suggestion. Actually, we could put in that paragraph  
14 (a) that it is certified by Board X, by Board Y, Board  
15 Z, et cetera, provided that the diplomates sitting for  
16 these boards adhere to the minimum requirements in  
17 paragraph (b).

18 CHAIRMAN VETTER: Yeah, I don't think that  
19 we have to worry about the words, but the point is  
20 well taken.

21 DR. WILLIAMSON: And that would  
22 automatically nullify, even though they are mentioned  
23 explicitly, that if they somehow change the residency  
24 requirement from 2 to 3 years, it would automatically  
25 disqualify those diplomates.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN VETTER: And the point is well  
2 taken. We don't want words in here that would  
3 restrict the growth of the profession.

4 DR. VAN DECKER: And new paradigms. And  
5 this just jogged my memory. This residency issue is  
6 frequently a matter of clinical competence and time of  
7 patient selections, da da, da da, da da. And I think  
8 that the goal here is to be focused on what is the  
9 radiation safety, and what makes the States and the  
10 NRC comfortable that a physician can appropriately  
11 handle ionizing radiation.

12 And coming from the City of Philadelphia,  
13 I can guarantee that if you want to worry about  
14 clinical competence, there are plenty of lawyers who  
15 will find you. I guarantee.

16 CHAIRMAN VETTER: Okay. Thank you very  
17 much, Dr. Van Decker.

18 DR. VAN DECKER: Thank you very much.

19 CHAIRMAN VETTER: Dr. William Hendee,  
20 representing the American Board of Radiology.

21 DR. HENDEE: I would like to ask that Dr.  
22 Capp join me and we will do ours together.

23 CHAIRMAN VETTER: That will be wonderful.

24 DR. HENDEE: And Dr. Capp has a very brief  
25 statement.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN VETTER: Sure.

2 DR. HENDEE: So that will cut down one of  
3 your speakers.

4 CHAIRMAN VETTER: Okay.

5 DR. CAPP: Thank you. My name is Paul  
6 Capp, and I am the Executive Director of the American  
7 Board of Radiology and have been for nine years, and  
8 the former president of the board prior to that time.  
9 I am an old physicist from way back, and then went  
10 into nuclear physics.

11 And then I realized that I wasn't bright  
12 enough and so I had to go into medicine. So, if you  
13 will excuse me for that, but I speak as a medical  
14 doctor and a radiologist.

15 I don't have to tell this group that our  
16 board from way back realized that the serious effects  
17 of radiation caused the board beginning in 1934 to  
18 start examining in 1934 about radiation effects.

19 And so it has been high on our list in the  
20 examination process over the many, many years. So  
21 much so that in 1947, and in view of the increasing  
22 technology, we brought in physicists to the board at  
23 that time and started the certification process in  
24 radiologic physics.

25 And which is still recognized today by the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ABMS, and that is important. The ABMS is medical  
2 board's only, but the ABMS has allowed for the ABR to  
3 continue to certify radiologic physics up until this  
4 day.

5           Whereas, they do not allow certification  
6 for non-physicians in any other field except for  
7 medical genetics due to many, many other reasons. We  
8 think so seriously about this topic that we have  
9 separate examinations in the diagnostic radiology  
10 today, and we have a three hour examination, written  
11 examination, in both radiologic physics and radio  
12 biology for the diagnostic resident who has just  
13 completed five years of training.

14           And in radiation oncology, we have a three  
15 hour examination in radiologic physic, and therapy,  
16 and a three hour examination in radiobiology, besides  
17 the basic science clinical examination.

18           And this of course all precedes the oral  
19 examination that occurs if they are successful with  
20 the written examinations. So we are very serious  
21 about radiation safety, and we have specific  
22 examination committees.

23           Dr. David Hussey from San Antonio, who is  
24 the head of the examination committee in radiation  
25 oncology, and he feels strong enough, and he is here

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in the audience today, to perhaps answer your  
2 questions.

3 And Dr. Phil Alderson from Columbia is in  
4 the audience who runs our nuclear medicine section,  
5 and Dr. Steve Thomas is here, who is a nuclear  
6 physicist, in charge of the nuclear medicine part, and  
7 he is representing another or wearing another hat, and  
8 representing the AAPM.

9 And I am pleased to say on our board of  
10 trustees we have three physicists, which is unusual  
11 for a medical board, but that is also how strongly we  
12 feel about this topic. And I am pleased to say that  
13 we are probably the only medical board in existence  
14 that has a non-physician as president now.

15 So our president for the next two years is  
16 Dr. Bill Hendee, who will give the points that we  
17 would like to get across. Bill.

18 DR. HENDEE: Thank you, Paul. I think  
19 everyone in this group and so there is no point in  
20 telling you who I am, other than the fact that I did  
21 want to mention one credential that you may not know  
22 about.

23 I am the secretary of the National Patient  
24 Safety Foundation and a founding board member , and I  
25 wanted to state that just so you will know that in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 addition to coming at this from a professional point  
2 of view as a medical physicist, and health physicist,  
3 I also come at it from the point of view of having a  
4 great interest in the protection of the health and  
5 safety of patients who are provided health care in  
6 institutions across the country.

7 It is a pleasure for me to be here as  
8 well, and I am here to state the unqualified support  
9 of the American Board of Radiology for the June 14th  
10 statement that has been developed by this group, by  
11 the ACMU subcommittee, and which has been discussed  
12 here today.

13 This statement restores board  
14 certification as the default pathway for individuals  
15 to become authorized as radiation safety officers, and  
16 medical physicists, and nuclear pharmacists, and  
17 authorized users of byproduct material.

18 We endorse this restoration of board  
19 certification as the default pathway. We strongly  
20 encourage the acceptance of each of the certification  
21 of boards that are identified in these subcommittee's  
22 report as they relate to Parts 35.50, 35.51, and  
23 35.190, and 35.290, and 35.690.

24 And we would also point out that we would  
25 also hope that they would be identified as they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       pertain to other relevant sections in the revised Part  
2       35, and that would include Parts 35.390, 35.490, and  
3       35.590.

4               In the development of the position of  
5       support for the subcommittee's report, the American  
6       Board of Radiology consulted three other certification  
7       boards; the American Board of Health Physics, and the  
8       American Board of Medical Physics, and the American  
9       Board of Scientists in Nuclear Medicine.

10              All of these boards are represented here  
11       today, and you will hear from all three; David  
12       Steidley representing the ABMP, and Gary Sayed  
13       representing the American Board of Scientists in  
14       Nuclear Medicine, and Shawn Googins representing the  
15       American Board of Health Physics.

16              I am pleased to tell you that these three  
17       certification boards have joined with the ABR in  
18       unqualified support of your statement. In arriving at  
19       this position of unqualified endorsement of your  
20       report, the ABR and the other boards examined the five  
21       assumptions on page one of the subcommittee's report,  
22       and we agree with these assumptions and acknowledge  
23       that the boards specifically identified in your report  
24       meet the criteria referenced in the second assumption  
25       of your subcommittee's report on page one.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           Why did the American Board of Radiology  
2           and its companion boards feel strongly that about  
3           board certification as the default pathway? There are  
4           several reasons and here are some of them.

5           And I will express these on behalf of the  
6           American Board of Radiology, and the other committees  
7           can make their own statements. As you have already  
8           hear, the ABR has spent 80 years defining the criteria  
9           for the safe and efficacious use of ionizing  
10          radiation, including radiation from byproduct  
11          materials in diagnostic and therapeutic medicine.

12          These criteria are infused into the  
13          certification examination process and by extension  
14          into the education and training programs for  
15          diagnostic radiologists, nuclear radiologists,  
16          radiation oncologists, and medical physicists.

17          Certification by the ABR is a direct  
18          indicator that the individual is technically competent  
19          to use ionizing radiation safely to diagnose and treat  
20          disease, and in the case of medical physicists, to  
21          provide medical physics and radiation protection  
22          services in a safe and responsible manner.

23          The ABR and its companion boards recognize  
24          the futility of attempting to equate competence with  
25          hours of training and experience in any discipline,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and an acknowledgement that is shared by virtually all  
2 experts in higher education.

3           Consequently the ABR and its companion  
4 boards do not wish to accommodate a specific  
5 requirement of hours of training and experience,  
6 because we think it is not relevant to the evaluation  
7 of competence.

8           Further, the ABR and its companion boards  
9 wish to assure the NRC that board certification is a  
10 more acceptable criteria than hours of training and  
11 experience in evaluating the competence of individuals  
12 using radiation for the diagnostic and therapeutic  
13 diagnosis and treatment of disease in humans.

14           Now, as I have listened to your  
15 deliberations today, there are three issues that I  
16 would like to comment on specifically. The first has  
17 to do with the discussion of Part 35.50, training for  
18 radiation safety officers, at which there was some  
19 discussion about the desirability of removing from the  
20 list of qualified certification boards, the American  
21 Board of Radiology.

22           We believe that would be a mistake,  
23 because if you remove the American Board of Radiology  
24 as a default pathway to become a radiation safety  
25 officer for individuals, especially for individuals

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 training in medical physics, then the only way that a  
2 medical physicist could serve as a radiation safety  
3 officer is to meet the definition of authorized user,  
4 which is confined to radiation oncology physicist.

5 But the American Board of Radiology  
6 certifies not only radiation oncology physicist, but  
7 it also certifies medical nuclear physicists, who are  
8 extremely well qualified to serve as a radiation  
9 protection or radiation safety officer in  
10 institutions.

11 And it also certifies diagnostic  
12 radiologic physics, who have a lot of training in  
13 radiation protection and radiation safety, and for  
14 small hospitals that don't have an extensive program  
15 in radiation oncology, they might be the best choice  
16 to serve as a radiation safety officer.

17 So we would ask that you reexamine that  
18 discussion to be sure that you don't disenfranchise  
19 individuals who could do a great service to the  
20 community by removing the American Board of Radiology  
21 as a default pathway for certification, leading to  
22 recognition as a radiation protection officer.

23 My second point comes to the discussion  
24 about letters of reference and whether those letters  
25 of reference should address whether an individual has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 completed a training program, has satisfactorily  
2 completed a training program, or has competently  
3 completed a training program.

4 And we obviously have had great discussion  
5 about this within the American Board of Radiology.  
6 Our belief is, number one, that it is the  
7 certification process that assures competence, and not  
8 a letter of reference from an individual.

9 And therefore, we don't pay much attention  
10 to letters that attest to competence. We want letters  
11 that attest to what can quantitatively be evaluated by  
12 an individual, namely the degree of training and  
13 whether it has been completed or not.

14 We don't know what satisfactorily  
15 completed means as compared to completed. If you want  
16 to leave satisfactorily in there, I suspect that it  
17 will be interpreted as completed.

18 Another issue is that if someone were to  
19 write a letter that stated that an individual is not  
20 competent, we would not pay much attention to that  
21 letter, because once again it is the certification  
22 process that evaluates competence and not letters.

23 And we do not want an individual to be  
24 accepted or rejected into the certification process  
25 based upon the opinion of one individual evaluating

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 competence. And if we did and rejected the individual  
2 on the basis of letters that declared that he was  
3 competent, I suspect that we would be ending up in  
4 court because we had disenfranchised a potential  
5 applicant from practicing his profession.

6 So I think that letters of attestation or  
7 letters of reference really have to only address those  
8 things that can be evaluated by people in a  
9 quantitative way.

10 There was a discussion on Part 35.290  
11 related the certification and diagnostic radiology by  
12 the American Board of Radiology by the American Board  
13 of Radiology, meet the requirements of Section d-1 in  
14 Part 35.290.

15 And I would like to say an unqualified  
16 yes, as we have already stated in a letter dated June  
17 26th, 2000 from Dr. Paul Capp, the executive director  
18 of the ABR, to mr. Donald Cool of the NRC staff, and  
19 in which we addressed specifically that specific  
20 question.

21 I think that all of us here -- the Nuclear  
22 Regulatory Commission, the American Board of  
23 Radiology, the ACMUI, and its subcommittee, all the  
24 companion boards to the ABR. We all share a common  
25 objective.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The common objective is using ionizing  
2 radiation safely and effectively in the diagnostic and  
3 therapeutic applications of human disease. And we  
4 propose that the NRC and the professions work together  
5 as we are now towards this objective to improve human  
6 health, medical diagnosis, and therapy.

7           A good start, a very good start in this  
8 direction by the NRC, would be the acceptance of the  
9 statements of its own subcommittee of the advisory  
10 committee on the medical use of isotopes related to  
11 the training and experience requirements, and we would  
12 like to thank this subcommittee for your hard work.

13           We think you have done a great service to  
14 the people of this country, and what you have  
15 accomplished through this statement, and we appreciate  
16 it very much. Thank you.

17           CHAIRMAN VETTER: Thank you, Dr. HENDEE.  
18 Anyone have questions for Dr. HENDEE or Dr. Capp?  
19 Yes, Ruth.

20           MS. MCBURNEY: One of the MRC staff  
21 persons brought up that if the certification process  
22 requires a signature by an authorized user attesting  
23 to the completion of the training and experience  
24 requirements that that might pose a problem.

25           What sort of letters of reference are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 required for sitting for the diagnostic board?

2 DR. HENDEE: At the present time, we  
3 require two letters of competence, and I can ask Dr.  
4 Capp to address this as well. They are letters from  
5 individuals who are certified by the American Board of  
6 Radiology.

7 MS. MCBURNEY: And they would already be  
8 authorized users or maybe be qualified as authorized  
9 users, maybe if they are program directors, or  
10 something like that.

11 DR. CAPP: If you are talking about, say  
12 diagnostic radiologists.

13 MS. MCBURNEY: Diagnostic, right, the 290  
14 physicians.

15 DR. CAPP: At the present time, as in most  
16 ABMS boards, the program director is required to sign  
17 off, and in our particular application, the program  
18 director must state that an individual is  
19 professionally qualified, is the term that we use.

20 Now, in the 193 diagnostic radiology  
21 programs in this country, virtually all of them have  
22 multiple individuals who could be qualified to be  
23 authorized users. So I am sure that they have one,  
24 two, or three in each institution.

25 MS. MCBURNEY: So the wording of that is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 not a problem.

2 DR. CAPP: It is not a problem, except on  
3 the other hand most program directors in diagnostic  
4 radiology are probably not authorized users, because  
5 there are people in nuclear medicine, or a radiation  
6 safety officer, a health physicist, et cetera, fulfill  
7 those criteria.

8 And so what we would have to do would be  
9 to put another line in there, and so the signatures  
10 that would be required would be not only the program  
11 director, but an authorized user if that is your  
12 intent.

13 CHAIRMAN VETTER: But the program director  
14 would be as equally qualified as the authorized user  
15 to testify that the individual had completed the  
16 training?

17 DR. CAPP: Yes.

18 MS. MCBURNEY: So we could add some  
19 wording there.

20 DR. CAPP: Yes, program director, or  
21 authorized user. Go ahead.

22 DR. DIAMOND: Well, I was just going to  
23 state that if the program director must already make  
24 an attestation for that candidate to be professionally  
25 qualified to sit for the boards, then it is entirely

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 moot to add another sentence.

2 For example, what we were going to do in  
3 paragraph (a)(4), a preceptor statement or residency  
4 program statement, which is entirely redundant and  
5 moot as far as I can tell. My question for Dr. HENDEE  
6 would be would you also recommend based upon the  
7 grounds that you cited that a preceptor statement be  
8 deleted from the alternate pathway?

9 You made an argument for deleting a  
10 preceptor statement from the board certification  
11 pathway, and would you recommend on the same  
12 principles delineated from the alternate pathway?

13 DR. HENDEE: I wasn't making a statement  
14 to delete the preceptor statement. I was making a  
15 statement that says that the preceptor statement  
16 should verify that the individual has completed the  
17 required training, and we do require preceptor  
18 statements as you have already heard for entrance into  
19 the certification examination.

20 My comment was on asking that individual  
21 to attest to the competence of the individual, and I  
22 think that is not a wise thing to do.

23 DR. DIAMOND: All right. So, for example,  
24 the language that is currently there, which is,  
25 "satisfactorily completed," you just told us that that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is meaningless to you, and --

2 DR. HENDEE: Completed is not meaningless,  
3 but satisfactorily completed, and I don't know what  
4 satisfactorily means in that context.

5 MS. MCBURNEY: I think that means that  
6 they didn't fail.

7 DR. HENDEE: Well, if they failed, they  
8 would not have completed it, right? I mean, you can  
9 leave satisfactorily in there. I don't think it is a  
10 big issue. Competently is the issue.

11 CHAIRMAN VETTER: Jeff.

12 DR. WILLIAMSON: Well, two comments. I  
13 think in 35.290, we should be really careful not to  
14 overly define the qualifications of the preceptor so  
15 that we get the radiology boards in trouble. I think  
16 it is nitpicking, and there is no reason to do that.

17 And I think that in the description of the  
18 broad criteria for being an acceptable board, we have  
19 to make it general enough that a residency program  
20 director who is primarily a diagnostic radiologist,  
21 and who had been overseeing the program, that that  
22 person's statement can be accepted as a preceptor  
23 statement.

24 The second comment, because I think that  
25 Dr. Hendee is right, and we should go back and look at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the RSO category, and do something to address the  
2 possibility of these specialty physics certifications  
3 being able to practice as RSOs, at least in limited  
4 context, and I think he is absolutely right.

5 CHAIRMAN VETTER: I agree, and I wanted to  
6 ask a question with regard to your radiological  
7 physics exams, do you have two exams; one for  
8 diagnostic, and one for oncology?

9 DR. HENDEE: We have three exams actually.  
10 We have one for diagnostic radiologic physicists, and  
11 we have another exam for medical nuclear physicists,  
12 and we have another exam for radiation oncology  
13 physicist.

14 There is a part one, which is common to  
15 those, but then there is a Part II written exam, and  
16 an oral exam, and they are separate exams all the way  
17 through.

18 CHAIRMAN VETTER: So relative to 35.50, it  
19 is those three subspecialities that we are talking  
20 about?

21 DR. HENDEE: Yes. Right.

22 CHAIRMAN VETTER: Thank you.

23 DR. WILLIAMSON: And I think somehow we  
24 need to distinguish between an RSO that has broad  
25 authority to be an RSO for a broad scope licensee,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 versus an RSO who is limited to kind of single  
2 modalities or some smaller collection of modalities.

3 MS. MCBURNEY: For example, for a  
4 radiation oncology program that is separate from a  
5 large hospital, a lot of times the medical physicist  
6 is also the radiation safety officer.

7 CHAIRMAN VETTER: Good point. Okay.  
8 Other questions for Dr. Hendee or Dr. Capp? Thank you  
9 both very much. We appreciate you taking the time to  
10 come here and address us. Thank you. Next is Dr.  
11 David Steidley, representing the American Board of  
12 Medical Physics.

13 DR. STEIDLEY: Good morning.

14 CHAIRMAN VETTER: Good morning.

15 DR. STEIDLEY: My name is David Steidley,  
16 and for identification purposes only, I am the Chief  
17 Physicist, as well as Radiation Safety Officer, at St.  
18 Barnabus Medical Center, in Livingston, New Jersey.  
19 I am a Diplomate of the American Board of Radiology,  
20 of the American Board of Medical Physics, the American  
21 Board of Health Physics.

22 I am a Fellow of the American College of  
23 Radiology, and a Fellow of the American Association of  
24 Physicists in Medicine. I am here today in my role as  
25 a member of the Board of Directors of the American

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Board of Medical Physics, and I also serve there as  
2 their panel chair for medical health physics.

3 The official position of the American  
4 Board of Medical Physics is identical to the American  
5 Board of Radiology as expressed minutes ago by Dr.  
6 Hendee.

7 I would like to stress the painstaking  
8 path that our board has laid out for its diplomates.  
9 You must have an advanced degree. You must have  
10 multiple years of experience. You have to have  
11 letters of reference.

12 You have to pass a rather arduous written  
13 exam, which is divided into two parts, and you have a  
14 notoriously difficult two hour examination before a  
15 panel of three experts.

16 Only then do you become qualified, and are  
17 able to be a diplomate on the American Board of  
18 Medical Physics. We have heard a number of hours of  
19 training and education bandied about -- 200 hours, 500  
20 hours, 700 hours.

21 A typical candidate here has a minimum of  
22 16,000 hours of training and experience. So I think  
23 those other numbers pale in comparison. So given all  
24 this background, I think you have to conclude that we  
25 need a default pathway that says you are boarded.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And I am happy to see that this committee  
2           is making progress in restoring that, and in  
3           conclusion then, I think that we can say that we stand  
4           totally in support of your subcommittee's draft of  
5           614.02 on training and experience as amended today.  
6           Thank you.

7           CHAIRMAN VETTER: Thank you, Dr. Steidley.  
8           Any questions for Dr. Steidley? You said years of  
9           experience. Could you be more specific about that?  
10          A person needs an advanced degree, and so a minimum of  
11          a Masters degree.

12          DR. STEIDLEY: That's correct.

13          CHAIRMAN VETTER: And so many years of  
14          experience.

15          DR. STEIDLEY: Yes. It depends on the --  
16          if you have a Ph.D., the experience is four years in  
17          order to sit for Part III; and it then takes an  
18          additional year for you to go into the oral  
19          examination. So with a Ph.D., you would need a  
20          minimum of five years.

21          Now, if you do a specific Ph.D. in medical  
22          physics, and there only a handful of programs that  
23          have that requirement, it is a total of four years.  
24          But most of your work at that point, if you are in one  
25          of those programs will be hospital related.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Your research project will probably or  
2           undoubtedly have something to do with medical physics.  
3           So you are quite a bit more involved than a standard  
4           candidate taking a Ph.D. in physics. We lightened  
5           that up.

6           If you come from a medical physics program  
7           that is accredited, and now you are talking just 2 or  
8           3 in the country, then we would reduce it to a total  
9           of 3 years. And with Masters degree candidates, you  
10          have to add about 2 years to each of those numbers, in  
11          terms of total experience.

12          CHAIRMAN VETTER: So with a Masters, a  
13          minimum would be five years experience, plus a Masters  
14          degree?

15          DR. STEIDLEY: Well, if you are in an  
16          accredited medical physics program, you could get away  
17          with as little as 4 years after you have got your  
18          Masters degree. But if you are in an accredited  
19          Masters physics program, those 2 or 3 years that you  
20          have spent have been just 100 percent medical physics.

21          CHAIRMAN VETTER: And for the medical  
22          health physics?

23          DR. STEIDLEY: The same

24          DR. WILLIAMSON: And for -- this is years  
25          of experience before you can successfully apply to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 take the first level of the written exam?

2 DR. STEIDLEY: We have -- well, for the  
3 part one exam --

4 DR. WILLIAMSON: Yes, the Part I test.

5 DR. STEIDLEY: -- you don't need to have  
6 professional experience. It is a generalized test.  
7 Then for Part II, you would have to wait another 4  
8 years, but that is not a usual pathway.

9 CHAIRMAN VETTER: Other questions?

10 DR. WILLIAMSON: Well, one question. How  
11 does this compare to the ABR?

12 DR. STEIDLEY: Excuse me?

13 DR. WILLIAMSON: How does the years of  
14 experience for ABMP compare to the American Board of  
15 Radiology for radiation oncology physics?

16 DR. STEIDLEY: I don't think I could speak  
17 to an exact comparison.

18 CHAIRMAN VETTER: Are Dr. Hendee or Capps  
19 still here that could answer that for us?

20 DR. HENDEE: Okay. I could answer that.  
21 The question is what are the experience requirements  
22 or the total requirements for certification in  
23 radiology oncology physics by the American Board of  
24 Radiology, and the answer is that you have to have  
25 three years of experience.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           If you have a Masters degree, you can  
2 count up to six months of that education towards the  
3 three years, provided that it is real experience in  
4 the clinic as part of your educational process.

5           If you have a Ph.D., and the Ph.D. and the  
6 Masters have to be of course in relevant scientific  
7 fields, then you can count up to 12 months towards the  
8 3 year requirement, but again it has to be in clinical  
9 relevant experience as part of your education and  
10 training.

11           CHAIRMAN VETTER: Okay. Thank you. Any  
12 other questions for Dr. Steidley? Thank you very much  
13 for taking the time to come here and visit with us  
14 here today. The next on the list is Dr. Richard  
15 Fejka, representing the Board of BPS and APHA. That  
16 is pharmacist.

17           DR. FEJKA: Good morning, and thank you  
18 for the opportunity to appear in front of the board  
19 and offer some comment. Specifically, I am here  
20 representing the Board of Pharmaceutical Specialties,  
21 and specifically their nuclear pharmacy specialty  
22 council.

23           As well as a dual hat of representing the  
24 American Pharmaceutical association. Specifically,  
25 myself, I am a practicing nuclear pharmacist for the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 past 21 years, and I am board certified, and I am  
2 currently serve as a member of the Nuclear Pharmacy  
3 Specialty Council within BPS.

4 Although the subcommittee was not  
5 specifically asked to deal with the training and  
6 experience requirements for an authorized nuclear  
7 pharmacist, in reviewing the proposed regs that were  
8 submitted here for radiation safety officers,  
9 authorized medical physicists, and training for  
10 authorized users, we are encouraged to see that board  
11 certification is listed, specifically listed, and that  
12 it is an excellent move to list particular boards as  
13 being or meeting the qualifications to become  
14 authorized.

15 However, the aspect of putting a preceptor  
16 statement into a board, we are not so sure that it  
17 meets the requirements that we see as authorizing  
18 someone to be a board certified nuclear pharmacist.

19 As Dr. Williamson stated, if you sit to  
20 take an examination and don't pass, obviously you are  
21 not going to become board certified. And in our  
22 particular case for recognizing, and we are sitting to  
23 become board certified in nuclear pharmacy, we require  
24 a minimum of 4,000 hours of T&E, which far exceeds the  
25 NRC's statement of 700 hours.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So obviously one could become recognized  
2 as an authorized nuclear pharmacist under the proposed  
3 NRC regs if you just meet the 700 hours. But board  
4 certification is also another area which could  
5 represent that pharmacist who truly wants to go above  
6 and beyond the minimum, and to state that you  
7 understand the work that you do, and that you are a  
8 recognized expert in your field.

9           As a nuclear pharmacist, and representing  
10 APHA, the alternative pathroad that was proposed in  
11 the April 24th regs of 700 hours is acceptable to us  
12 for meeting the requirements of mathematics and  
13 chemistry, and the manipulation of pharmaseuticals,  
14 and to be able to safely operate a nuclear pharmacy.

15           And the preceptor statement there  
16 certainly is appropriate, and as a nuclear pharmacist,  
17 again, I believe that we wouldn't have any real  
18 problem with accepting that.

19           As a possibility to recognize future  
20 boards, although being in the field for this large  
21 numbers of years that I have practiced, I understand  
22 the importance that the NRC would want to be able to  
23 have criteria to recognize future boards.

24           And maybe to do that, certainly be a  
25 member of the Board of Pharmaceutical Specialties, we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have as a minimum our 4,000 hours, and maybe that  
2 might be an acceptable figure to use.

3 But as Ms. McBurney stated in her review  
4 of the proposed draft regulations, a board that would  
5 meet the NRC's minimal requirements of 700 hours in  
6 the various areas of training might be a standard  
7 whereby the NRC could use to judge future boards that  
8 were to come down and be recognized.

9 That basically summarizes what I wanted to  
10 state with regard to nuclear pharmacists, but since we  
11 are not sort of, so to speak, dangling out there, we  
12 are not exactly sure finally what the NRC is going to  
13 state.

14 We have the April 24th regs, and we have  
15 the regulatory guide, Chapter 9, which lists specific  
16 things, but does not go into detail as to what was  
17 proposed here that the subcommittee was specifically  
18 asked to look at.

19 So again as a nuclear pharmacist, we  
20 certainly would be encouraged or would like to see  
21 what the final draft, the final rules, would come down  
22 as it affects us. But if you use what this committee  
23 did as an example of what we might be able to be  
24 applied to, to specifically put back the Board of  
25 Pharmaceutical Specialties for recognition without a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 preceptor statement, would be acceptable.

2 And the other alternative pathways to  
3 being recognized as an authorized nuclear pharmacist  
4 of the 700 hours would be acceptable to us also.

5 CHAIRMAN VETTER: Thank you very much, Dr.  
6 Fejka. Questions?

7 MS. MCBURNEY: I think we mentioned  
8 earlier that we would recommend that the NRC make  
9 similar consistent ruling language throughout all this  
10 T&E requirements.

11 DR. FEJKA: I did hear that, and I was  
12 encouraged to hear that from a member. But once  
13 again, with some speculation or apprehension until we  
14 see the final rules, at least we are encouraged to see  
15 that if we are treated similar to the other authorized  
16 user areas, then we probably will be happy.

17 MS. MCBURNEY: Good.

18 CHAIRMAN VETTER: A couple of questions.

19 DR. FEJKA: Sure.

20 CHAIRMAN VETTER: Focusing on the  
21 preceptor statement first of all. It is going to be  
22 our recommendation that -- or at least the sense that  
23 I have so far is that our recommendation is that we  
24 not require boards to require candidates to provide a  
25 preceptor statement that testifies to their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 competency.

2 But rather that they have completed a  
3 training program, and could you tell me what you mean  
4 by a preceptor statement?

5 DR. FEJKA: Well, that was again a thing  
6 in the April 24th publication, and in Reg Guide 9, the  
7 proposed Reg Guide 9. It was, I'm sure, exactly what  
8 that meant to us. Now we have had further information  
9 that delineates that the NRC basically was concerned  
10 about an individual from the radiation safety  
11 standpoint.

12 Now, the preceptor statement, and trying  
13 to apply that with regard to our certification  
14 examination, since to sit for it requires 4,000 hours,  
15 two years of training in the area of nuclear pharmacy,  
16 we would think that somebody who would become board  
17 certified would eventually learn something concerning  
18 radiation safety issues.

19 CHAIRMAN VETTER: I'm sorry, but I just  
20 would like a very specific answer as to whether or not  
21 you would object to a statement that required  
22 candidates to provide the board with a letter that  
23 said they had in fact completed the training, or do  
24 you assure that in some other way?

25 DR. FEJKA: We assure that in some other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 way. If you sit for our exam and you don't pass it,  
2 you don't become board certified. But the alternative  
3 is that before you would even get to our examination,  
4 that you would have the NRC's 700 hours of experience.

5 MS. MCBURNEY: But you don't require a  
6 statement from the training institute?

7 DR. FEJKA: No, because the training that  
8 a pharmacist would have, 4,000 hours, two years, could  
9 occur over working at several different facilities.  
10 And again not having much to go upon as to what or who  
11 would certify, who would sign ultimately saying that  
12 you worked and satisfactorily met the requirements --

13 MS. MCBURNEY: So they would just self-  
14 attest to it?

15 DR. FEJKA: Self-attestment is another  
16 thing, and maybe it could work, but if you don't pass  
17 the tests --

18 CHAIRMAN VETTER: But you do have a  
19 mechanism that demonstrates that the individual has  
20 completed the training; is that correct?

21 MS. MCBURNEY: Just the exam.

22 DR. WILLIAMSON: Do you have some way to  
23 verify that they completed the stated number of hours  
24 of training?

25 DR. FEJKA: Okay. We ask them to attest

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to that either through providing evidence of taking  
2 course work, of where they have worked in their  
3 experience, and what facilities, and whether or not  
4 they have gone on to take graduate level programs or  
5 degrees.

6 So to that extent, we have that  
7 requirement. The Board of Pharmaceutical Specialties  
8 did submit that to the NRC and the NRC felt that we  
9 met the requirement, providing the information with  
10 regard that our board is a satisfactory board.

11 However, their comments did come back that  
12 the preceptor statement was missing. And it is that  
13 preceptor statement that we feel under the pathway  
14 that would exist, 700 hours, comes before our  
15 examination.

16 You could maybe go that way. However, if  
17 you did choose to become board certified and not an  
18 authorized nuclear pharmacist first, although I can't  
19 understand someone would go down that pathway first,  
20 that it might serve as a moot point.

21 CHAIRMAN VETTER: Okay. Other questions?  
22 So your minimum requirements are basically two years  
23 of training in nuclear pharmacy?

24 DR. FEJKA: To become board certified.

25 CHAIRMAN VETTER: To become board

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 certified, right. Okay. If there are no other  
2 questions, thank you very much, Dr. Fejka.

3 DR. FEJKA: Thank you.

4 CHAIRMAN VETTER: I appreciate you coming  
5 here today to visit with us. And next on our list is  
6 Gary Sayed, representing the American Board of Science  
7 and Nuclear Medicine.

8 MR. SAYED: Good morning. For reference,  
9 I am Gary Sayed, Professor of Diagnostic Imaging at  
10 Thomas Jefferson University, in Philadelphia. I am  
11 the past president of the American Board of Science  
12 and Nuclear Medicine, and I am here to inform you that  
13 the formal position of the American Board of Science  
14 and Nuclear Medicine is identical to the position  
15 expressed by Dr. Hendee on behalf of the American  
16 Board of Radiology.

17 The ABSNM is a board established and  
18 founded to certify scientists by the Society of  
19 Nuclear Medicine, the American College of Nuclear  
20 Physicians, and the American College of Nuclear  
21 Medicine.

22 The board has been certifying scientists  
23 in radiation protection, medical nuclear physics, and  
24 nuclear pharmaceutical science, for the past 25 years.  
25 In order to sit for the examination, the candidates

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with a Masters degree are required to provide letters  
2 of evidence from two preceptors, one of whom must be  
3 a certified nuclear medicine scientist; and the other  
4 a board certified nuclear medicine physician for 5  
5 years of training.

6 And for the Ph.D. candidates, we require  
7 3 years of experience. In closing, I would like to  
8 thank you for this opportunity to participate in this  
9 process.

10 CHAIRMAN VETTER: Thank you very much.  
11 Questions? Yes, Jeff?

12 DR. WILLIAMSON: For what category in Part  
13 35 would your certification be applicable; to just  
14 radiation safety officer?

15 MR. SAYED: Specifically for 35.50, yes.

16 DR. WILLIAMSON: And probably for nuclear  
17 medicine applications, and not broad scope licensees?  
18 Or would you claim that one of your diplomates could  
19 be an RSO for a broad scope licensing?

20 MR. SAYED: Yes. Under Part 35.50, as  
21 RSOs for broad scope licenses, particularly our  
22 diplomates who are certified in the radiation  
23 protection specialty.

24 CHAIRMAN VETTER: And does your board  
25 assure or does your board examine in any safety

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 aspects of radiation therapy?

2 MR. SAYED: Yes. The radiation protection  
3 exam covers all aspects of radiation safety practice  
4 in nuclear medicine, particularly with respect to  
5 safety practice in nuclear medicine, particularly with  
6 respect to unsealed sources involving therapeutic  
7 applications.

8 DR. WILLIAMSON: But not brachy therapy?

9 MR. SAYED: No, we don't cover that.

10 DR. WILLIAMSON: Or Cobalt 60 teletherapy?

11 MR. SAYED: No.

12 MS. MCBURNEY: And then under that, they  
13 would need to go into items under 35.50 about the  
14 other --

15 CHAIRMAN VETTER: We do have a mechanism  
16 to cover that. They would have to have modality  
17 specific training in those areas over and above their  
18 board exam?

19 MR. SAYED: That's right.

20 CHAIRMAN VETTER: Now, could you review  
21 again what the minimum requirements are? Three years  
22 experience, plus a Ph.D.?

23 MR. SAYED: For candidates who have or  
24 whose terminal degree is a Masters degree, we require  
25 five years of experience.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN VETTER: Okay. And do you allow  
2 anyone with a Bachelors degree to sit for your exam?

3 MR. SAYED: The minimum academic  
4 requirement is a Masters degree.

5 CHAIRMAN VETTER: Okay. Thank you. Any  
6 other questions for Dr. Sayed? If not, thank you very  
7 much for coming and visiting with us today. And next  
8 on our list is Bill Uffelman from the Society of  
9 Nuclear Medicine, the American Board of Nuclear  
10 Medicine.

11 MR. UFFELMAN: I am Bill Uffelman, and I  
12 am General Counsel and Director of Public Affairs of  
13 the Society of Nuclear Medicine and I guess by default  
14 I am appearing for the American Board of Nuclear  
15 Medicine as they did not send anybody today.

16 As an attorney, my comment on all of this  
17 is that words do matter. Particularly, I have concern  
18 over the presumption that a program director's  
19 signature does satisfy the preceptor requirement.

20 I would want to see language that  
21 specifically says that. The grandfathering in 35.57  
22 -- my concern is that the preexisting board  
23 certifications, because those conceivably a board for  
24 whatever reason may not choose to meet the new  
25 requirements, but somebody who is currently working

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 under the old board certification, that they in fact  
2 somehow don't lose their status.

3 I mean, the irony is that they were good  
4 enough in the old rule, but not perhaps they are not  
5 good enough. And at the same time, there is a seven  
6 year recentness of training requirements. Somebody in  
7 fact may have been an RSO, and may have been gone into  
8 academia, and that they are not an RSO.

9 But they are teaching the course that is  
10 training the people to be the new people, and I guess  
11 perhaps obtaining continuing education in the whole  
12 process, or a lifetime of education.

13 But in fact that they could return to that  
14 status, because the way that the language is currently  
15 written, it says that you have to be an RSO today, and  
16 you have to be a teletherapy or medical physicist.

17 You have to be a nuclear pharmacist today  
18 on somebody's license, when in fact whatever path you  
19 follow you may have moved off of the license at this  
20 moment in time.

21 Then I guess my last comment may be very  
22 specific and probably could be asked away from this,  
23 but I will ask it on the record. John, the timing on  
24 some of this, the ABSNM and ABMN were both given until  
25 Monday to respond to the letters that you sent them.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I got back from L.A. last night from our  
2 annual meeting of the Society of Nuclear Medicine, and  
3 I know that our office is closed today and that there  
4 is nobody there cranking out a letter for Monday.

5 You did get an e-mail or an e-mail was  
6 sent from ABNM, which I believe as I read it, at least  
7 responds to the two specific questions that you asked,  
8 and Gary of course has gone on the record on behalf of  
9 ABSNM, and I would ask that until we can get actual  
10 signed letters in with those documents be considered,  
11 and those statements be considered sufficient to  
12 respond to your questions.

13 MR. HICKEY: Yes, that's fine, and I  
14 wanted to clarify that anybody who wants to submit  
15 comments for consideration by the subcommittee or the  
16 full committee has until June 28th to submit those  
17 comments.

18 MR. UFFELMAN: As far as my letter to you,  
19 you can respond at any time.

20 MR. HICKEY: Okay. Thank you very much.

21 CHAIRMAN VETTER: Thank you very much, Mr.  
22 Uffelman. Questions?

23 MR. UFFELMAN: Yes, Ma'am?

24 MS. MCBURNEY: Just a comment on this  
25 recentness of training, and that has been one of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 issues that we have been grappling with, and I don't  
2 know if they are addressed in the new NRC rules.  
3 John, do you know?

4 MR. UFFELMAN: John, 35.159.

5 MR. HICKEY: It is there.

6 MS. MCBURNEY: Okay.

7 MR. UFFELMAN: It has been seven years.

8 MR. HICKEY: It is there.

9 MS. MCBURNEY: Because we do have some  
10 people returning to different aspects of user status,  
11 or RSO status that have been out of it for a while.

12 DR. WILLIAMSON: Well, it says seven  
13 years, or the individual must have had related and  
14 continuing education and experience since the required  
15 training and experience was required.

16 CHAIRMAN VETTER: Well, that is not in our  
17 charge, but we will certainly pass that comment on,  
18 right.

19 MR. UFFELMAN: I think it is, and it is  
20 obviously related, and you are worried about the new  
21 people coming in and I am worried about the people who  
22 are already here.

23 CHAIRMAN VETTER: Absolutely. Right. Any  
24 other questions for Mr. Uffelman? If not, thank you  
25 very much. We appreciate you coming over to visit

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with us. Next on our list is Paul Chase from the  
2 American Osteopathic Board of Radiology.

3 MR. CHASE: Dr. Vetter and members of the  
4 committee, I am happy to be here to make some  
5 comments. I am Paul Chase, and I am Chairman of  
6 Radiology at the South Jersey Hospital System. I am  
7 the radiation safety officer for the system, and I am  
8 not on the Board of Osteopathic Radiology, but I am  
9 here representing the American Osteopathic Board of  
10 Radiology, and the American Osteopathic Board of  
11 Nuclear Medicine.

12 I am on the Board of Nuclear Medicine. I  
13 am the past president of the College of Radiology, and  
14 I am certified by the American Osteopathic Board of  
15 Radiology, by the American Osteopathic Board of  
16 Nuclear Medicine, and by the American Board of Nuclear  
17 Medicine.

18 The American Osteopathic Boards have a  
19 long history of working together with the NRC. We go  
20 back to 1982, when our diagnostic boards were actually  
21 the first boards recognized by the NRC in Categories  
22 1 and 2.

23 And radiation oncology in categories five  
24 -- or in Groups 5 and 6 at that time. Over the years  
25 our basic standards for training have been modified,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 always trying to keep up with the requirements of the  
2 NRC.

3 For example, at that time in 1982, I  
4 believe that they changed the requirements from 3  
5 months to 6 six months of training, and we increased  
6 our training to six months at that time.

7 In the osteopathic profession, the  
8 American Osteopathic Association is the certifying  
9 board. The training requirements are established by  
10 the College of Radiology. Certification, however, and  
11 examination is by the boards. In the college we have  
12 a committee called the EESC, Education, Evaluation and  
13 Standards Committee.

14 And that committee sets the training  
15 requirements, and submits those to the committee, and  
16 to that Board of the College, and they then go to the  
17 Committee on Post-Graduate Training of the AOA, and  
18 eventually to the Board of Osteopathic Specialists.

19 But the power to certify comes from the  
20 American Osteopathic Association. Neither the Boards  
21 nor the College are autonomous. In a letter just a  
22 day or so ago, we are asking for -- and I won't go  
23 through the whole letter, but again we have been  
24 certifying since 1940 in radiology, but the names of  
25 the boards have changed over those years.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And we are included in most of the  
2 sections in the NRC requirements for authorized users,  
3 but we need to have some updating in Category 35.930  
4 and 35.940, and 35.950, and 35.960. And I think --  
5 and I am not going to go through that, as the letter  
6 is on file, but most of it has to do with housekeeping  
7 and bringing things up to date.

8           I would like to support all the comments  
9 that were made by Dr. Hendee and by Dr. Capp, and also  
10 say that the American Osteopathic Board of Radiology  
11 has been working with the ABR to keep our standards  
12 and requirements for examination at that level.

13           Now, as regards to the radiation oncology  
14 question, I don't think there are any programs, Dr.  
15 Diamond, in radiation oncology at this time, but I  
16 would say that it is very important to keep the board  
17 qualification in there in order to protect those  
18 people that are already certified.

19           The basic standards are available, and I  
20 would be happy to provide those to you for diagnostic  
21 radiology and radiation oncology, and even if there  
22 are no programs, they are constantly being updated,  
23 and they were updated in '99, and 2000, and 2001, and  
24 they are available for review at any time.

25           Pam Smith is our executive director, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 she would be happy to work with anybody in the NRC  
2 program. Thank you.

3 CHAIRMAN VETTER: Thank you, Dr. Chase.  
4 Any questions? Jeff.

5 DR. WILLIAMSON: I think in the proposed  
6 draft rule language for authorized user of 35.600  
7 modality, specifies that the boards have to require of  
8 the candidates who sit for the examination a three  
9 year residency that is approved by the radiation  
10 oncology residency review committee of the ACGME. Do  
11 you meet the language of that standard for your  
12 radiation oncology?

13 I was looking at that  
14 and I think further down doesn't it mention the  
15 osteopathic boards?

16 MR. CHASE: The osteopathic boards are  
17 listed I think in Part A, aren't they, as one of the  
18 explicitly recognized boards and then Part B, or  
19 whatever, as I can't remember the numbers, lists the  
20 broad criteria that all the boards, both current and  
21 future, have to meet.

22 And the major requirement that is in there  
23 is the three year residency requirement. So my  
24 question to you is --

25 DR. WILLIAMSON: Yes, we do, because it is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a four year program.

2 DR. DIAMOND: ACGME.

3 DR. WILLIAMSON: ACGME.

4 MR. CHASE: No, it would not be recognized  
5 by the ACGME because like I said initially the power  
6 to board certify in our situation comes from the  
7 American Osteopathic Association.

8 CHAIRMAN VETTER: It is a different  
9 pathway.

10 MR. CHASE: It is a different pathway.

11 DR. WILLIAMSON: Okay. So if we want to  
12 fully recognized the osteopathic credential in  
13 radiation oncology, we might have to modify that  
14 paragraph. That is my point.

15 MS. MCBURNEY: There is the -- what was  
16 it, the C-O-P-T?

17 MR. CHASE: Yes, the Committee on Post-  
18 Graduate Training.

19 MS. MCBURNEY: Right. The osteopathic  
20 equivalent.

21 DR. DIAMOND: What was that again?

22 MR. CHASE: The Committee on Post-Graduate  
23 Training.

24 MS. MCBURNEY: C-O-P-T-A-O-A.

25 DR. DIAMOND: The Committee on Post-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Graduate Training?

2 MS. MCBURNEY: Or the Council on -- the  
3 Committee or Council on Post-Doctoral Training at the  
4 American Osteopathic Association. We have that in our  
5 Texas rules.

6 MR. CHASE: I am glad you mentioned that.  
7 If I can make one more comment. It is very important  
8 for us to have recognition at the Federal level  
9 because in those States that are not agreement States,  
10 they will look to the Federal Register for how they  
11 are going to act.

12 We had that problem in Rhode Island, where  
13 there was no recognition at all, and there were only  
14 two osteopathic radiologists in that State, they would  
15 not have been able to practice nuclear medicine.

16 CHAIRMAN VETTER: Okay. Other questions  
17 for Dr. Chase? If not, thank you very much for coming  
18 to visit with us today. And our last registered  
19 speaker is John Googins, representing the American  
20 Board of Health Physics.

21 MR. GOOGINS: Good morning. I am Shawn  
22 Coogins, a member of the Board of American Health  
23 Physics, and I will keep my comments brief. For the  
24 record, I would like to note that at the June 14th and  
25 June 15th, 2002 meeting of the American Board of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Health Physics, we unanimously endorsed the ACMUI  
2 Subcommittee draft recommendations on training and  
3 experience requirements.

4 I would strongly urge the NRC to accept  
5 the recommendations of this subcommittee. As far as  
6 some brief requirements for certification at the  
7 American Board of Health Physics, requires for someone  
8 to be able to sit for the exam, a minimum of a  
9 Bachelors degree and six years of experience, which  
10 not strangely enough on the Part B requirements may be  
11 substituted no more than two years of experience for  
12 an advanced degree in health physics.

13 As far as the statement regarding written  
14 certification from a supervising physicist or RSO, the  
15 board certification requirements do have requirements  
16 for recommendations and signatures, and evaluation of  
17 the training and experience requirements for the  
18 individual to be able to just sit for the  
19 certification exam.

20 CHAIRMAN VETTER: Thank you very much.  
21 Questions for Mr. Googins? Jeff.

22 DR. WILLIAMSON: Does the examination  
23 cover modality specific issues of radiation oncology,  
24 nuclear medicine, and so on? Is there any content  
25 that the candidates are expected to master?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. GOOGINS: Yes, the examination covers  
2 a number of what we call domains of practice, which  
3 cover anything from oncology, nuclear medicine,  
4 general biomedical research, that the individual is  
5 expected to know and be able to sit to pass the  
6 examination.

7 One thing for the record to note is that  
8 when an individual practices in a particular area the  
9 code of ethics that the American Board of Health  
10 Physics requires everyone to sign requires them to not  
11 practice in an area which they are not competent to  
12 practice in.

13 DR. WILLIAMSON: Do you have an opinion  
14 about how we should phrase the requirement for  
15 modality specific training and education? Do you like  
16 the one that we have?

17 MR. GOOGINS: Personally, I think that as  
18 far as modality specific, that is really covered  
19 within the inherent ethics statement that we sign for  
20 people to be able to practice and supervise a specific  
21 modality. So I don't have a particular problem with  
22 the statement as it is written.

23 MS. MCBURNEY: I think as you mentioned  
24 that the Code of Ethics and the requirements, and for  
25 the modality specific training, would involve the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 radiation safety regulatory issues, and emergency  
2 procedures, and clinical -- some sort of knowledge of  
3 the clinical procedures of any modality they would not  
4 have had previously.

5 MR. GOOGINS: Correct.

6 CHAIRMAN VETTER: So your code of ethics  
7 basically would require someone who is certified by  
8 your board, if they are working at a medical center,  
9 and you get gammaknife, they requires that they get  
10 the training in order to properly serve as Radiation  
11 Safety Officers for that modality.

12 MR. GOOGINS: That is correct.

13 CHAIRMAN VETTER: Okay. Other questions  
14 for Mr. Googins? I thank you very much, and I  
15 appreciate you taking your time to come visit with us.

16 MR. GOOGINS: Thank you very much. That  
17 comes to the end of our list, and just let me make  
18 sure that I have not missed anyone. Is there anyone  
19 who had signed up with the NRC to speak today and who  
20 I have missed?

21 (No audible response.)

22 CHAIRMAN VETTER: If not, I would like to  
23 take this opportunity to thank all of you. We know  
24 that you all have very busy schedules, and we know  
25 that this topic is important to you, but it is very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 important to us, and we absolutely needed your input,  
2 and we very sincerely appreciate you taking the time  
3 to come here to visit with us here today.

4 The next -- let's get back to our agenda  
5 and see where we are here. The next item, I believe,  
6 is the additional discussion. The summary of meeting  
7 -- I'm sorry, additional discussion. So we have  
8 according to the schedule about 45 minutes to further  
9 discuss.

10 And with the input that we received from  
11 the members of the professional community, are there  
12 issues that the subcommittee would like to discuss and  
13 air out a little bit more?

14 MS. MCBURNEY: I think we can go back and  
15 revisit the types of certification that would be  
16 accepted for the radiation safety officer, or rather  
17 the types of board certification.

18 I think that we had eliminated all except  
19 those that were in health physics, but after hearing  
20 the comments, I think the ABR physics certifications  
21 probably would be --

22 CHAIRMAN VETTER: And ABSNM as well.

23 MS. MCBURNEY: ABSNM, yes. Right.

24 CHAIRMAN VETTER: So basically what we are  
25 looking for on our list are boards who specifically

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 examine in medical or health physics, to list them  
2 there, and if --

3 MS. MCBURNEY: And partly aimed at  
4 authorized user status.

5 CHAIRMAN VETTER: And basically that's it,  
6 and remove those that are aimed specifically at  
7 authorized user status and nuclear pharmacy, because  
8 that would -- there is an alternate pathway for them.

9 DR. CERQUEIRA: Richard, let me just ask  
10 a sort of procedural question from John in terms of  
11 the issue of whether to list the boards or what we had  
12 decided in the past was to let the NRC have a listing  
13 of boards that would not be specifically detailed in  
14 the Federal regulations.

15 So if we have a published rule in the  
16 Federal Register which lists boards, and then if we  
17 want to add another board, do we then have to go back  
18 to this whole revision process to the Federal  
19 Registrar, or how would that be handled?

20 MR. HICKEY: Well, the way the old rule is  
21 that you would have to go through the full rule making  
22 process to add a board. But there is a way to write  
23 the rule that it will list -- the rule could say these  
24 are the currently listed boards, and they are  
25 acceptable boards, and they are acceptable, plus any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 other board that is subsequently recognized.

2 So that could be handled administratively  
3 without having to go through the rule making process.

4 DR. WILLIAMSON: That would address many  
5 of the concerns of the community if we could do it  
6 like that, so that when the package is submitted it is  
7 very clear who qualifies and who doesn't.

8 CHAIRMAN VETTER: Okay. So for 35.50,  
9 paragraph (a), we are going to recommend that the  
10 boards that are currently considered to be listed, of  
11 course we have to confirm that in fact they do meet  
12 paragraph (b).

13 But those that we would recommend be  
14 considered for the original list would be those that  
15 examine in health physics and medical physics. And  
16 nuclear medical physics as well; the American Board of  
17 Science and Nuclear Medicine.

18 DR. WILLIAMSON: Well, I think it is more  
19 complicated than this. It seems to me that there is  
20 an ambiguity in this regulation, and actually the two  
21 preceding regulations, too.

22 My impression seems to be that (a), and  
23 (b), and (c), really define the minimum criteria for  
24 who can be the RSO in the most complex of  
25 institutions.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And that the broad scope licensees that  
2           have the full range of modalities, and it sounds like  
3           to me that some of these certifications are very  
4           focused on certain modalities, such as -- and it  
5           sounded to me like the American Board of Science and  
6           Nuclear Medicine, Dr. Sayed had stated that they did  
7           not examine for knowledge --

8           MS. MCBURNEY: On sealed sources.

9           DR. WILLIAMSON: On sealed sources, or in  
10          radiation oncology, and I am not sure compared to the  
11          American Board of Health Physics that that  
12          certification is appropriate without qualification.

13          Maybe one could make the same arguments  
14          for the American Board of Radiology certifications in  
15          Nuclear Medicine Physics, and in Diagnostic X-Ray  
16          Imaging, that those should be limited to those uses,  
17          which are not in the content of the examination.

18          So I am not sure exactly how to do it, but  
19          it seems to me that we need to create a category of  
20          RSO that is focused on more limited range of byproduct  
21          medical services.

22          CHAIRMAN VETTER: Well, I think it would  
23          be my position that the purpose of listing the boards  
24          is to list those that examine candidates to determine  
25          that they are competent to practice medical health

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 physics without knowing all modalities.

2 MS. MCBURNEY: Right.

3 CHAIRMAN VETTER: And Paragraph (e)  
4 captures that.

5 MS. MCBURNEY: Right.

6 CHAIRMAN VETTER: Also, the purpose is not  
7 to distinguish between a small medical licensee and a  
8 broad scope, and that is what guidance is for. So  
9 this would just satisfy that if you want to be an RSO,  
10 there are several ways that you can do it.

11 One of the ways is to be certified by this  
12 board and have modality specific training, if that is  
13 required.

14 MS. MCBURNEY: Because basically in  
15 radiation safety what you are really wanting is what  
16 do you want the certification to cover, and basic  
17 radiation protection and instrumentation, and  
18 mathematics, and radioactivity, and radiation biology,  
19 and shielding, and those sorts of things, without  
20 getting into a lot of the medical physics, the  
21 treatment planning, and those sorts of things, because  
22 those are not included in radiation safety.

23 DR. WILLIAMSON: Okay. So then maybe what  
24 all needs to be done is to remove American Board of  
25 Radiology and replace it by a more detailed list of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 physics boards.

2 CHAIRMAN VETTER: Right.

3 DR. WILLIAMSON: And ABR certification and  
4 therapeutic radiological physics, and in nuclear  
5 medicine, and the diagnostic x-ray, et cetera.

6 MS. MCBURNEY: Right.

7 DR. WILLIAMSON: And take away the  
8 physician authorized user boards from this list  
9 altogether.

10 CHAIRMAN VETTER: Right.

11 DR. CERQUEIRA: But we did accept the fact  
12 that authorized physician users would be eligible to  
13 be RSOs.

14 MS. MCBURNEY: Right, and that is under  
15 (d).

16 DR. CERQUEIRA: Okay.

17 DR. WILLIAMSON: Okay. So that seems like  
18 a reasonable argument. Then the Part B or paragraph  
19 (b) requirements have to be looked at very carefully.

20 MS. MCBURNEY: Yes, in conjunction with  
21 those.

22 DR. WILLIAMSON: And not so specifically  
23 focused on American Board of Health Physics that the  
24 other ones failed to quality.

25 CHAIRMAN VETTER: Right. We need to look

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 at the years of experience, and that is the main one,  
2 I think. And then under (c) we are going to add what  
3 we have been calling a preceptor statement, a  
4 statement that would ask that the candidate provide  
5 evidence that they have in fact completed some  
6 training.

7 DR. CERQUEIRA: And so we have agreed that  
8 we are going to just have completed training rather  
9 than satisfactorily completed, or competently  
10 completed?

11 DR. DIAMOND: Or professionally qualified.

12 MS. MCBURNEY: Well, I think you can  
13 define this as satisfactorily completed.

14 DR. CERQUEIRA: But Dr. Hendee said or  
15 made the point that that would be very difficult to  
16 do.

17 CHAIRMAN VETTER: What does that mean?  
18 They completed it certainly for the boards, and he was  
19 referring to I think on behalf of the boards.

20 DR. CERQUEIRA: And he didn't answer the  
21 question for the alternative pathways.

22 CHAIRMAN VETTER: Well, for the  
23 alternatives, that is up to us, and that is different.

24 DR. WILLIAMSON: I think there is more  
25 flexibility, and I think it is reasonable that all of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the speakers have indicated that board certification  
2 subjects the candidates to certain rigorous standards,  
3 and for someone who has not gone through that process  
4 to have a slightly stronger teeth in the preceptor  
5 statement doesn't seem unreasonable to me.

6 DR. DIAMOND: Right.

7 DR. WILLIAMSON: But it does seem to me  
8 that we want to craft a preceptor statement fairly  
9 carefully so that based on the legal technicalities  
10 that we don't exclude boards unnecessarily for no good  
11 public health reasons.

12 DR. DIAMOND: I have a couple of questions  
13 or comments. Firstly, fairly shortly there will be a  
14 process beginning whereby the currently enumerated  
15 boards will be reviewed by the NRC to ensure that they  
16 meet the current standards.

17 How is the NRC going to respond to a board  
18 that doesn't have a residency training program? Does  
19 that mean anything to you? For example, when the AOBR  
20 submits to you its requirements in its training  
21 program, will it be of any concern to you that they  
22 don't have a residency training program, or is that  
23 really a non-issue to you?

24 MR. HICKEY: Well, if the criteria don't  
25 state that that is a requirement, then that will not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 be a concern, in the sense that as part of our process  
2 of listening to the ACGME and making the final  
3 decision we will have decided that that is not a  
4 criteria to make the decision.

5 DR. DIAMOND: Okay.

6 MR. HICKEY: Now, there may be individual  
7 people inside and outside the NRC that might be  
8 concerned about it, but it would not be the basis for  
9 the decision.

10 DR. DIAMOND: All right. My second  
11 question is with the language that we are adopting as  
12 an example, if you go and take a look at Section  
13 35.390, unsealed byproduct material for which  
14 (inaudible) is required go down to paragraph (b)(2)?

15 As an example, with parallel language,  
16 this is parental administration of -- this is actually  
17 for iodine 131. Currently, it writes that the  
18 individual has satisfactorily completed the  
19 requirements of the above paragraph, and has achieved  
20 a level of competency sufficient to function  
21 independently as an authorized user.

22 My sense is that phraseology of level of  
23 competency can be deleted, and completely struck out.  
24 Fine. Number 3, just since we are all together, I  
25 think what we will do is for 35.690, based upon what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we talked about, I think the best place to put this  
2 preceptor/residency program statement, is actually not  
3 in (a)(4), but put that directly under (a)(1), just as  
4 a writing issue, a preceptor for residency program,  
5 director statement, that the above requirements have  
6 satisfactorily been met.

7 It makes no sense to put it as a paragraph  
8 (a)(4) if that person has no bearing on whether a  
9 certification has been recognized by the Commission  
10 and so forth.

11 MS. MCBURNEY: Right. And those being  
12 part of those requirements.

13 DR. DIAMOND: Right. And lastly if based  
14 upon what John just mentioned about AOB, and it  
15 really not being an issue to him, and that they don't  
16 have a current radiation oncology training program.

17 And probably the best place to include the  
18 Council on Post-Graduate Training of the American  
19 Osteopathic Organization would be on paragraph (a)(1),  
20 and this included residency review committee of the  
21 ACGME, or -- and that is probably the best place to do  
22 it.

23 CHAIRMAN VETTER: Excellent point.

24 DR. DIAMOND: I am just trying to save us  
25 some e-mails.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN VETTER: Right.

2 MS. MCBURNEY: We had heard comments that  
3 the person signing off on the training experience for  
4 board certification might not be an authorized user,  
5 but they might be the program director for a residency  
6 program.

7 So I was thinking that we may need to add  
8 language in 190 and 290 that to allow for that in Item  
9 (d)(2). Right now we have, "has obtained written  
10 certification signed by a preceptor or authorized user  
11 that meets the requirements."

12 CHAIRMAN VETTER: We could say preceptor,  
13 or. Is there something better than program director?  
14 Residency director

15 DR. WILLIAMSON: Training program  
16 director?

17 MS. MCBURNEY: Well, a training program  
18 director could be --

19 DR. WILLIAMSON: Well, let me ask a  
20 question. Is this for the criteria for accepting a  
21 board as a credentialing process or the alternative  
22 pathways?

23 MS. MCBURNEY: Both, because now that we  
24 are saying includes all the requirements of paragraph  
25 (d), unless we break that out.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. WILLIAMSON: And probably authorized  
2 user, or residency program director, would be  
3 reasonable and would cover both cases. Now, I am  
4 wondering --

5 MS. MCBURNEY: Now, will those program  
6 directors meet the requirements of 35.190, 290, or  
7 390, or should we put that after --

8 CHAIRMAN VETTER: We are not asking that  
9 they do.

10 MS. MCBURNEY: Okay. So that would come  
11 after the 190, 290, 390.

12 CHAIRMAN VETTER: That's a good point

13 MS. MCBURNEY: Or equivalent.

14 MR. HICKEY: Could I just clarify? Is  
15 that -- is the term, residency program director, that  
16 is a recognized term that everyone will understand  
17 what that means?

18 DR. DIAMOND: Yes. So, Dick, what is our  
19 next step?

20 CHAIRMAN VETTER: The next step is that we  
21 have a conference call coming up and I would assume  
22 that before that time that we should each go back and  
23 craft a revised verbiage for each of the sections that  
24 we have discussed, and resubmit them to you.

25 DR. DIAMOND: Would that be helpful?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN VETTER: Right. Our next step  
2 was to -- that when we are finished with our  
3 discussion here, go have lunch, and then come back and  
4 meet unofficially to talk about the mechanics of that,  
5 and how exactly we would take care of all of that.

6 MS. MCBURNEY: And some time-lines.

7 CHAIRMAN VETTER: And remind ourselves,  
8 and have the NRC staff remind us what the deadlines  
9 are and when we have to have things done, because we  
10 are going to need to have to write a report to Dr.  
11 Cerqueira and the ACMUI with what our recommendations  
12 are. And then we will be done.

13 And then they will meet by conference call  
14 on July 8th, or we will.

15 DR. DIAMOND: And then is the next step  
16 after that to start working on guidelines for these  
17 details of board recognition. In other words, we were  
18 having a discussion before about having to have  
19 language for allowing boards to have evolutionary  
20 changes.

21 I think Dr. Van Decker was alluding to  
22 that. Do we need to do any work along those lines?

23 CHAIRMAN VETTER: Our subcommittee does  
24 not.

25 DR. DIAMOND: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN VETTER: Our charge is --

2 MS. MCBURNEY: This is it.

3 CHAIRMAN VETTER: So ACMUI will have to  
4 determine whether or not we want to do more in that  
5 regard. Any further discussion at this point? Yes,  
6 Jeff.

7 DR. WILLIAMSON: Is this an appropriate  
8 time to raise the issue of 35.300?

9 CHAIRMAN VETTER: Sure.

10 DR. WILLIAMSON: Okay.

11 CHAIRMAN VETTER: In terms of consistency?

12 DR. WILLIAMSON: Yes. Well, I think that  
13 some decision has to be made about the role of the  
14 radiation oncologist as an authorized user for radio-  
15 pharmaseuticals.

16 So I think we should think about that, and  
17 consider making a recommendation to the ACMUI and to  
18 the NRC about that. In the past, the old regulation  
19 included ABR certification and radiation oncology as  
20 one of the default credentials.

21 In the new regulation, the one that was  
22 just published in April. None of the boards were  
23 listed, and a far more focused set of requirements  
24 were put in that had the 700 hours of training and so  
25 on, and for the full unqualified right to practice

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 radiopharmaceutical therapy.

2           You know, 12 cases, a case experience of  
3 12 cases distributed in four different categories is  
4 required, and then of course there were the single  
5 indication, more focused authorized users.

6           And I think we should give some  
7 consideration when we make the list of boards for  
8 35.300 that we consider including certification in  
9 radiation oncology because there are a number of  
10 radiation oncologists that are very involved in the  
11 development of radio-immunotherapy.

12           And depending upon how nuclear medicine  
13 service is structured in various institutions, such as  
14 ours, for example, the radiation oncologist actually  
15 do administer all of the radionuclide therapy for  
16 malignant indications, and nuclear medicine does it  
17 for benign indications.

18           So one option is to think about the  
19 pattern that we have developed, which is board  
20 certification meeting these criteria, or alternative  
21 pathway, and modality specific experience.

22           So what we might do is craft the list of  
23 boards to include radiation oncology and have the 700  
24 hours and so on that make it general. And then put as  
25 the "and" the 12 cases.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. DIAMOND: Would you enumerate the  
2 boards in this case again?

3 DR. WILLIAMSON: Yes, if we are going to  
4 do it with the others, we have to do it for this. So  
5 I think we need to make a decision about whether to  
6 recommend radiation oncology as was done in the past.

7 DR. DIAMOND: I think we need to do that,  
8 because as we change 690, some of those changes by the  
9 letter of the law may not allow you to do some of the  
10 things in 35.390. So we will have to make that  
11 change.

12 MS. MCBURNEY: Does radiation oncology and  
13 board certification include radiopharmaceutical  
14 therapy?

15 DR. DIAMOND: You are examined in that,  
16 and it depends on your residency training program how  
17 much experience you have. Where I trained, for  
18 example, we do all the therapeutic radionuclide  
19 administration.

20 So , for example, in my particular  
21 training program, I had extensive experience in the  
22 use of iodine for thyroid cancer, and some of the  
23 newer agents such as Zevalin and Bexxar for the use of  
24 refractory recurring non-Hodgkins lymphoma.

25 And in other training programs, you may be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 not exposed to that. You will certainly be examined  
2 on it, but you won't have hands-on experience. Again,  
3 one of the other reasons that it is so important to  
4 have this modality specific training, we don't want a  
5 physician who may have passed a board on what these  
6 agents represent, and how they are used, has never  
7 seen it or handled it before, and all of a sudden is  
8 starting to use it, unless they have had some  
9 experience and some oversight in their use.

10 MS. MCBURNEY: Now, we are facing it in  
11 Texas with the introduction of some of these newer  
12 therapeutic drugs, such as the zevalin and the bexxar.

13 DR. DIAMOND: And the other thing is that  
14 I really don't think it is a turf issue at all,  
15 because again we are not in the business of saying who  
16 can and can't do it at a particular institution. That  
17 is the physicians of institutions themselves that have  
18 to work it out. This is simply a matter of being  
19 authorized.

20 DR. WILLIAMSON: So you would support then  
21 having as the modality specific "and" clause, the  
22 distribution of the 12 cases as is given in the  
23 current regulations on top of all of the  
24 certifications?

25 DR. DIAMOND: Yeah, I think so.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. WILLIAMSON: So we have a broad  
2 agreement and we could write that paragraph in that  
3 way.

4 MS. MCBURNEY: Okay.

5 CHAIRMAN VETTER: Yes. Other comments?  
6 If we don't have any other comments, I am going to  
7 suggest that John Hickey be given the opportunity to  
8 make any comments he has, and then I would suggest  
9 that we take an early lunch, and then come back and  
10 talk about the details of what our next steps are, and  
11 the mechanics, and so forth.

12 DR. DIAMOND: Richard, would it be  
13 inappropriate to perhaps suggest that since it is so  
14 early to just move on before breaking, because that  
15 may allow some of us to catch an earlier flight home?

16 CHAIRMAN VETTER: Sure. We can do that.

17 DR. CERQUEIRA: Is that going to be an  
18 open meeting or is that the committee?

19 CHAIRMAN VETTER: That's just the  
20 committee.

21 DR. DIAMOND: That's just the committee.

22 CHAIRMAN VETTER: Will that work, John?

23 MR. HICKEY: Well, if you want to  
24 continue, we will just continue to keep transcribing  
25 the meeting. There is on reason to stop the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 continuity. I am not sure how long it will take.

2 CHAIRMAN VETTER: Okay.

3 MR. HICKEY: As far as your -- to give me  
4 the opportunity just to make some remarks, I think the  
5 discussion from my perspective -- and I think I can  
6 speak on behalf of the staff, has gone well this  
7 morning. I think you have hit on the key issues.

8 In particular, you have addressed the  
9 issue of preceptors, which affects almost all of the  
10 boards, and the issue of different modalities, and I  
11 think that you have come up with some good ways to  
12 address that.

13 I think you are also positioned on what  
14 you are going to recommend as far as listing the  
15 boards. I can't predict how that will actually come  
16 out, but I view that more as an administrative issue,  
17 rather than a substantive issue.

18 I think you have gone a long way in  
19 addressing the substantive issues, and you have some  
20 constructive and viable ways to address those.

21 CHAIRMAN VETTER: Well, the first issue is  
22 that each of us doing some minor revisions. It looks  
23 to me like it is minor, minor revisions of each of our  
24 sections, and then sending those to the entire  
25 subcommittee.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And as long as we don't have any debate on  
2 those minor issues, I will simply assemble all of  
3 those and forward those to Dr. Cerqueira for the ACMUI  
4 conference call on July 8th.

5           So that is the first issue. The second  
6 issue is the issue of continuity, and I guess I would  
7 raise the question do we need to draft sections for  
8 390 and so forth, or can we assume that our intent is  
9 going to be carried forward, or will ACMUI draft  
10 those, or what?

11           We weren't specifically asked to address  
12 those issues, but only to address the issue of  
13 continuity.

14           DR. DIAMOND: It is probably -- and not  
15 that I have a particular desire to do any more work  
16 than I need to, but it is probably useful for me to go  
17 and work on 390 and send out a draft, and let us fine  
18 tune it around. It goes much faster that way.

19           DR. WILLIAMSON: I think it would be wise  
20 given the complexity of the 300 that it we take it on  
21 and at least come up with a draft.

22           CHAIRMAN VETTER: So which sections need  
23 to be done yet? There is a 390?

24           MS. MCBURNEY: There is a 390, the  
25 radiopharmaceutical therapy.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN VETTER: Radiopharmaceutical  
2 therapy, right.

3 MS. MCBURNEY: Right. And Dr. Diamond --

4 DR. DIAMOND: Right, 390. I have a whole  
5 list of them.

6 DR. WILLIAMSON: And we have a 490?

7 DR. DIAMOND: So there is a 390 that needs  
8 some extensive work actually. And 392.

9 MS. MCBURNEY: And that is?

10 DR. DIAMOND: And 392 would be just the  
11 competency issue. So, 392, paragraph (c)(3), which is  
12 just deleting the level of competency phrase. Then I  
13 have 394, paragraph (c)(3), which is the same exact  
14 thing. Then I found 490.

15 MS. MCBURNEY: And 490 being?

16 DR. WILLIAMSON: Brachytherapy.

17 DR. DIAMOND: Brachytherapy. Which is  
18 (b)3), level of competency, and also you would have to  
19 go and change that parallel structure, right?

20 MS. MCBURNEY: Right.

21 DR. WILLIAMSON: I actually think that the  
22 392 and 394 are going to be as much work as 390,  
23 because one you have the pattern of all of the boards,  
24 you have got to do it the same way.

25 DR. DIAMOND: Right. It is going to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 just repetition.

2 DR. WILLIAMSON: You can sort of recopy  
3 it, I think.

4 DR. DIAMOND: Right. Right. I will do  
5 that, and so that was 490.

6 DR. WILLIAMSON: And then there is 500.

7 DR. DIAMOND: And 491, again level of  
8 competency for -- and I am going to use Strontium-90,  
9 and that is paragraph (e)(3). I was really bored on  
10 the plane.

11 DR. WILLIAMSON: You have a lot of work.

12 CHAIRMAN VETTER: Are you volunteering to  
13 do all of this?

14 DR. DIAMOND: Well, once you do it once,  
15 you can cut and paste.

16 MS. MCBURNEY: Yes, cut and paste.

17 DR. WILLIAMSON: And then 590.

18 DR. DIAMOND: I may have created myself as  
19 the only authorized user for most of these modalities.

20 MR. HICKEY: Dr. Vetter, if I could just  
21 make a suggestion. If it turns out that you are  
22 running into time problems in wording the rules, if  
23 you could at least state what the principles and  
24 objectives, and rationale are that you are trying to  
25 get out with 390, and 490.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And at least the full committee could deal  
2 with that, and then the staff could follow up with the  
3 committee.

4                   MS. MCBURNEY: Right. Is anybody going to  
5 do anything with the nuclear pharmacy issue?

6                   CHAIRMAN VETTER: Yes, that is what I was  
7 hoping to ask, because that issue was brought up, and  
8 do we need to make any changes as a result of the  
9 presentation?

10                  MS. MCBURNEY: Apparently they have done  
11 a preceptor issue on the acceptance of --

12                  CHAIRMAN VETTER: Right. You have someone  
13 at your institution --

14                  DR. WILLIAMSON: Yes, maybe I could speak  
15 with Sally Schwartz. It seems to me that we ought to  
16 do something. It seems unreasonable to discredit or  
17 marginalize the nuclear pharmacy board on what seems  
18 to be a technicality, and I suspect that they have  
19 good reasons for not requiring or requiring what they  
20 do.

21                         And again unless there is a major public  
22 health issue with the way that they do it, it would  
23 probably behoove the NRC to adapt to them, rather than  
24 try to force the community just for technical legal  
25 reasons to conform to them.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So maybe I can talk with Sally and see if  
2 she can work up something.

3           CHAIRMAN VETTER: Right. If you could  
4 visit with her, and you are volunteering to look at  
5 all of those other sections during --

6           DR. WILLIAMSON: I think that someone else  
7 should take on 500.

8           CHAIRMAN VETTER: Yes, that is the  
9 diagnosis. Would you be willing to do that, Ruth?  
10 That one is fairly straight forward, I think.

11          DR. WILLIAMSON: With the exception of the  
12 190 and 290 series, where we have agreed that we are  
13 going to include in the criteria for recognizing  
14 boards, a preceptor statement that states satisfactory  
15 completion of a training program, I guess.

16          Many of the statements, or some of them  
17 anyway, have that the preceptor must be a diplomate of  
18 the board in question. Is that reasonable or  
19 unreasonable, or should we delete that?

20          Or is this a technical detail that we  
21 should leave for the staff to work out?

22          DR. CERQUEIRA: We probably should leave  
23 it out, because we are dealing with the radiation  
24 safety aspects and that is sort of what we are  
25 concentrating on.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 DR. WILLIAMSON: For the therapeutic  
2 applications, let me remind you that the ACMUI made  
3 the determination in its recommendations that you  
4 could not separate safety from clinical competence,  
5 and that the proper selection of patients, and not  
6 giving high doses of radiation to wrong patients and  
7 so forth, resulted in the fact that safety and  
8 competence were sort of bound together.

9 So this is mainly an issue, I think -- and  
10 I specifically excluded 190 and 290, where the  
11 alternative pathway and the board recognition criteria  
12 are really the same. But for the therapeutic  
13 modalities, they are different.

14 CHAIRMAN VETTER: So how would it leave it  
15 then? You would require a preceptor statement if the  
16 person had completed the program.

17 DR. WILLIAMSON: Right. A preceptor who  
18 is a diplomate of the board in question tests to  
19 satisfactory completion of the training program by the  
20 applicant. I mean, that is how it is written now, the  
21 authorized medical physicist one.

22 CHAIRMAN VETTER: Could it be a program  
23 director who is not necessarily boarded? I mean, we  
24 have kind of allowed that for the radiology.

25 DR. WILLIAMSON: Well, you see, medical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 physics is an exception, where the formal structured  
2 training program is not a uniformly available  
3 structure.

4 CHAIRMAN VETTER: So we are talking just  
5 about the physicist rather than the authorized user?

6 DR. WILLIAMSON: Well, for the physicist,  
7 it is very special, and I thought -- I think for the  
8 physicist that you can make a really good case that it  
9 should be there, because it is one of the few items  
10 that really determines the structure, or places some  
11 bounds on the training program. So I think it is very  
12 reasonable to have it there.

13 CHAIRMAN VETTER: For the physicist.

14 DR. WILLIAMSON: For the physicist. For  
15 the physician, I am not sure that it really matters.  
16 I don't think so, because really the weight of the  
17 regulation, or the regulation really relies on the  
18 residency review committee to ensure that it is a  
19 proper training program.

20 CHAIRMAN VETTER: Okay.

21 DR. WILLIAMSON: So we leave it for the  
22 physicist, I guess, who is the consensus.

23 CHAIRMAN VETTER: Okay. Deadlines.  
24 Working backwards, we need this material for the  
25 conference call, and also for publications. So when

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 do we need a report to whom?

2 MR. HICKEY: We would like to have it to  
3 me by the 28th, next Friday.

4 MS. MCBURNEY: So does that mean that we  
5 would need to get it to you by the 25th?

6 CHAIRMAN VETTER: Yes, I would say that I  
7 would like to have everything by Wednesday, and  
8 preferably earlier to give us a chance to react to  
9 anything.

10 DR. WILLIAMSON: So Tuesday is what date?

11 MS. MCBURNEY: The 25th.

12 DR. CERQUEIRA: The 25th.

13 CHAIRMAN VETTER: The 25th, by five  
14 o'clock.

15 DR. CERQUEIRA: Eastern Standard Time.

16 DR. WILLIAMSON: Now, another general  
17 question. You know, the bulk of our report is  
18 actually draft language. Is there a need for some  
19 more discursive or explanatory material that discusses  
20 the rationale, or are you prepared to synthesize  
21 something based on all the comments that are made, or  
22 do we need to expand the first couple of pages?

23 MS. MCBURNEY: Or would that be after July  
24 9th?

25 MR. HICKEY: I would say maybe a few more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sentences in the front to address the rationale is  
2 appropriate, but not an extensive -- I think you did  
3 a good job of preparing a short introduction, and then  
4 the wording as illustrations, the way it is drafted  
5 now.

6 DR. WILLIAMSON: So that has to be done by  
7 the 25th, too?

8 CHAIRMAN VETTER: Right. I will take that  
9 assignment, and I will expand that a little bit to  
10 take into account what we have done here today.

11 MS. MCBURNEY: And the public comments?

12 DR. WILLIAMSON: Do we need to react to  
13 the public comments?

14 CHAIRMAN VETTER: We all have those, and  
15 we have all heard them, and we all have copies of the  
16 written. I think when we write our sections that we  
17 need to review those.

18 DR. WILLIAMSON: But do we need to --

19 MR. HICKEY: You don't need to document or  
20 respond specifically to the comments. You just have  
21 to consider them as part of your process.

22 CHAIRMAN VETTER: Okay. The plan, David,  
23 is for us to -- for those of us who are doing some  
24 writing, to have it to me by five o'clock next  
25 Tuesday, at 5:00 p.m. Eastern Time, Tuesday. And if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it goes over into the evening, then that would be  
2 okay.

3 I will assemble everything in the form of  
4 a report, and get it to you by five o'clock Wednesday.  
5 You will have Thursday to react, and by five o'clock  
6 on Thursday, you need to send an e-mail to John  
7 Hickey. He needs it by the 28th.

8 DR. DIAMOND: Should these e-mails that we  
9 send back, should they be directed just to the  
10 subcommittee, or should they should be sent, CC'd, to  
11 the other organizations that have provided comment  
12 already

13 CHAIRMAN VETTER: No, just the  
14 subcommittee.

15 DR. WILLIAMSON: And the NRC.

16 CHAIRMAN VETTER: Well, just like we have  
17 been doing before. We have been copying the staff.

18 MR. HICKEY: After Dr. Vetter transmits it  
19 to us, we will transmit it to the attendees, and  
20 speakers, and stakeholders, and put it up on our  
21 website, and then it will be ready to go on July 8th  
22 for the full committee.

23 DR. CERQUEIRA: Now, John, once Dick has  
24 finished his portion, it would be good for the staff  
25 to go through to look for consistency. Again, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 "ands" or "or" requirements that are in there. Is  
2 that possible?

3 MR. HICKEY: Yes, we will do that. I  
4 don't think that we can do that before we post it, but  
5 we can note that by the time that the full committee  
6 meets, or even after if necessary.

7 MS. MCBURNEY: And fix those editorials.

8 CHAIRMAN VETTER: So are we okay with all  
9 of that? Questions? If there aren't any questions,  
10 I think we are done aren't we?

11 DR. CERQUEIRA: Yes.

12 MR. HICKEY: Okay.

13 CHAIRMAN VETTER: Thank you all very much.  
14 You have been an extremely task-focused subcommittee,  
15 and I appreciate that very much, and we have not  
16 wandered too far astray I don't think. And we are  
17 going to have our job done on time.

18 MS. MCBURNEY: And under budget.

19 CHAIRMAN VETTER: Was there a budget?

20 DR. WILLIAMSON: Actually, there is some  
21 money involved?

22 MS. MCBURNEY: No.

23 CHAIRMAN VETTER: Okay. So in terms of  
24 adjourning the meeting, I want to thank all of you for  
25 all the time that you put on, and for the time that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you will continue to put in on it. I would like to  
2 thank the support of the NRC staff. I have had  
3 extremely good support from John Hickey, and Linda  
4 Psyk in moving materials around, and getting us the  
5 public comments, and all that sort of thing.

6 And I would also like to officially thank  
7 the members of the public who took their time or the  
8 time out of their day to come here and share their  
9 perspectives with us. If there are no other comments,  
10 the meeting is adjourned.

11 (Whereupon, at 11:35 a.m., the meeting was  
12 concluded.)

13

14

15

16

17

18

19

20

21

22

23

24

25

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701